

UNITED STATES DEPARTMENT OF AGRICULTURE

+ + + + +

NATIONAL ADVISORY COMMITTEE ON

MEAT AND POULTRY INSPECTION

+ + + + +

PROPOSED RULE

MODERNIZATION OF POULTRY SLAUGHTER INSPECTION

+ + + + +

March 21, 2012

1:30 p.m.

Room 327 A, Whitten Building
1400 Independence Avenue, S.W.
Washington, D.C. 20250

CHAIR: MR. ALFRED V. ALMANZA
Administrator
Food Safety and Inspection Service

MODERATOR: MR. KEITH PAYNE
Deputy Director
Outreach and Partnership Division
Office of Outreach, Employee Education
and Training
Food Safety and Inspection Service

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

COMMITTEE MEMBERS:

MS. PATRICIA K. BUCK
DR. FUR-CHI CHEN
MR. BRIAN R. COVINGTON
MS. NANCY J. DONLEY
MS. VENERANDA GAPUD
DR. HEIDI KASSENBOG
MS. SARAH A. KLEIN
DR. SHELTON E. MURINDA
MR. ROBERT G. REINHARD
DR. JOHN D. TILDEN
MS. CAROL L. TUCKER-FOREMAN
DR. J. BYRON WILLIAMS
MR. LEONARD W. WINCHESTER

USDA:

DR. ELISABETH A. HAGEN
Under Secretary for Food Safety

FSIS:

MR. PHIL DERFLER
Deputy Administrator

MS. MARY PORRETTA
Program Analyst, Policy Issuances Division
Office of Policy and Program Development

DR. HANY SIDRAK
Office of Field Operations

MR. CHRIS ALVARES

ALSO PRESENT:

EMILY, Operator

I-N-D-E-X

<u>AGENDA ITEM</u>	<u>PAGE</u>
Welcome and Roll Call Mr. Keith Payne	4
Opening Remarks Dr. Elisabeth Hagen	12
Rules of Order Mr. Keith Payne	13
Meeting Goals Mr. Alfred V. Almanza	15
Overview of the Modernization of Poultry Slaughter Inspection Proposed Rule Published in the <i>Federal Register</i> on January 27, 2012 Ms. Mary Porretta	16
Open Discussion and Comments by Committee Members	28
Closing Remarks	89
Adjourn	90

1 P-R-O-C-E-E-D-I-N-G-S

2 (1:35 p.m.)

3 MR. PAYNE: Good afternoon, everyone, and
4 welcome to today's National Advisory Committee on
5 Meat and Poultry Inspection meeting.

6 My name is Keith Payne, and I will be
7 moderating today's session.

8 First, we will take a roll call of the
9 Committee members. I will call out the names, and
10 please answer if you're on the line or in the room.

11 Ms. Patricia Buck.

12 Mr. Brian Covington.

13 Dr. Catherine Cutter.

14 Ms. Nancy Donley.

15 MS. DONLEY: I'm here.

16 MR. PAYNE: Dr. Chen Fur-Chi.

17 Ms. Veneranda Gapud.

18 Dr. Craig Henry.

19 Dr. Cheryl Jones.

20 Dr. Heidi Kassenborg.

21 Ms. Sarah Klein.

22 Dr. Shelton Murinda.

1 Dr. Edna Negron-Bravo.

2 Mr. Robert Reinhard.

3 MR. REINHARD: Present.

4 MR. PAYNE: Dr. Craig Shultz.

5 Mr. Stanley Stromberg.

6 Dr. John Tilden.

7 Ms. Carol Tucker-Foreman.

8 MS. TUCKER-FOREMAN: I'm here.

9 MR. PAYNE: Thank you.

10 Mr. Steven Warshawer.

11 Dr. Byron Williams.

12 Mr. Leonard Winchester.

13 And now for the ex officio members.

14 Mr. Stanley Painter with the NJC.

15 Dr. Danah Vetter.

16 Mr. Robert McKee.

17 Dr. Justin Rhee.

18 And our liaisons to federal agencies with
19 the CDC, Dr. Art Liang.

20 FDA, Dr. Joshua Hayes.

21 Folks, we're going to wait for five minutes
22 to make sure we have a forum.

1 MS. TUCKER-FOREMAN: This is Carol. Can
2 you tell me -- I don't have -- okay. Now I've got
3 the mic next to name. You've fixed it. Thank you.

4 MR. PAYNE: Okay. Thank you, Carol.

5 Emily --

6 OPERATOR: Yes.

7 MR. PAYNE: -- could you put us on mute
8 until we call upon you?

9 OPERATOR: I sure can.

10 MR. PAYNE: Thank you.

11 OPERATOR: One moment.

12 MR. PAYNE: Thank you very much. Let us
13 know when we're on mute.

14 OPERATOR: You're on mute now.

15 MR. PAYNE: Thank you.

16 (Off the record.)

17 (On the record.)

18 MR. PAYNE: Okay, folks. Let's do the roll
19 call again. Okay. Going down through the line.

20 Ms. Patricia Buck.

21 Mr. Brian Covington.

22 Dr. Catherine Cutter.

1 Ms. Nancy Donley.
2 Dr. Chen Fur-Chi.
3 DR. CHEN: I'm here.
4 MR. PAYNE: Thank you.
5 DR. CHEN: Can you hear me?
6 MR. PAYNE: Yes. Thank you.
7 Ms. Veneranda Gapud.
8 Dr. Craig Henry.
9 Dr. Cheryl Jones.
10 Dr. Heidi Kassenborg.
11 Ms. Sarah Klein.
12 MS. KLEIN: Yes, I'm here.
13 MR. PAYNE: Dr. Shelton Murinda.
14 Dr. Edna Negron-Bravo.
15 Mr. Robert Reinhard. He was here before.
16 Dr. Craig Shultz.
17 Mr. Stanley Stromberg.
18 Dr. John Tilden.
19 Ms. Carol Tucker-Foreman.
20 MS. TUCKER-FOREMAN: Here.
21 MR. PAYNE: Thank you.
22 Mr. Steven Warshawer.

1 Dr. Byron Williams.

2 Mr. Leonard Winchester.

3 Mr. Covington, Brian Covington, are you on
4 the line?

5 OPERATOR: If you are a Committee member
6 and need to be unmuted, please dial *1.

7 Yes, Pat Buck just called me. She does
8 need to be unmuted.

9 MR. COVINGTON: I'm here.

10 MR. PAYNE: Mr. Covington is here.
11 Ms. Buck will be joining us once she's unmuted.

12 Catherine Cutter.

13 MS. BUCK: I'm here.

14 MR. PAYNE: I'm sorry. Who was that?

15 MS. BUCK: This is Patricia Buck.

16 MR. PAYNE: Thank you. Dr. Catherine
17 Cutter.

18 Ms. Nancy Donley.

19 Dr. Chen Fur-Chi.

20 DR. CHEN: I'm here.

21 MR. PAYNE: Thank you.

22 Ms. Veneranda Gapud.

1 MS. GAPUD: I am here.

2 MR. PAYNE: Thank you. Dr. Craig Henry.

3 Dr. Cheryl Jones.

4 Dr. Heidi Kassenborg.

5 DR. KASSENBERG: I'm here.

6 MR. PAYNE: Thank you.

7 DR. KASSENBERG: Can you hear me?

8 MR. PAYNE: Yes, we can.

9 Ms. Sarah Klein.

10 MS. KLEIN: Here.

11 MR. PAYNE: Dr. Shelton Murinda.

12 Dr. Edna Negron-Bravo.

13 And, Mr. Robert Reinhard, you indicated you
14 were here.

15 MR. REINHARD: I am here.

16 MR. PAYNE: Thank you. Dr. Craig Shultz.

17 Mr. Stanley Stromberg.

18 Dr. John Tilden, you show up in the list.

19 Are you there?

20 OPERATOR: He does not appear to be
21 connected by audio.

22 MR. PAYNE: I'm sorry. What was that?

1 OPERATOR: He does not appear to be
2 connected by audio.

3 MR. PAYNE: Okay. Ms. Carol Tucker-
4 Foreman, you responded you were here.

5 Mr. Steven Warshawer.

6 MS. DONLEY: This is Nancy Donley. Could
7 you hear me?

8 MR. PAYNE: Yes, we can hear you,
9 Ms. Donley.

10 MS. DONLEY: Thanks.

11 MR. PAYNE: Dr. Byron Williams.

12 Mr. Leonard Winchester.

13 MR. WINCHESTER: Yes. Can you hear me out
14 there?

15 MR. PAYNE: Yes, Mr. Winchester, you are
16 here. So I think we have enough for a quorum.

17 MR. DERFLER: We've got to get John Tilden.

18 MR. PAYNE: John Tilden, Dr. John Tilden.

19 OPERATOR: Dr. Tilden, if you are on the
20 line, please dial *1.

21 DR. TILDEN: Can you hear me now?

22 MR. PAYNE: Dr. Tilden, yes. Thank you.

1 We have 11 for a quorum.

2 And ex officio members, Mr. Stanley
3 Painter.

4 Dr. Danah Vetter.

5 Mr. Robert McKee.

6 Dr. Justin Rhee.

7 Liaisons, Dr. Art Liang.

8 FDA, Dr. Joshua Hayes.

9 MR. DERFLER: Why don't you go over the
10 ones who you have as being present, and if anybody
11 else is there, they should identify themselves.

12 MR. PAYNE: Okay. Just to go over the ones
13 of the Committee who I have present, indicated
14 present for the meeting, I'll go down through that
15 list. Ms. Patricia Buck, Mr. Brian Covington,
16 Ms. Nancy Donley, Dr. Chen Fur-Chi, Ms. Veneranda
17 Gapud, Dr. Heidi Kassenborg, Ms. Sarah Klein,
18 Mr. Robert Reinhard, Dr. John Tilden, Ms. Carol
19 Tucker-Foreman, and Mr. Leonard Winchester.

20 If I did not call any other members, if you
21 were not on that list, and you are present on the
22 call, please state so and your name.

1 DR. MURINDA: Shelton Murinda.

2 MR. PAYNE: Dr. Murinda, thank you very
3 much. Okay.

4 DR. MURINDA: Thank you.

5 MR. PAYNE: Now I'd like to welcome and
6 introduce to you Under Secretary for Food Safety,
7 Dr. Elisabeth Hagen.

8 DR. HAGEN: Thank you, Keith, and sorry
9 about the technical difficulties to start the
10 meeting, but we're certainly glad that you're here
11 with us.

12 As you know, we are convened today to
13 discuss the Modernization of Poultry Slaughter
14 Inspection Proposed Rule that the Agency put out in
15 January. Yeah, January.

16 We think this is a really significant step
17 forward for consumers, a really significant step
18 forward for this Agency. Obviously we wouldn't have
19 put it out if we didn't think that, but we're not
20 here to convince you of the merits of the rule.
21 We're here to discuss things and to get your input.
22 We value the work of this Advisory Committee, and we

1 appreciate you coming together on such short notice.
2 So we really want to dispense with a lot of
3 introductory remarks and get onto the business at
4 hand today. So I'm just going to leave it there.

5 Thank you for being with us today, and I
6 really look forward to hearing the discussion.

7 MR. PAYNE: Okay. Thank you, Dr. Hagen.

8 And now just a few housekeeping details.
9 The meeting will last approximately two hours.

10 The phone and voice over IP lines will be
11 muted during the session to minimize background
12 noise and to ensure that everyone can clearly hear
13 those who are speaking for the record.

14 This meeting is being recorded for an
15 official transcript. The transcript will be posted
16 on the FSIS website in the next 48 hours. There
17 will be an audio file posted as well. The
18 PowerPoint presentation is also on our website.

19 This meeting is an open forum for
20 discussion and comments made by the NACMPI members.
21 Committee members, when you speak, please state your
22 name first for the record. So that everyone on the

1 Committee has an opportunity to speak, please hold
2 your comment to no more than two minutes, and I will
3 probably give you some indication just so you can
4 close up your comment so we can move on.

5 Committee members, when you also wish to
6 speak, please select the Raised Hand Icon at the top
7 of your screen to put yourself in the question
8 queue, and we will call on you to make your comment.

9 Also we will not be holding a public
10 comment session for this meeting upon the advice of
11 our colleagues at the Department. USDA wants to
12 ensure that Advisory Committee members get every
13 opportunity to express their views and guidance. So
14 we are devoting the entire meeting to them.

15 Public comments on this Committee meeting
16 will continue to be accepted through the NACMPI
17 webpage at NACMPI@fsis.usda.gov, or in writing to:
18 NACMPI, USDA, FSIS, 1400 Independence Avenue,
19 Southwest, Room 1180, South Building, Washington,
20 D.C. 20250. All submissions must include docket
21 number FSIS-2012-0016. Comments will be accepted
22 through April 26, 2012. Comments on the proposed

1 rule itself should be submitted through the Federal
2 eRulemaking Portal at www.regulations.gov or by mail
3 to USDA, FSIS, OPPD, RIMD, Docket Clearance Unit,
4 Patriots Plaza 3, Room 8-164, 355 E Street
5 Southwest, Washington, D.C. 20024-3221.

6 All the comments that we receive both in
7 today's proceedings and on the proposal will be
8 considered by the Agency in developing a final rule
9 in the poultry slaughter rulemaking.

10 And one more housekeeping note, if at any
11 time you have technical difficulties with the
12 webinar interface, please contact the AT&T Connect
13 Participant Support at 1-888-796-6118. Again,
14 that's 1-888-796-6118.

15 At this time, I would like to introduce the
16 FSIS Administrator, Mr. Alfred V. Almanza, the
17 Committee Chair.

18 MR. ALMANZA: Thank you, Keith. Good
19 afternoon to everyone.

20 I want to start out by saying that the
21 Secretary and our Under Secretary Hagen and the
22 Agency value the advice of this Committee.

1 The Modernization of Poultry Slaughter
2 Inspection Proposed Rule is a very important rule,
3 and a significant segue into the future of the
4 Agency. We're not seeking consensus from this
5 Committee, but we're interested in this Committee's
6 input. Your comments and suggestions will not only
7 inform the Agency's decision making on the final
8 rule, but it may inspire comments by those listening
9 and that may read the transcript of this meeting.

10 These comments will also likely be helpful
11 and beneficial to the rulemaking process.

12 And now we'll have Ms. Mary Porretta, a
13 Program Analyst in the Policy Issuance Division,
14 within FSIS' Office of Policy and Program
15 Development, give an overview of the Modernization
16 of Poultry Slaughter Inspection Proposed Rule.

17 Ms. Porretta.

18 MS. PORRETTA: Okay. Great. Thank you,
19 and good afternoon to everyone.

20 As was mentioned, I'm going to present an
21 overview of the proposed rule and basically just
22 highlight some of the main provisions that are in

1 the rule.

2 We can go to the next slide.

3 As all of you know, FSIS published our rule
4 to modernize poultry slaughter inspection on
5 January 27, 2012. We provided a 90-day comment
6 period, and that is scheduled to close on April 26,
7 2012.

8 The purpose of the proposed rule is to
9 improve food safety and the effectiveness of poultry
10 slaughter inspection systems, to remove unnecessary
11 regulatory obstacles to innovation and to make
12 better use of the Agency's resources.

13 Next slide please.

14 There are basically two main parts to this
15 proposed rule. The first part is a proposed new
16 inspection system for young chickens and turkeys
17 that replaces all existing inspection systems except
18 for traditional. And then the second main part of
19 the proposed rule are proposed changes that would
20 apply to all establishments that slaughter poultry
21 other than ratites.

22 I'm first going to discuss the new

1 inspection system, and then I'm going to go over the
2 changes that were proposed for all poultry slaughter
3 establishments.

4 Next slide.

5 We have four existing inspections, the
6 Streamlined Inspection System, New Line Speed
7 Inspection System, the New Turkey Inspection System
8 and Traditional Inspection.

9 Under all four of these inspection systems,
10 the plant personnel conduct no sorting activities.
11 The FSIS inspectors check each carcass for defects
12 and diseases, and they direct plant employees to
13 take corrective actions.

14 Under these systems, our inspectors don't
15 typically look for trim and processing defects on
16 the line. The carcasses with defects that don't
17 require condemnation, ones with bruises, scabs, are
18 passed subject to trimming down the line by plant
19 employees and then are re-inspected by FSIS under
20 the Finished Product Standards.

21 FSIS inspectors identify and condemn
22 carcasses with animal diseases, and plant employees

1 dispose of the condemned carcasses.

2 Most of these sorting activities don't
3 enhance food safety because many defects, for
4 example, scabs, sores or bruises, are related more
5 to the marketability of the product.

6 Next slide.

7 So there are some limitations of this
8 existing inspection system. Under these succinct
9 systems, inspectors are required to spend more time
10 conducting sorting activities for quality-related
11 defects than verifying food safety-related process
12 controls and effectiveness of HACCP system. The
13 existing systems limit establishment incentive to
14 improve their processing methods, and it also limits
15 the line speeds.

16 Therefore, to address some of these
17 limitations, one of the key elements of our proposed
18 new inspection system is that the plant would be
19 responsible for sorting. We would reduce the number
20 of FSIS online inspectors to one. Establishment
21 personnel would be responsible for sorting
22 carcasses, disposing of carcasses that must be

1 condemned, and conducting any trim or reprocessing
2 before they are presented to the FSIS carcass
3 inspector. Establishments would be required to
4 develop, implement, and maintain procedures to
5 ensure that septicemic and toxemic carcasses do not
6 enter the chiller. This is a requirement because
7 carcasses with septicemic and toxemic conditions
8 affect food safety, and they would need to
9 incorporate these procedures into their HACCP
10 system.

11 Another key element of the proposed new
12 inspection system is that the FSIS online carcass
13 inspector will conduct a carcass-by-carcass
14 inspection before the carcasses enter the chiller.
15 The carcass inspector would be authorized to stop
16 the line to prevent contaminated carcasses from
17 entering the chiller, and under the proposed system,
18 the inspector-in-charge would be authorized to
19 require that the establishment slow the line if the
20 carcass inspector observes the presence of excessive
21 food safety defects or other defects, poor
22 presentation of carcasses or other indications that

1 there is a lack of process control.

2 Next slide please.

3 Another key element of the proposed new
4 system is the offline verification inspector. Under
5 the new system, an offline inspector will be
6 assigned to each evisceration line. The
7 verification inspector will conduct inspections and
8 enforcement activities that are more important to
9 food safety such as verifying compliance with HACCP
10 and Sanitation SOP requirements, performing
11 verification checks for septicemia and toxemia and
12 visible fecal contamination, and verifying sanitary
13 dressing requirements and also collecting samples.

14 Next slide.

15 Under the proposed new system, we would
16 replace the Finished Product Standards with
17 requirements that establishments maintain records to
18 document that the products resulting from their
19 slaughter operations comply with the definition of
20 ready-to-cook poultry. The Finished Product
21 Standards are criteria that apply to processed birds
22 before and after chilling, and they address defects

1 that are really less important to food safety than
2 such as sep/tox or a visible fecal contamination.

3 Under the existing regs, all poultry
4 slaughter establishments are required to prepare all
5 eviscerated carcasses as ready-to-cook poultry.
6 Ready-to-cook poultry are any slaughtered poultry
7 that have the head and feet removed and visible pin
8 feathers and other materials removed from the
9 carcass and which is suitable for cooking without
10 the need for further processing.

11 Carcasses that contain a large number of
12 trim and dressing defects or that contain a large
13 number of removable animal diseases are not suitable
14 for cooking without the need for further processing.

15 Next slide.

16 So under the proposed rule, establishments
17 would have flexibility to choose how they would
18 document that their products are ready-to-cook
19 poultry. They could use statistical process control
20 charts or documentation related to prerequisite
21 programs or really any documentation that shows that
22 their products are ready-to-cook poultry.

1 FSIS would verify that the plant is
2 producing ready-to-cook poultry by observing for the
3 presence of persistent, unattended removable animal
4 disease and defects, and they would check
5 establishment records. If there were a large number
6 of these type of defects observed, the Agency would
7 be authorized to require that the establishment slow
8 the line speed to remedy the defects.

9 Next slide.

10 Another key element of the new poultry
11 inspection system is that it would permit faster
12 line speeds provided that the establishment maintain
13 process control. Establishments would be permitted
14 to operate at line speeds of up to 175 birds per
15 minute per young chickens. Right now the maximum
16 line speed under the existing system is 140 birds
17 per minute, and that requires four online inspectors
18 per line. And also under the new system, turkey
19 establishments could have up to 55 birds per minute.
20 Right now the maximum line speed is 51 birds per
21 minute, and that requires two online inspectors.

22 Under the proposed system, the inspector-

1 in-charge would be authorized to slow or stop the
2 line if the establishment does not maintain process
3 control.

4 Okay. Next slide.

5 In addition to proposing the new inspection
6 system, we are proposing some changes to the
7 traditional inspection system. We're proposing to
8 limit the number of online inspectors to two.
9 However, existing plants other than young chickens
10 and turkeys currently operating with more than two
11 online inspectors may continue to do so.

12 Next slide.

13 Now I'm going to go over some of the
14 changes that are going to apply to all poultry
15 establishments, not just young chickens and turkeys.

16 In the preamble to the proposed rule, we
17 stated that contamination with fecal material and
18 enteric pathogens are hazards that are reasonably
19 likely to occur. FSIS has a zero tolerance for
20 visible fecal contamination, and under the existing
21 regs, establishments are required to prevent poultry
22 carcasses contaminated with visible fecal material

1 from entering the chiller.

2 The proposed regulations will make clear
3 that establishments will need to develop, implement,
4 and maintain written procedures to prevent carcasses
5 contaminated with visible fecal material from
6 entering the chiller, and establishments will be
7 required to incorporate these procedures into their
8 HACCP systems.

9 Next slide.

10 Another proposed change that would apply to
11 all poultry establishments is that they would be
12 required to develop, implement, and maintain written
13 procedures to prevent contamination of carcasses and
14 parts by enteric pathogens and fecal contamination
15 throughout the entire slaughter and dressing
16 process, and they would also be required to
17 incorporate these procedures into their HACCP
18 systems.

19 Next slide.

20 As part of the procedures to prevent
21 carcass contamination, we are proposing to require
22 that establishments, at a minimum, include sampling

1 and analysis of carcasses for microbial organisms at
2 pre-and post-chill points in the process to monitor
3 for process control.

4 Under the proposed rule, establishments
5 would be responsible for determining which microbial
6 organisms will best help them monitor the
7 effectiveness of their process control procedures,
8 and they would be responsible for developing and
9 implementing their own microbiological sampling
10 plans.

11 Next slide.

12 For the microbial testing, establishments
13 could develop sampling plans to test for enteric
14 pathogens such as *Salmonella* or *Campylobacter*, at
15 pre-chill and post-chill, or they could test for an
16 appropriate indicator organism. The plants would
17 need to provide scientific or technical
18 documentation to support the design of the sampling
19 plan, and because we are requiring these new
20 sampling requirements for monitoring process
21 control, the proposed rule would rescind the
22 existing regulations that require post-chill testing

1 for generic *E. coli*.

2 Next slide.

3 The proposed rule is also proposing to
4 remove the prescriptive time and temperature
5 requirements for chilling of poultry. We're
6 proposing that establishments would be required to
7 develop, implement, and maintain procedures that
8 control the level and prevent the multiplication of
9 spoilage organisms and pathogenic bacteria in the
10 product after evisceration, and again they would
11 incorporate these procedures into their HACCP
12 systems.

13 And next slide.

14 And, finally, we're proposing to permit the
15 use of online reprocessing of poultry carcasses and
16 the use of antimicrobial agents in addition to
17 chlorine for offline reprocessing. Establishments
18 would incorporate reprocessing procedures into their
19 HACCP systems, and establishments would be permitted
20 to use any approved safe and suitable antimicrobial
21 agents under the specific conditions for which they
22 have been approved.

1 And that's basically an overview of the
2 rule.

3 MR. DERFLER: This is Phil Derfler.
4 Mr. Almanza stepped out of the room. So I guess it
5 falls to me to run the Committee. So what we're
6 going to do is -- what we want to do is open the
7 meeting now up for discussion by the Advisory
8 Committee of the proposed rule. We're really
9 interested in your comments, your reaction to the
10 rule. As Al said, we don't expect the Committee to
11 reach consensus, but we do believe that if there's a
12 robust discussion by the Committee, it will be
13 helpful not only to the Agency but all interested
14 persons who either are listening on the call now or
15 who get to read the transcript.

16 So in order to be recognized, if you press
17 the Raised Hand button, and then we'll see how that
18 works, and we'll go from there. Al's back, so I'll
19 turn it back to him.

20 MR. PAYNE: All right. Emily, this is
21 Keith Payne. If you could show me who is the first
22 in the queue with a raised hand.

1 OPERATOR: Sarah Klein.

2 MR. PAYNE: Ms. Klein.

3 MS. KLEIN: Hi, everyone. Can everyone
4 hear me?

5 MR. ALMANZA: Yes, we can.

6 MS. KLEIN: Okay. Great. Well, I really
7 appreciate the Agency convening this meeting. As
8 you know, we specifically asked at our recent
9 consumer meeting that NACMPI be consulted on this
10 important and comprehensive overhaul to poultry
11 inspection.

12 We maintain a concern that more time and
13 discussion by this Committee is needed and perhaps
14 an in-person meeting especially given the
15 difficulties that we're having with communicating on
16 this web portal, but I do appreciate opportunity.

17 On behalf of the consumer members of the
18 Committee, I'd like to put forth a statement into
19 the record.

20 While modernization of the poultry
21 inspection system is sorely needed, and this program
22 does contain certain key modernizing elements, such

1 as increased microbial testing that are laudable,
2 the consumer groups strongly urge the Agency to
3 implement this overhaul in stages.

4 Currently the plan proposed major changes
5 to the poultry inspection system but lacks
6 information about several key areas.

7 Our recommendation for implementing
8 specifically calls for the development of a
9 mandatory standardized pre- and post-chill sampling
10 program to test for *Salmonella* and *Campylobacter*.
11 That program should be fully operational before any
12 other changes are implemented so that the baseline
13 dataset that it generates can inform future decision
14 making. The protocol must be comparable as being
15 planned.

16 The general framework that the Agency has
17 laid out already in the proposed rule is too general
18 to provide useful data going forward.

19 After implementation of this mandatory
20 standardized sampling program, the Agency could then
21 systemically add elements of the proposed new
22 system. For example, company sorting, changes in

1 line speeds, et cetera, and have a metric to measure
2 how each of these incremental changes impacts the
3 rates of contamination. A well-designed sampling
4 program should, for example, be able to generate
5 fairly immediate responsive data about optimal
6 operating and line speeds without having a negative
7 effect on contamination, for example.

8 The microbiological testing data should
9 serve as a feedback loop to inform additional
10 programmatic changes as we move forward and will
11 serve as an ongoing evaluation tool for the success
12 of the program.

13 The key element of a mandatory testing
14 program would include, of course, as I said, testing
15 for *Salmonella* and *Campylobacter* as a requirement, a
16 testing frequency that is at or above the Agency
17 estimates in the proposed rule of 15 per location
18 per day. That's found on page 4451 of the *Federal*
19 *Register* Notice in the Information Collection
20 Section.

21 I also would like to put forth a question
22 to the Agency of where the Agency generated that

1 estimate and ask the Agency to provide the Committee
2 with information about where that estimate can be
3 found elsewhere. I didn't see it anywhere else in
4 the document, but it's important to know where the
5 Agency is getting that thinking from, that they're
6 estimating 15 pre- and 15 post-chill samples at
7 large establishments.

8 I'd like to invite the rest of the NACMPI
9 Committee members that are present to comment on the
10 consumer group's proposal or to indicate whether
11 they're supportive, and I'd like to put forth this
12 recommendation as one from the consumer members of
13 the Committee but, of course, open to recommendation
14 from the full Committee to the Agency.

15 I think it's important that we act in our
16 advisory role to the Agency, as they move forward on
17 this important and comprehensive overhaul. I want
18 to make sure that the Agency has adequate time to
19 deliberate these issues and advise the Committee
20 thoughtfully, and so I invite other members of the
21 Committee to weigh in on this particular proposal
22 and on whether we would like to make this as a

1 recommendation of the full Committee going forward.

2 Thank you.

3 MR. PAYNE: Thank you, Ms. Klein.

4 Emily, who is next in the queue?

5 OPERATOR: Patricia Buck.

6 MR. PAYNE: Ms. Buck.

7 MS. BUCK: Yes. Can you hear me?

8 MR. PAYNE: Yes.

9 MS. BUCK: Thank you. I'm with CFI, and as
10 one of the consumer groups, I think that what is
11 being proposed is a huge change, and as such, we are
12 going to have to have more substantiation to
13 estimate the impact. I believe that is one of the
14 key things that needs to happen because we have got
15 to find out whether or not the public health
16 benefits are going to be worth the cuts that are
17 being proposed.

18 For example, Sarah Klein just talked about
19 having a test for *Campylobacter*. I think that is a
20 very important feature. *Campylobacter* in a recent
21 New Zealand study was shown to have decreased by 13
22 percent in their cases of Guillain-Barre Syndrome,

1 and Guillain-Barre is a very, very serious long-term
2 effect from a *Campylobacter* infection.

3 So I would like to see the Agency do some
4 more studying, in particular with the impact of
5 long-term health outcomes and how they could be
6 having an effect on the estimated cost benefits that
7 were proposed in this proposal.

8 I also think that when we talk about
9 sampling, Barbara Kowalcyk who is not a member of
10 the Committee has had this to say. The Agency needs
11 to provide us with a reason as Sarah just indicated.
12 Why do we need this prevalence? Why was this size
13 of sample picked? And once you provide that, what
14 was the power, the confidence level associated with
15 that sample, and then what was the assumptions that
16 were made. And, finally, are there other factors
17 that should be considered such as the exact spot
18 where you deem the sampling?

19 These types of things can be provided in
20 your proposal, and we strongly recommend that you do
21 so.

22 In closing on my remarks, I would say that

1 the consumer groups have already written a letter
2 asking for the extension of the comment period
3 because given the amount of information that is
4 needed to be reviewed in studying the modernization
5 of poultry, I think not only the consumer groups but
6 the NACMPI members who would like to make a comment
7 would need more time.

8 I thank you very much for allowing me to
9 make these comments.

10 MR. PAYNE: Thank you, Ms. Buck.

11 Emily, next in the queue.

12 OPERATOR: Leonard Winchester.

13 MR. PAYNE: Mr. Winchester.

14 MR. WINCHESTER: Yes. I had a question
15 regarding the slide presentation. The 175 birds per
16 minute processing time can be the maximum, but I've
17 been trying to read and have been hearing from other
18 people comments that waivers are granted and get
19 those up to 200 or 220. Is that actually in the --
20 is that something that's actually being moved
21 forward with, or is it really holding it to 175?
22 Because I think it's a question about how fast birds

1 are going by, and if waivers are automatically being
2 granted in the initial 20-plus plants that were out
3 there, I had a question about that.

4 MR. ALMANZA: It is.

5 MR. WINCHESTER: Are waivers being granted
6 to go beyond this 175, or is it just not really --

7 MR. ALMANZA: No, waivers are not being
8 granted to go above 175.

9 MR. WINCHESTER: Okay.

10 MR. PAYNE: Thank you, Mr. Winchester. Is
11 that it?

12 MR. WINCHESTER: I had one other thing,
13 too. I was just wondering, are there any identified
14 positive working staff or plants that you can share
15 experience of lessons learned during the last number
16 of years that this has been in place in those
17 limited facilities, because I think that would be a
18 point of saying, yeah, we've done the testing, we
19 found the results, and this is where we are, but are
20 we getting any feedback positively from the actual
21 inspection staff saying, yeah, this works, so they
22 can go and say to others or other plants saying,

1 yeah, well, we've been doing this here for X number
2 of years or time, and these are the positive
3 outcomes or whatever seen really happening versus
4 just the comments we're hearing from people who are
5 outside saying, well, we don't know this is going to
6 work because you're changing so much so rapidly, but
7 it's already working in some places. I just
8 wondered if there's anything positive out there from
9 the field staff that you can bring forward. Thanks.

10 MR. ALMANZA: Go ahead.

11 MR. DERFLER: This is Phil Derfler. In
12 response to the last question, I mean we would point
13 out that in the record of the rulemaking, we've
14 included a report that reflects our experience under
15 the HACCP Implementation Models Project, and in
16 there, there is data and data analysis of the
17 results of 10 years of experience or at least the 5
18 years of experience under this project in which we
19 piloted a lot of the stuff that's reflected, not
20 entirely, but a lot of the stuff that's reflected in
21 the proposed rule.

22 So I would suggest that the information

1 that you're looking for is available in the record
2 of the rulemaking.

3 MR. WINCHESTER: Okay. Just coming back on
4 that -- this is Leonard Winchester again -- I see a
5 lot of the stuff in there, but I was actually
6 wondering if there was any specific, you know,
7 inspector kind of reporting back that you can get or
8 provide or are not willing to share that. I guess
9 I'm looking for at it from the perspective of if you
10 have workers, you know, from FSIS who are actually
11 saying, yeah, this does work and, you know, don't be
12 afraid of this kind of thing because that's what we
13 -- change is really hard for anyone, you know,
14 moving from one process to a new process, and unless
15 you have some positive support behind it, other than
16 just numbers, I just feel that that would be helpful
17 in some way. So thank you.

18 MR. ALMANZA: This is Al Almanza, and I'll
19 say this. In my travels around to the HIMP plants,
20 I have, I've gotten a lot of positive feedback as to
21 the responsibilities that the inspectors have.
22 They're more focused on food safety. They're more

1 focused on public health activities, the ability to
2 write NRs and to focus on the bigger picture.

3 So I mean I'm not going to speak for
4 everyone, but I can tell you in my personal
5 experience in my travels, that that is what I have
6 encountered.

7 MR. WINCHESTER: Okay. Thank you.

8 MR. PAYNE: Thank you, Mr. Winchester.

9 Emily, next in our queue?

10 OPERATOR: Byron Williams, and I am
11 unmuting you now.

12 MR. PAYNE: Dr. Williams.

13 DR. WILLIAMS: Hi, Keith. Thank you. A
14 couple of comments that I'd like to share. I've
15 heard both pros and cons from the industry
16 constituents. One thing of concern is the lack of
17 specificity concerning action taken when specific
18 violations occur, and I know that it states that
19 it's according to what you state in the HACCP plan,
20 and secondly would like to reiterate that most
21 constituents feel very strongly about the phase in,
22 if the rule goes forward, to certainly allow a

1 phase-in period of some type because of some of the
2 major changes that may have to be made.

3 Another concern is the amount of space that
4 is required for changing around of the inspection
5 stations and so forth at the end of the lines
6 immediately pre- and post-chill for the stations
7 there. Those were some of the comments.

8 And also in reading through, it seems that
9 there are some inconsistencies, at least with the
10 way I read it, in the option of continuing with
11 traditional inspection versus being forced into the
12 new modified version for young chicken and turkey.
13 Initially I interpret it to mean that it would be an
14 option for the inspection, but then later in the
15 data and the information, it seemed as if it was
16 more of a mandatory, and that's all. I appreciate
17 the time.

18 MR. PAYNE: Thank you, Dr. Williams.

19 MS. GAPUD: Keith.

20 MR. PAYNE: Yes. Who's next?

21 MS. GAPUD: Yeah, I just want to know how I
22 can -- which one should I press in order to be able

1 to speak?

2 MR. PAYNE: Yes, press the Hand Icon.

3 MS. GAPUD: Yeah.

4 MR. PAYNE: And you should come up Raised
5 Hand Icon in the upper left corner and your name
6 will come up in the queue.

7 MS. GAPUD: Yes, I did that many times, but
8 it won't.

9 MR. PAYNE: Okay. Emily, do you show
10 Dr. --

11 MS. GAPUD: Veneranda Gapud.

12 OPERATOR: I am not showing you with a
13 raised hand, but I will put you as next in the
14 queue.

15 MR. PAYNE: Thank you.

16 MS. GAPUD: I want to make some comments.

17 MR. PAYNE: Okay. Thank you.

18 MS. GAPUD: Thank you, Emily.

19 OPERATOR: You're welcome.

20 MR. PAYNE: Okay. Next is Ms. Gapud.

21 MS. GAPUD: Okay. Thank you so much. As
22 part of the poultry industry, in my opinion, the

1 proposed modernization inspection rule is a long
2 time overdue.

3 MR. PAYNE: I'm sorry, Ms. Gapud. Could
4 you -- we're having difficulty hearing you. It
5 seems like there's a distance between you and the
6 speaker. If you could speak closer to the
7 microphone.

8 MS. GAPUD: Okay. Yes, it's very hard
9 to --

10 MR. PAYNE: Okay.

11 MS. GAPUD: This is Veneranda Gapud. As
12 part of the poultry industry, in my opinion, the
13 proposed modernization inspection is good overall
14 and also it's long time overdue. We all know that
15 the existing poultry inspection system was defined
16 prior to the establishment of the HACCP regulations.
17 I know lots of things have changed, and I know it
18 will cost the establishments money in order to meet
19 the requirements of the USDA, but I think given the
20 industry, the ownership of their operations and
21 process, I think that it's very encouraging, that
22 will encourage innovation, and also I think here it

1 is very clear that the USDA FSIS has now recognized
2 the tremendous efforts and investments that the
3 poultry industry has made in reducing the naturally
4 occurring pathogens in poultry. By giving us the
5 ownership of our operations and process, I think
6 that is commendable.

7 As you know, industry works so hard and we
8 succeeded in even exceeding the performance
9 standards that the FSIS has put out there, but the
10 thing that we are quite concerned, Keith, and I have
11 a meeting today with some members of my people in
12 the slaughtering plants, one of the main concerns
13 that we have is the lack of baseline on the post-
14 chill side. We need the USDA FSIS to define that
15 better for us.

16 Another thing that I want to mention is
17 that I can see here in this proposal, of course, we
18 will do everything we can in order to prevent any
19 contamination before the carcass enters the chiller,
20 but even we can say that there's no visible fecal
21 contamination in a particular carcass, there's no
22 absolute assurance that there won't be *Salmonella*

1 and *Campylobacter* in the carcass when it enters the
2 chiller, and that is reality, and we have to
3 consider, too, that the chiller as it -- is also a
4 way of intervention. It's part of intervention.

5 In some establishments, I know some people
6 have a post-chiller, but some like us, we do have a
7 post-chiller which also helps in reducing the amount
8 of pathogens.

9 So I think the Agency should clarify or
10 define better the baseline especially in the post-
11 chill side. We need that in order for us to supply
12 our consumers with wholesome and safe product, but
13 overall the modernization inspection I think in my
14 opinion is great. Thank you.

15 MR. PAYNE: Thank you, Ms. Gapud.

16 Emily, next in the queue.

17 OPERATOR: Sarah Klein.

18 MR. PAYNE: Ms. Klein.

19 MS. KLEIN: Hi, everyone. I wanted to
20 respond specifically to the question that was raised
21 about whether the Agency can provide positive data
22 to demonstrate the future success that's being

1 predicted.

2 I wanted to note that consumer groups are
3 very uncomfortable that the Agency is relying so
4 heavily on HIMP data as a way to demonstrate what
5 they believe to be is the projected success of this
6 new program. I'm looking specifically at Table 6 in
7 the *Federal Register* Notice, and noting that even
8 with the HIMP data, which, of course, the HIMP
9 plants were a pilot program closely watched, all of
10 the data was recorded. That's not what we're going
11 to be having under this new system, but even under
12 the HIMP plants, the data does not show a definitive
13 success rate. In fact, in 2009 and 2010, the non-
14 HIMP comparison broiler establishments had lower
15 rates of *Salmonella* and *Campylobacter* than did the
16 HIMP broiler establishments.

17 So I'm concerned that the Agency is relying
18 so heavily on that data which to us is simply not
19 convincing.

20 I think if we're going to do a national
21 program, we need greater assurance of uniform
22 behavior and of data collection that can show that

1 there are standards and that plants are meeting
2 them. I think that the idea of letting each
3 establishment define their own testing and sampling
4 programs with only the *Salmonella* and *Campylobacter*
5 performance standards as the tool, the Agency tool,
6 is something that the consumer groups are
7 increasingly uncomfortable with. Thank you.

8 MR. PAYNE: Thank you, Ms. Klein.

9 Emily, next in our queue.

10 OPERATOR: Nancy Donley.

11 MR. PAYNE: Ms. Donley.

12 MS. DONLEY: Thanks. Hello, everybody. I
13 would like to ask FSIS to explain its rationale
14 behind the increased line speeds and how is that
15 going to relate to increased food safety. We have a
16 real problem with *Salmonella* and *Campylobacter* in
17 poultry products under current line speeds, and I'm
18 curious as to how you think increased line speeds
19 are going to lead to increased food safety.

20 MR. DERFLER: This is Phil Derfler. You
21 know, this purpose of this call is really not for
22 the Agency to respond to questions. I think the

1 questions are important for the Agency to consider
2 them or address them in the comments.

3 I think, you know, what we've talked about
4 in the proposed rule is that, based on the
5 experience that we've had with HIMP, based on the
6 risk assessment, based on other things we've seen,
7 that we've outlined in the record, we think that the
8 record shows that by taking our people off the line
9 and moving them back on the line, that we're able to
10 increase the -- there's no reason that the line
11 speeds can't increase and yet still maintain safety,
12 but I think really what you need to do is you need
13 to look at the record, you need to look at the
14 information that we put in the record and then make
15 your comments on the basis of that.

16 MS. DONLEY: Okay. I would like to just
17 add though, so that when we meet in person and we go
18 off into our subcommittees, there is always FSIS
19 personnel there, to your point of answering
20 questions. So I don't see why in this forum that we
21 can't have the same sort of, you know, back and
22 forth communication particularly to clarify points.

1 That's just my input.

2 MR. DERFLER: Okay. Thank you.

3 MR. PAYNE: Thank you, Ms. Donley.

4 Emily, next in the queue.

5 OPERATOR: I am showing no further
6 questions or comments.

7 Patricia Buck has raised her hand.

8 MR. PAYNE: Ms. Buck.

9 MS. BUCK: Yes, thank you again. In
10 reading the rule, and again as I said earlier, this
11 is such a major change in the rule, that I think we
12 need more time to thoroughly evaluate it, but in the
13 rule, you talk about the need to have trainers for
14 the pre-sorters, and yet I saw no indication in the
15 rule where that training was going to be mandatory,
16 and I think it's very important if we're going to
17 rely on an employee to pre-sort for the carcass
18 inspector who is on the line, that training becomes
19 an integral part of your proposal unless I misread
20 the rule. I don't know if I did.

21 The other thing that I would draw some
22 attention to, and it keeps coming back to how are we

1 going to use this testing? Right now you have
2 verification testing with the *Salmonella*
3 verification testing program, and you have the
4 baseline data that you use, and you also have the
5 *E. coli* testing with sanitation.

6 I have no problem with having an expansion
7 of the testing program. However, I would like to
8 very much see the reasoning why you selected two
9 points for testing as opposed to three points. I
10 know three points at one time had been discussed by
11 the Agency, and it was discounted because there was
12 not a cost benefit that evidently that was
13 associated with it, and again it comes back to when
14 you make those cost-of-burden estimates, do you
15 include the long-term health outcomes because those
16 outcomes really are substantial? They can be from
17 arthritis to Guillain-Barre, to neurological
18 problems to kidney dysfunction, and I think we
19 really need to start incorporating them into our
20 plans as we move forward. Thank you.

21 MR. PAYNE: Thank you, Ms. Buck.

22 Emily, next in the queue.

1 OPERATOR: John Tilden.

2 MR. PAYNE: Dr. Tilden.

3 DR. TILDEN: Yeah, Keith, can you hear me?

4 MR. PAYNE: Yes.

5 DR. TILDEN: Okay. So first off, I just
6 wanted to say I appreciate the general direction
7 that FSIS continues to have where they are using
8 data-driven decision making and moving towards
9 focusing on microbial safety. So I appreciate the
10 general direction and the commitment that FSIS
11 continues to make.

12 That said, there are an awful lot of
13 changes going on at once in this proposed rule, and
14 I can see that there's going to be potentially some
15 challenges in implementing it consistently across a
16 wide range of different kinds of facilities. I can
17 see that the HACCP Implementation Models Projects is
18 one good source of data. I'm not convinced that you
19 can generalize that data across the board, and some
20 of your folks that should be most effective allies
21 in moving forward, raising questions, at least
22 there's a risk communication issue here that I think

1 the Agency would be wise to address.

2 For example, it is a little bit
3 counterintuitive to say that increasing line speeds
4 is going to reduce microbiological loads, and it
5 also had to say, when you've got 145 birds per
6 minute going in front of you, to say that that isn't
7 going to impact the sensitivity of the systems to
8 detect fecal contamination and keep it from getting
9 into the chiller.

10 And I think it is a challenge for folks to
11 go through the sheer volume of information that's
12 out there and get to the bottom line and feel
13 comfortable that they have good answers to that.

14 That being said, I think we support the
15 general move away from bird-by-bird, looking at
16 visible characteristics and moving towards
17 microbiological safety and using those as
18 indicators.

19 I do have a little bit of a concern about
20 leaving too much flexibility in the determination of
21 microbiological monitoring. As everyone probably on
22 this call knows, there's a lot of variations on

1 indicator species and what's the appropriate
2 organisms to test for, and I think that the devil's
3 going to be in the details in making sure we end up
4 having consistent and comparable information so that
5 we can assess a year or two from now what we learned
6 and which parts of the implementation process had
7 been going well and where adjustments are needed.

8 Thanks.

9 MR. PAYNE: Thank you, Dr. Tilden.

10 Mr. Derfler.

11 MR. DERFLER: So this is Phil Derfler. Let
12 me just address one thing that Dr. Tilden just said.
13 We're not saying that increased line speeds are
14 going to lead to less microbiological contamination.
15 What we're saying is that there are changes that we
16 can make in how we do inspection, and ultimately the
17 ultimate effect of those are both increased line
18 speeds but also better microbiological control and
19 safer product. So it's sort of a combination in the
20 whole system. So I just want to clarify that point.

21 DR. TILDEN: Yeah, this is John Tilden
22 again. And, Phil, I really appreciate that, and I

1 do think just knowing from other regulatory program
2 experiences that when you're changing a lot of
3 things at the same time, it's essential to have the
4 data so that you can evaluate each component part
5 and make sure that what makes intuitive sense or
6 what you would think would be the effect, really
7 does have that effect, especially in a wide range of
8 different contexts.

9 MR. PAYNE: Thank you, Dr. Tilden again.

10 Emily, next in the queue.

11 OPERATOR: Carol Tucker-Foreman.

12 MR. PAYNE: Ms. Tucker-Foreman.

13 MS. TUCKER-FOREMAN: Thank you. This is
14 Carol Tucker-Foreman with Consumer Federation of
15 America. First off, I want to associate myself with
16 the comments made by Sarah Klein and Pat Buck and
17 Nancy Donley. Sarah made it clear at the beginning
18 that comments she made were ones that reflected the
19 views of all the consumer members, and we would
20 welcome support from others on the Committee who are
21 concerned about the lack of information in some key
22 areas and a lack of specificity.

1 I'd like to raise two other quick points.
2 One is let's take a look back in history for just a
3 minute. The Raw Poultry Products Inspection Act,
4 Federal Meat Inspection Act, both require daily
5 inspection at least of processing plants. As the
6 poultry industry has grown over the years and
7 demanded more and more and more personnel to do the
8 visual inspection of the increased poultry being
9 produced, the Agency has not been able to increase
10 its staff to cover both those needs and to have an
11 inspector visit every process plant and be in a
12 processing plant, and it has gone to patrol
13 inspections.

14 In the early 1970s, when we produced far
15 less meat and poultry in this country, there were
16 9,500 inspectors. There are now I think around
17 7,200 inspectors.

18 There has been a problem with vacancies in
19 the Agency, and there is a problem that many high-
20 risk plants, particularly those making fresh ground
21 beef and fresh poultry, are visited on a patrol
22 basis. Somebody goes there once a day. Sometimes

1 because of the area they have to cover, they are
2 there for a very limited period of time, and some of
3 those plants have been involved in outbreaks.

4 If the Agency is really concerned with
5 improving public health, then I think first you have
6 to assure that there's no vacancies out there in
7 processing inspectors, and second, you could reduce
8 or eliminate the number of high risk plants that are
9 visited on a patrol inspection basis, especially
10 those that are producing a large amount of product
11 every day, and I'd like to suggest that, and I am
12 speaking for the consumer groups here, that rather
13 than reducing the inspection force, FSIS should
14 first assure you're covering those high risk ground
15 beef, ground poultry plants with adequate personnel
16 and they're not just having a quick look once a day
17 because the inspector has to cover so many places.
18 I think that's something that should be assured.
19 It's not addressed in this rule, but we're supposed
20 to advise the Agency on policy, and so you have our
21 advice on this element of the policy.

22 The other thing is that I just have to say

1 that this kind of consultation with the Advisory
2 Committee may meet the letter of the law, and
3 consultation is required by the law, but it really
4 is inadequate, and I think that the Agency has
5 exposed itself to unnecessary criticism for
6 conducting this kind of unsatisfactory consultation.
7 There's no opportunity for the give and take that we
8 have in a regular NACMPI meeting. There's no
9 opportunity for the public to speak. I know they
10 can file comments, but you missed something, and all
11 of the people involved on the Committee missed
12 something by not having an opportunity for the give
13 and take that we usually have in a NACMPI meeting.

14 And what you all really lose is, at the end
15 of NACMPI meetings, we always managed to come to
16 some agreement on the issues that you ask us to
17 comment on. So you're missing an opportunity to
18 have the various interests represented on the
19 Committee come together and come up with some
20 creative compromises on some of the contentious
21 issues here. You won't have that input, and that's
22 very unfortunate.

1 And, again, I think that in your rush to
2 judgment, you've lost an opportunity, and I've got
3 to tell you, I think that it raises questions about
4 the Agency's intentions when you try to steamroller
5 something through the way you're doing this.

6 So thank you.

7 MR. PAYNE: Thank you, Ms. Tucker-Foreman.

8 Emily, next in the queue.

9 OPERATOR: Heidi Kassenborg.

10 MR. PAYNE: Dr. Kassenborg.

11 DR. KASSENBERG: Hello and good afternoon.
12 I'd just like to support FSIS' move of visual
13 inspection to more of a science-based approach. I
14 think that having more inspection staff doesn't
15 necessarily make it a better system. If the task
16 that they're actually performing don't contribute to
17 public health, and also as with everything,
18 implementation will be key. So if we can adapt some
19 of the findings that they found on the HIMP plants,
20 I think that will be key, but not every facility
21 will be set up to implement it to the same degree,
22 and so I think that will need some close scrutiny,

1 and that concludes my comments.

2 MR. PAYNE: Thank you, Dr. Kassenborg.

3 Emily, next in our queue.

4 OPERATOR: Currently showing no one else in
5 the queue.

6 DR. WILLIAMS: Keith, Byron Williams,
7 Mississippi State University. I don't know if I'm
8 being heard.

9 MR. PAYNE: Dr. Williams, yes, we can hear
10 you.

11 DR. WILLIAMS: Okay. I clicked on the
12 Raised Hand for the queue but didn't -- but a couple
13 of additional comments I'd just like to make to the
14 Agency.

15 There are very few or limited State-
16 inspected poultry facilities, but just wanted to
17 comment to the Agency about what the philosophy is
18 or the intent for those plants that are under state
19 inspection or other means of inspection, whether
20 they will be allowed the opportunity to adapt this
21 or maintain existing conditions as they go forward.

22 I, too, applaud the Agency for looking at a

1 more scientific base as the HACCP system has shown
2 to put the emphasis on the plant for doing the
3 routine types of things and more of the quality
4 aspects, if you will, of poultry carcass sorting and
5 so forth, to allow the Agency more time to focus on
6 the food safety issues. That's it for now.

7 MR. PAYNE: Thank you, Dr. Williams.

8 Emily, next in the queue.

9 OPERATOR: I'm not showing anyone else in
10 the queue.

11 MR. DERFLER: This is Phil Derfler. If I
12 could just respond for a second to Mr. Williams'
13 comment. I mean since this standard -- this is a
14 proposed rule, and obviously we've got to see what
15 the comments say, and we'll make a final decision on
16 the way we go. If we were to adopt an inspection
17 system like that outlined in the proposal, you know,
18 the states have to have an equal to system. We'll
19 have Traditional Inspection and we'll have the new
20 system, which would give, it seems to me, the states
21 a fair amount of latitude in deciding what they want
22 to do in getting to have an equivalent system.

1 But going back to a point that was made
2 before, or a question that was raised, just remember
3 that some of this is going to be voluntary on the
4 plant, where the plant gets to elect whether they
5 want to have the new -- under the proposal, the
6 plant, as Ms. Porretta pointed out, the plant gets
7 to decide whether or not they want to go to the new
8 inspection system or whether they want to operate
9 under Traditional Inspection, but there will be
10 certain things that all plants will have to comply
11 with, and that's all laid out in the proposal.

12 MR. PAYNE: Emily, do we show anyone in the
13 queue?

14 OPERATOR: Yes, several people.
15 John Tilden.

16 MR. PAYNE: Dr. Tilden.

17 DR. TILDEN: Keith, I just wanted to second
18 Byron's comment. I think that's getting at how do
19 we implement this in small and very small plants,
20 and I really appreciate the good work that FSIS has
21 done in their outreach in general in peaking up that
22 outreach, but I think, and this goes back to the

1 implementation issue, is most of the time when
2 you're trying to help people make sound decisions,
3 both from a food safety and from a business case,
4 the more specific the data, the better, as everyone
5 knows.

6 So I think it will be essential as you move
7 forward with this to have the data for decision
8 making so that as you implement this, FSIS is
9 gathering enough comprehensive information across
10 the board so that they can make to apples-to-apples
11 comparisons and then the rest of the industry may
12 not be the first in line to volunteer to implement
13 these things, can learn from the experience of those
14 that do. Thank you.

15 MR. PAYNE: Thank you, Dr. Tilden.

16 Next in our queue, Emily.

17 OPERATOR: Shelton Murinda.

18 MR. PAYNE: Dr. Murinda.

19 DR. MURINDA: Can you hear me?

20 MR. PAYNE: Yes.

21 DR. MURINDA: Thank you. Shelton Murinda,
22 California Poly, Pomona.

1 I applaud the Agency for proposing this
2 important and comprehensive overhaul and also trying
3 to emphasize science-based approaches to food
4 safety. I do have a few small comments.

5 Firstly, it is indicated the Agency
6 requires rescinding testing for generic *E. coli*.
7 Isn't this at variance with previous statements that
8 indicated that we intend to use suitable indicators
9 since generic *E. coli* has been the traditional
10 indicators for fecal contamination in place of
11 testing for specific pathogens like *Salmonella*,
12 *Campylobacter* and Shiga toxin producing *E. coli* and
13 *Listeria*?

14 In this regard, the current indicator would
15 remain very vague if it is not defined, and we also
16 need to define what will be the suitable indicators
17 for the two major pathogens that are being proposed,
18 that is *Campylobacter* and *Salmonella* species, if the
19 generic *E. coli* are to be omitted.

20 That's the end of my comments.

21 MR. PAYNE: Thank you, Dr. Murinda.

22 Next in our queue, Emily.

1 OPERATOR: Patricia Buck.

2 MR. PAYNE: Ms. Buck.

3 MS. BUCK: Yes. I wanted to, number one,
4 applaud Dr. Tilden for many of his comments. I
5 thought they were very good, and I think you should
6 certainly pay attention to them as well as to the
7 consumer comments and others, of course.

8 One of the things that I have to keep
9 coming back to is just what Dr. Tilden brought up
10 again, and that is that we need to have specific
11 data and some of the data that FSIS has used in
12 their risk assessment, which is largely what they
13 base this proposal on, does not really get to some
14 of the issues that need to be investigated.

15 I would recommend to the Agency that they
16 once again seek the outside assistance of a
17 statistical person, either within or outside of the
18 Agency, to find out exactly how they can create the
19 type of system that will allow them to make the
20 comparisons, that will allow them to make
21 projections about the future, that will really give
22 them the opportunity to compare the trends that are

1 forthcoming.

2 For example, one of the jobs that an
3 inspector is supposed to do under the CCPs is to
4 look for other infections, and those other
5 infections are really important for us to be aware
6 of and to track because they are the emerging ones,
7 and yet we do not have a system in place with this
8 proposal to really get to how we're going to collect
9 that type of data.

10 So I encourage them, I am encouraged with
11 some of the things in this proposal, but there are
12 certain specific things that have not been named
13 that have to be included. Allowing just any
14 indicator pathogen to be used, I'm not for. I think
15 we have to spell out the pathogen that you're going
16 to use to build a system where you can actually
17 compare the apples to apples.

18 I think the other thing we have to do is
19 have mandatory training requirements in this
20 proposal.

21 You know, Barbara Kowalcyk has told you
22 many, many times that you can take the *Salmonella*

1 verification testing program and turn it around and
2 make it into something that could be used to do the
3 types of things you want to do, and I think you need
4 to start investigating those options.

5 Thank you very much.

6 MR. PAYNE: Thank you, Ms. Buck.

7 Next in our queue, Emily.

8 OPERATOR: Jeff Walther.

9 MR. PAYNE: I'm sorry. Is that Walter?

10 OPERATOR: Walther.

11 MR. PAYNE: He's not on our Committee.

12 OPERATOR: Well, then, the next Committee
13 member would be Carol Tucker-Foreman.

14 MR. PAYNE: Ms. Tucker-Foreman.

15 MS. TUCKER-FOREMAN: Yes. This is Carol
16 Tucker-Foreman. Sorry, I hit my button on mute.

17 I did want to make a point that several
18 people have commended the Agency for moving to a
19 more science-based system here, and I did want to
20 make the point that the consumer groups that have
21 commented, particularly Sarah and Pat, have raised
22 issues about the science in this proposal. We, too,

1 support science-based systems. We supported the
2 HACCP system, and we continue to support it.

3 However, we've raised concerns about
4 certain elements of the proposed science in this
5 program, and I haven't heard anybody, since we seem
6 to have some give and take time now, I haven't heard
7 much comment on the specifics that Sarah Klein
8 raised. Maybe we could have an exchange about that.
9 Thank you.

10 MR. PAYNE: Thank you, Ms. Tucker-Foreman.

11 MR. ALMANZA: This is Al. I think we have
12 a bit of time to do that right now, and I did want
13 to, I wanted to go into answering a couple of
14 questions that have kind of been left on the table.

15 Number one, this is planned to be a phased-
16 in project. So it isn't going to be wide open to
17 where everybody comes in right off the bat. It's
18 going to be staged, so tiered in. We're looking at
19 over a three-year period, so that we're looking at
20 just letting in a few plants at a time per district
21 so that we don't overwhelm the ability for us to
22 staff the inspection positions in those plants

1 because we don't want to have a personnel type issue
2 occur. So that's one.

3 The thing that I did want to talk about a
4 little bit because the appearance that we're trying
5 to expedite this process really isn't true. We
6 normally give a 60-day comment period. We offered
7 up a 90-day comment period to start out with, and so
8 there's nothing about us trying to steamroll or
9 trying to push anybody into doing anything in an
10 expedited process, and we still have the letter from
11 the consumer groups that has requested for us to
12 extend the period, and we certainly are considering
13 that.

14 The thing that is very, very relevant here
15 is that we are looking at a more science-based
16 approach. I can speak from personal experience that
17 being in HIMP plants before, having been a district
18 manager in a district that had HIMP plants, there
19 are a number of issues that simply cannot be
20 disputed in those types of plants, and even with the
21 increased line speeds, the inspector's
22 responsibility is still to look for abnormalities on

1 the line. That's basically what they're looking for
2 when they're inspecting the poultry as it goes by
3 them currently and in HIMP plants as well.

4 So, I don't know, Hany, did you want to
5 speak to any of the other questions that were
6 raised?

7 DR. SIDRAK: Not specific. This is Hany
8 Sidrak with FSIS, Office of Field Operations. I
9 just want to maybe make a comment regarding a point
10 that was raised earlier about each carcass would
11 receive inspection. That would be the case also
12 under this proposed rule. So I wanted to make sure
13 that this is, you know, a point that's clear.

14 As far as the science that supports the
15 poultry slaughter rule, I think 10 years worth of
16 data under HIMP, we went into analysis of that as
17 Mr. Derfler mentioned, for the previous five years,
18 and in some cases, two years, with certain
19 parameters that supports along with what we've
20 experienced in the plants as Mr. Almanza said,
21 supports the proposed rule as a very viable option
22 to modernize poultry inspection. I can get into

1 more --

2 MR. ALMANZA: That's fine. I just wanted
3 to make sure that we kind of covered some of the
4 issues that were left on the table, and then we can
5 respond to some of the comments.

6 MR. PAYNE: Thank you, Dr. Sidrak.

7 Emily, any other questions or comments in
8 the queue?

9 MS. GAPUD: Keith. Hello.

10 MR. PAYNE: Yes, Ms. Gapud.

11 MS. GAPUD: Again, Veneranda Gapud again
12 with Fieldale Farms.

13 I want to make a comment regarding
14 training, the importance of training, and I know
15 that in the proposal, there's something in there,
16 where they're talking about that the FSIS needs to
17 develops guidance documents to assist establishments
18 in the training of the sorters, but I just want to
19 mention also, yes, that is great to help the
20 establishments, but I think also the FSIS, they have
21 to be sure that the inspectors are well trained in,
22 you know, inspecting the products because even now

1 we do see some of these inspectors, sometimes we
2 don't know what they're asking and sometimes it's
3 not reasonable at all.

4 Okay. So I think the training for both the
5 inspectors and the people in the plant is really
6 vital to be successful in this modernization. Thank
7 you.

8 MR. PAYNE: Thank you very much.

9 Emily, next in our queue.

10 OPERATOR: Sarah Klein.

11 MR. PAYNE: Ms. Klein.

12 MS. KLEIN: Hi. Thank you. I want to talk
13 briefly about a couple of things that have just come
14 up recently.

15 The first is that I feel like we're using,
16 and I'm sorry, I feel like this would be easier if
17 we were sitting around a table and to have more of a
18 back and forth, but this is what it is. So I think
19 that we can all stipulate that we all are in favor
20 of a more science-based approach to inspection. I'm
21 concerned that what's being set up here is a little
22 bit of a -- some of us are in favor of science based

1 and some of us are not, and that's certainly not the
2 case.

3 The question is what is the science that is
4 underpinning the changes that are proposed.

5 Mr. Almanza just said that the Agency was
6 already planning a tiered-in approach over three
7 years, where the phases would be numbers of plants
8 would be admitted at a time to begin the system.

9 The suggestion that I made at the outset of
10 the meeting, the proposal put forth by the consumer
11 groups, is also a phased-in approach, but instead of
12 phasing in by the number of plants, you phase in by
13 the substantive change that is being made. So you
14 phase in first a microbial testing system that is
15 standard and uniform and contains science-based data
16 collection that can give us a baseline of *Salmonella*
17 and *Campy* across all plants, comparable data.

18 Then you phase in, you know, tier two is
19 you phase in your next major substantive change
20 which could be the change in carcass sorting from an
21 inspector, from a FSIS inspector to a plant
22 employee.

1 Your third tier might be the change in line
2 speed.

3 What this does is give you, the Agency and
4 other stakeholders, the opportunity to see what
5 change is having what effect on the baseline data
6 that you collected so that, for example, we've
7 talked a lot on this call today about line speeds
8 and about how it has not been suggested, of course,
9 that the line speed will have a positive impact on
10 public health. Phil Derfler has been trying to tell
11 us that he doesn't see a reason why it will have a
12 negative impact on public health, and that's great
13 except that we can't change line speeds on the basis
14 of our belief that they won't have an impact on the
15 data. We should instead have a way to measure
16 whether the line speeds have had an impact on the
17 rates of contamination.

18 So, for example, making other changes
19 incrementally and then adding in gives you a real
20 opportunity. It's just like testing for a food
21 allergy. You remove all of the things from your
22 diet and then you add them in one by one so that you

1 can see which is the one that causes the negative
2 reaction.

3 So that is the idea that the consumer
4 groups are putting forth, and it seems as if there
5 were members of this Committee who were also
6 supportive of many of these elements, the idea of
7 standardized required testing for *Salmonella* and
8 *Campylobacter*, for example, and for other
9 specificity involved in that microbiological testing
10 program. I don't know whether other members of the
11 Committee are supportive of our phased-in approach
12 idea, but I'd certainly be interested to hear.
13 Thank you.

14 MR. PAYNE: Thank you, Ms. Klein.

15 Emily, next in our queue.

16 OPERATOR: John Tilden.

17 MR. PAYNE: Dr. Tilden.

18 DR. TILDEN: Yeah, Keith. So as I have
19 been kind of trying to make this a little more
20 interactive in my mind and with the new information
21 that's been presented on this call, I do think that
22 there's probably some agreement that we do need to

1 have some degree of standardization of microbial
2 testing between FSIS plants so that there is some
3 way of doing some comparability, and I have faith
4 that the FSIS folks have looked at this in detail,
5 and I have not been able to weigh into the specifics
6 of it. I'm not as strong an advocate that you have
7 to have exactly the same species for every one all
8 across the board, but I do think you do need to have
9 a group of folks that can look and say, here is the
10 battery of tests that we are going to use across the
11 board so that we have some degree of comparability
12 of the data.

13 And I do think that knowing the folks on
14 the call, that there is I think a broad agreement
15 that there's a lot of value in the FSIS verification
16 activities that are happening offline, and seeing
17 more of that, that helps buttress the process
18 controls that the industry is putting in place, and
19 the advantages of the adjustments to industry
20 process controls that are outlined in the rule, I
21 think there's some strong things there.

22 My concern is if you do change them all at

1 once, it's going to be hard to tell. It will take a
2 better designed implementation to do them all
3 simultaneously than phased in, and I'm not saying
4 you have to do them phased in, but I think it's
5 critical that we have an assessment process built
6 in, and I apologize to FSIS if you've already
7 outlined that, and I just missed it in some of the
8 documentation.

9 I also did want to revisit the bird-by-bird
10 inspection. I mean I think everyone recognizes that
11 this has cultural, it has political, it has food
12 safety tentacles to it, and I think it's essential
13 that if we are upping the line speeds, that we say
14 what is it that we are really detecting at the
15 higher line speeds? What is the sensitivity to
16 detect what outcome? And then how frequently are we
17 detecting that? And if we can't document what it is
18 we're detecting and at what frequency and what the
19 public health impact of that is, then I think that's
20 important for us to be able to put that on the table
21 and look at it objectively.

22 MR. PAYNE: Thank you, Dr. Tilden.

1 Emily, next in our queue.

2 OPERATOR: Patricia Buck.

3 MR. PAYNE: Ms. Buck.

4 MS. BUCK: Yes. I just wanted a quick
5 response here to the things that Vene brought up
6 brought up about the training. I very much agree
7 with the idea that she proposed that everyone needs
8 to have training if we're going to actually do this
9 job effectively.

10 And I wanted to draw to the other NACMPI
11 members' attention that FSIS actually has already
12 demanded of other countries, such as Australia and
13 New Zealand, when they've used employees to do
14 certain tasks, that those countries had to verify
15 that they certified that they had had a certain
16 level of training for their products and for their
17 processes, and I think if we're going to demand that
18 of other countries, we should be demanding that at
19 the very least, you know, for our domestic food as
20 well. Thank you.

21 MR. PAYNE: Thank you, Ms. Buck, and we
22 have Mr. Chris Alvares from FSIS to make a comment.

1 MR. ALVARES: Hi, this is Chris Alvares.
2 There have been a couple of comments in the
3 discussion about line speeds and the need to do
4 analysis, and I just wanted to make sure that the
5 Committee is aware and refers to, there is a report
6 that -- it's not the risk assessment that supports
7 the rule, but an additional report on HIMP where we
8 did look at the effect of line speeds on *Salmonella*
9 rates and other factors and did not see an effect at
10 least within the line speeds that both the
11 Traditional and the HIMP programs are operating at.

12 So I think we certainly would welcome
13 comment on how we can further analyze that data and
14 further kind of respond to line speed concerns, but
15 I would recommend that the Committee take a look at
16 that and look at some of the work that's been done
17 in that particular area.

18 MR. PAYNE: Thank you, Mr. Alvarez.

19 Emily, next in our queue.

20 OPERATOR: Carol Tucker-Foreman.

21 MR. PAYNE: Ms. Tucker-Foreman.

22 MS. TUCKER-FOREMAN: Thank you. Chris,

1 have those reports, the studies you just talked
2 about, been reviewed by peer reviewers outside FSIS
3 and USDA?

4 MR. ALVARES: No, that has not been peer
5 reviewed outside of FSIS.

6 MS. TUCKER-FOREMAN: Thank you.

7 MR. ALVARES: But it is posted and we
8 certainly welcome comment at least from that
9 perspective.

10 MS. TUCKER-FOREMAN: But the Agency has not
11 ever asked qualified people to assess the mechanisms
12 that you used to arrive at the data that you got to
13 say this is a good way to go about getting the data
14 that we have, and that you can't get that
15 satisfactorily in the comment period. We have
16 suggested a number of times that the Agency should
17 submit a lot of their substudies for outside review,
18 and I believe the GAO suggested that as well.

19 MR. DERFLER: This is Phil Derfler. I just
20 would remind everybody that after the GAO did its
21 review, the Hargis Committee did a review of the
22 study and of the validity of the study and concluded

1 that the study that we were doing was a valid study
2 and appropriate way to proceed, and the data that
3 we're looking at grew out of that study. So I would
4 just point that out for the record.

5 MS. TUCKER-FOREMAN: And who was it that
6 reviewed it?

7 MR. DERFLER: It was Dr. Hargis and the
8 committee from the -- there was a national -- I
9 forget. It's in the record. I'm sorry, Carol. I
10 don't --

11 MS. TUCKER-FOREMAN: And I remember it now.
12 It was Mississippi State as I recall, and --

13 MR. DERFLER: I think he's actually from
14 one of the Texas universities just to be honest.

15 MS. TUCKER-FOREMAN: Okay. And what year
16 was that?

17 MR. DERFLER: I think it was done in 2002
18 or 2003.

19 MS. TUCKER-FOREMAN: And it hasn't been
20 anything since, right?

21 MR. DERFLER: Well, you know, given the
22 findings of that committee, there was no reason to

1 do any more. We continued to operate under the
2 study, yes.

3 MS. TUCKER-FOREMAN: Well, there were a
4 number of criticisms of the outside group report as
5 well that were made but never accommodated by FSIS.
6 So I'm trying to remember now, but it was, as I
7 recall, the committee had very limited membership in
8 terms of the expertise that it brought. I don't
9 believe that there was a statistician among the
10 members, just for example.

11 MR. DERFLER: I just wanted to point out,
12 it's in the record.

13 MR. PAYNE: Okay. Thank you, Mr. Derfler.
14 Thank you, Ms. Carol Tucker-Foreman.

15 And who is next in the queue?

16 OPERATOR: Nancy Donley.

17 MR. PAYNE: Ms. Donley.

18 MS. DONLEY: I have actually a question
19 here. Now, we came forward, and I'll just state for
20 the record, you know, I solely support the comments
21 made by all of the other consumer organization
22 representatives here. We've come up with some major

1 recommendations, and actually Sarah in her initial
2 comments, about ways of going about this, that this
3 basically needs to be done in a more systematized
4 manner and not have all of these variables going in
5 at one time so that you can't measure which variable
6 is perhaps promoting the best good or the most harm.

7 That said, how are you taking these things
8 that we are suggesting today? Are you going to be
9 presenting them to the Secretary of Agriculture?
10 What are you going to do with the results of this
11 meeting? Because that's typically what you do after
12 an Advisory Committee meeting, correct?

13 MR. DERFLER: This is Phil Derfler. We're
14 going to get the transcript. We're going to post
15 the transcript. We're going to make it available
16 for public comment as well as, you know, people can
17 hear this and make comments on what they're hearing
18 at this meeting which would constitute the public
19 comment portion of it.

20 And then we're going to consider everything
21 we hear, that's in the transcript as well as all of
22 the other comments that we receive in the

1 rulemaking, and use those in reaching a decision on
2 how to proceed in the final rule.

3 Since there won't be any recommendation
4 from the Committee, there will be nothing to take
5 specifically from the Committee to the Secretary.
6 However, obviously wherever we go in the rulemaking
7 process will be reviewed by the Secretary.

8 MS. DONLEY: Do you have -- is there some
9 way, you know, there have been some very specific
10 proposals made here. I don't see any sort of a --
11 maybe we have some very specific things that we want
12 to take to the Secretary. So I guess that would be
13 raising those issues that have been raised in
14 getting to see where the Committee is on there, if
15 we have any consensus.

16 MR. DERFLER: I mean our plan is to take
17 whatever we get from the Committee during the course
18 of this meeting, plus the comments that we get
19 during the course of this meeting and in public
20 comments after this, evaluated and then put together
21 a final document that we would take to the
22 Secretary.

1 MS. DONLEY: Is that -- so you -- I'm
2 confused because you don't wait for public comments
3 when there is, yes, you have a proposed rule here.
4 You don't incorporate public comments into Committee
5 comments. I'm not saying this very well. Let me
6 start over again.

7 If this had been a regular type of meeting
8 where we were all sitting around, we had a day and a
9 half to discuss this, we broke out in our groups and
10 we came up with concrete ideas, that would go
11 directly to the -- you would take that and send that
12 to the Secretary. I do not understand why you are
13 combining stuff that is coming from this Advisory
14 Committee with public comments and then putting that
15 together as a common thing, to combine those two
16 inputs, if you will, to take to the Secretary. I'm
17 just not understanding the process.

18 MR. DERFLER: This is Phil Derfler. What
19 we're trying to do is we thought it would be
20 valuable to hear from the Committee. We also think
21 it would be valuable to get public comments on the
22 deliberations of the Committee.

1 Our plan is to factor the results of the
2 Committee's deliberation in our process of reaching
3 a final rule. This is somewhat unique in that we're
4 having this Committee meeting as part of the
5 rulemaking process. That's not usually how the
6 Advisory Committee works, and so that's why this
7 process is somewhat different. We're going to look
8 very closely at everything we get from this
9 Committee, everything that we get in public comment
10 on what we do, and we'll make determinations based
11 on our review of the record that we develop.

12 MS. GAPUD: Hi, Keith.

13 MR. PAYNE: Thank you, Ms. Donley.

14 Next in our queue is Ms. Gapud.

15 MS. GAPUD: Yeah, Keith, I want to make
16 another comment here. We are all consumers and, of
17 course, the Agency and the industry, we want to do
18 the right thing to protect the public, but the thing
19 of it is, each of us are members of the supply
20 chain, whether you are consumer, whether you are
21 Agency or you are part of the industry. So each of
22 us has responsibility when it comes to food safety.

1 What I can say here is that not only the
2 industry, the -- or whatever, but I think we need to
3 more when it comes to training and educating people
4 on how to cook the food properly. We will do
5 everything we can because, of course, who wants to
6 have no food, okay. Who wants to have, you know,
7 getting people sick. We don't want that.

8 Of course, there are some exceptions, too,
9 okay. However, I think it is the responsibility of
10 each member of the supply chain, and that includes
11 the consumers, the end user of the products, the
12 industry and the Agency, and if we work all together
13 and educate everyone on how to cook the food
14 properly, how to prepare the food properly, so that
15 they can address food safety, I think we are all
16 going to be good.

17 MR. PAYNE: Thank you, Ms. Gapud.

18 Anyone in the queue, Emily?

19 OPERATOR: Sarah Klein.

20 MR. PAYNE: Ms. Klein.

21 MS. KLEIN: Hi, this is Sarah Klein. I
22 wanted to touch a little bit on what Nancy Donley

1 was just saying. I, too, am increasingly
2 uncomfortable with the way that this particular
3 NACMPI meeting is going. I mean we asked for the
4 meeting. I'm pleased that the Agency has put
5 something together on a short timeframe, but I
6 didn't realize that the entire nature of the
7 meeting, not just the location of the meeting -- you
8 know, I knew that the location of the meeting or our
9 phone meeting was going to be different.

10 I didn't realize that the entire nature of
11 the meeting was going to be different, and by that I
12 mean exactly what Nancy was trying to say, that this
13 notion that somehow our comments, that they are
14 individual comments by Committee members that are
15 going to be folded into a larger record, analyzed by
16 FSIS staff, and then kind of taken into account as
17 the rulemaking process goes forward is very
18 different than what we are used to as a Committee,
19 which is that we can come to some sort of consensus
20 on certain points even if we don't have general
21 consensus on all things, even if we, you know -- but
22 we usually come out with a document that says here

1 are the things that the Committee puts forth as
2 advice, that's what's required by law, to the
3 Agency, and that's not part of a new rule notice and
4 comment. That is a separate advisory role that the
5 Committee serves, and it is not a favor to the
6 Committee members to have us fulfill that
7 responsibility. That is the role of the Advisory
8 Committee, and I don't think that we're being
9 allowed to fulfill it.

10 And I hesitate to be this negative about it
11 because usually I'm not, but I do really feel like
12 this is not turning out to be the appropriate use of
13 the Committee, and having us all submit individual
14 comments into the Committee record is simply not
15 what this Committee is supposed to be. We're
16 supposed to be discussing these issues and coming up
17 with Committee consensus points that are then
18 presented to directly to the Secretary.

19 You know, I could have just made individual
20 comments as my organization or in collaboration with
21 the other consumer groups into the written record
22 for consideration, but I'm supposed to get, you

1 know, two bites at this apple. I get a bite as a
2 regular old consumer to make a comment into the
3 record of the *Federal Register*, and I get an
4 additional opportunity to comment directly to the
5 Secretary as part of a National Advisory Committee,
6 and those are not the same opportunity, and I don't
7 think that they should be combined or conflated.
8 Thanks.

9 MR. ALMANZA: Sarah, I just want to say
10 that this is not normal because we are in the
11 rulemaking process, and so granted, I mean you all
12 requested this meeting, granted it's not the optimum
13 circumstances, but we felt it necessary to include
14 you all, and as Phil has explained what our process
15 will be, because we are in the rulemaking process,
16 this is, in fact, different.

17 MR. PAYNE: Thank you, Mr. Almanza. Thank
18 you, Ms. Klein.

19 Any other comments in the queue, Emily?

20 OPERATOR: Carol Tucker-Foreman.

21 MR. PAYNE: Ms. Tucker-Foreman.

22 MS. TUCKER-FOREMAN: Thank you. The law

1 does require, the Poultry Products Inspection Act
2 does require that the Agency consult with the
3 Advisory Committee on major policy changes. I don't
4 believe that that was done before the rule, the
5 proposed rule was issued. So you're not really
6 doing us a favor by holding this meeting. I think
7 what you're doing is perhaps trying to correct
8 something you left out previously. Thank you.

9 MR. PAYNE: Thank you, Ms. Tucker-Foreman.

10 Next, Emily, in our queue.

11 OPERATOR: No further comments or questions
12 in the queue.

13 MR. ALMANZA: All right. Well, this is Al,
14 and so I think we've now come to the time to close
15 the meeting. I want to thank everybody for your
16 comments. We value your input and your expert
17 opinions, and thank you to those who took time to
18 listen in today.

19 I also want to thank Sally Fernandez and
20 Keith Payne and other members of the staff of the
21 Office of Outreach, Employee Education and Training
22 for putting this meeting together, and Mary Porretta

1 for her presentation today.

2 If you wish to comment on today's meeting,
3 please e-mail your comments on this meeting to
4 NACMPI@fsis.usda.gov or mail your comments to
5 NACMPI, USDA, FSIS, 1400 Independence Avenue
6 Southwest, Room 1180, South Building, Washington,
7 D.C. 20250. All submissions must include docket
8 number FSIS-2012-0016. Comments on the proposed
9 rule itself should be submitted through the Federal
10 eRulemaking Portal at www.regulations.gov or by mail
11 to USDA, FSIS, OPPD, RIMD, Docket Clearance Unit,
12 Patriots Plaza 3, Room 8-164, 355 E Street
13 Southwest, Washington, D.C. 20024-3221.

14 The meeting is now adjourned. Thank you.

15 (Whereupon, at 3:26 p.m., the meeting was
16 concluded.)

17

18

19

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C E R T I F I C A T E

This is to certify that the attached
proceedings in the matter of:

NATIONAL ADVISORY COMMITTEE ON
MEAT AND POULTRY INSPECTION

PROPOSED RULE

MODERNIZATION OF POULTRY SLAUGHTER INSPECTION

Washington, D.C.

March 21, 2012

were held as herein appears, and that this is the
original transcription thereof for the files of the
United States Department of Agriculture, Food Safety
and Inspection Service.

TIMOTHY J. ATKINSON, JR., Reporter
FREE STATE REPORTING, INC.