

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18

UNITED STATES DEPARTMENT OF AGRICULTURE

IN RE:

FSIS DRAFT LISTERIA RISK ASSESSMENT TECHNICAL MEETING

DOCKET NO. FSIS-03-01

Meeting held on the 26th day of February, 2003

at 8:00 a.m.

10 Thomas Circle, N.W.
Massachusetts and 14th Street
Washington, D.C.

TRANSCRIPT OF PROCEEDINGS

BEFORE: HONORABLE DANIEL L. ENGELJOHN, PH.D.
Acting Assistant Deputy Administrator OPPED

1	INDEX	
2		Page
3	Opening Remarks - Karen Hulebak, Sc.D.	4
4		
5	Welcome - Elsa A. Murano, Ph.D.	7
6		
7	Overview - Garry L. McKee, Ph.D., M.P.H.	13
8		
9	What is Risk Assessment? -	
10	Janell R. Kause, M.P.H., M.P.P., Senior	
11	Risk Assessment Division, OPHS	21
12		
13	FDA/FSIS Risk Ranking of RTE Foods -	
14	Robert L. Buchanan, Ph.D., Director,	
15	Office of Science, CFSA Food and Drug	
16	Administration	26
17		
18	Current Listeria Policy and Risk	
19	Management Questions -	
20	Daniel L. Engeljohn, Ph.D., Acting	
21	Assistant Deputy Administrator OPPED	45
22		
23	Introduction and Overview of the Draft	
24	FSIS Risk Assessment - Carol Maczka, Ph.D.	
25	Acting Director Risk Assessment Division,	
26	OPHS	54
27		
28	The FSIS Risk Assessment, Part I -	
29	Contamination on Food Contact Surfaces,	
30	Transfer to Ready-to-Eat Product,	
31	Growth of Listeria from Plant to Retail	
32	FDA/FSIS Model, Sanitation Efficacy and	
33	(Verification) testing -	
34	Daniel L. Gallagher, Ph.D., P.E. Associate	
35	Professor of Civil and Environmental	
36	Engineering, Virginia Tech	59
37		
38	The FSIS Risk Assessment, Part II	
39	Calibration of In-Plant Model	
40	Retail to Table FDA/FSIS Model Aspects	
41	Risk Assessment Outputs for Management	
42	Questions	128
43	Sensitivity Analysis -	
44	Daniel L. Gallagher, Ph.D.,	
45	P.E. Associate Professor of Civil	
46	and Environmental Engineering,	

1	Virginia Tech	149
2		
3	<u>PANEL DISCUSSION OF THE FSIS DRAFT</u>	
4	<u>LISTERIA RISK ASSESSMENT</u>	190
5		
6	Charlotte Christin, J.D., L.L.M.	
7	Senior Food Safety Attorney, Center	
8	for Science in the Public Interest	190
9		
10	Jenny Scott, Senior Director, Food	
11	Safety Program, National Food	
12	Processors Association	196
13		
14	Sophia Kathariou, Ph.D., Associate	
15	Professor Food Science, North	
16	Carolina State University, National	
17	Alliance for Food Safety (given by	
18	Karen Hulebak)	205
19	FSIS DRAFT LISTERIA RISK ASSESSMENT	
20	TECHNICAL QUESTIONS AND ANSWERS	224
21		
22	NEXT STAGES - Loren Lange, Acting	
23	Associate Deputy Administrator OPHS	240

P R O C E E D I N G S

February 26, 2003

MS. HULEBAK:

Good morning. I welcome all you hearty souls in making it here in the face of what used to be sort of a pleasant, delightful, because it was a relatively rare event in Washington, and that was snow. I think I speak for all of us when I say it's getting to get a little old. We are here this morning to talk about the FSIS Draft Listeria Risk Assessment. The focus of the meeting is discussion of the risk assessment on technical aspects of the risk assessment. FSIS will present the risk assessment to you that we have produced, and take this day to provide an opportunity for you to ask questions and raise points, and for us to hear what you have to say. Production of this risk assessment has been a top priority for FSIS. We began work on the risk assessment last spring, and have moved pretty quickly to produce a risk assessment faster, in fact, than many of you may have been accustomed to seeing by way of risk assessment development. And I think we can credit our excellent staff of risk assessment professionals and the people who support

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 them in achieving this feat. Our goal in producing
2 this risk assessment is to provide the best
3 possible scientific basis for regulatory decision
4 making. Again, today, this day, our focus is on
5 the risk assessment, and not on the agency's risk
6 management thinking or policy proposals or plans.
7 We've got only a day for this public meeting, so
8 let's focus on the science, the data, how we use
9 them in development of this assessment. We'll
10 begin the day with a series of remarks that will
11 help set the context for a discussion of the
12 assessment. We'll begin hearing from Under
13 Secretary Elsa Murano, followed by FSIS
14 Administrator, Garry McKee, and then we'll hear
15 from Janell Kause of FSIS on basic concepts in risk
16 assessment, and then from Bob Buchanan of FDA's
17 Center for Food Safety and Applied Nutrition, on a
18 key part of the risk assessment as we use it, which
19 is the FDA/FSIS Risk Ranking. Finally, this
20 morning's session will be wrapped up by Dan
21 Engeljohn, the Acting Assistant Deputy
22 Administrator for Policy in FSIS, on current
23 Listeria policy and risk management questions. The
24 kinds of questions that shaped our approach in

1 developing this risk assessment. We've started a
2 little late to allow people to get here, so I will
3 close up my remarks and turn to introduction of Dr.
4 Murano with this one note. Please, please turn off
5 cell phones, egg timers, whatever else you have in
6 your possession, and switch them to vibrate or some
7 other relatively silent means of alert. The
8 variety of music available in cell phones these
9 days is pleasant to all of us, I know, but we've
10 probably heard as many tunes as we need to.

11 I will turn now to Dr. Murano, introduce her, our Under
12 Secretary for Food Safety. In this position, Dr.
13 Murano oversees the policies and programs of the
14 Food Safety Inspection Service. Dr. Murano, as you
15 know, has extensive public and private experience
16 in food safety, both as a manager and an educator.
17 She's a professional microbiologist with a Ph.D.
18 in Food Science and Technology, and a Master's
19 Degree in Anaerobic Microbiology. She is doing an
20 excellent job of leading this agency, and we are
21 pleased to call her Under Secretary. Dr. Murano.

22 DR. MURANO:

23 Thank you very much, Dr. Hulebak. Well, good morning,
24 everybody. Welcome to this technical meeting to

1 discuss the Food Safety and Inspection Service's
2 Listeria Risk Assessment. Well, this risk
3 assessment is, as you heard Dr. Hulebak mention,
4 extremely important to our efforts to reduce
5 illnesses associated with this pathogen. We know
6 these efforts are needed because, according to the
7 Center for Disease Control and Prevention, there
8 has been a major listeriosis outbreak in the U.S.
9 about every two to four years. So rather than
10 accept this status quo, we must employ science in a
11 way that breaks this all too familiar cycle. And I
12 think you all agree. Our efforts to reduce
13 illnesses associated with Listeria monocytogenes
14 are also guided by the objectives spelled out in
15 the U. S. Department of Health and Human Services
16 AHealthy People 2010.® And that document sets
17 goals every ten years for a variety of health
18 concerns, including food-borne illnesses. So these
19 goals are also a driving force to us in our
20 assessment of current realities, and give us
21 resolve to be proactive and innovative in our
22 thinking in order to successfully achieve these
23 goals. Well, knowing that a problem exists is just
24 the beginning. The tough part is coming up with

1 solutions based on scientific data. Making policy
2 decisions without basing them on science, in our
3 opinion, is akin to shooting at a target in the
4 dark without night vision goggles. You have no
5 idea if you hit your mark. So when that mark is
6 the safety of the food on American tables, accuracy
7 is essential. And that's why this risk assessment
8 is so important. It gives us a science to support
9 our policy decisions. Many people don't realize
10 that risk assessment, in the pure sense of the
11 word, has been practiced for centuries in the
12 establishment of early food handling practices and
13 laws. Even today, most of us practice a form of
14 risk assessment, if you will, in our daily lives,
15 as we determine what we serve to our families for
16 dinner, or decide if we'll select a particular item
17 from the salad bar. Well, risk assessment has been
18 a rapidly developing field for many years,
19 beginning in 1983, when the National Research
20 Council published a book that provided valuable
21 information on chemical risk assessment. Risk
22 assessment is a complex process. It's one that
23 organizes and interprets scientific data. It
24 estimates the risk for specific scenarios, and

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 presents findings in a format that facilitates
2 informed decision making. It's the use of
3 scientific information to characterize and estimate
4 adverse effects from exposure to hazards. And
5 what's more, succinctly stated, input from
6 epidemiologists, microbiologists and other experts
7 is merged in a risk assessment to develop a model
8 that represents the best available understanding of
9 all factors affecting public health. Perhaps more
10 importantly, risk assessment helps stimulate the
11 impact of various control strategies which can be
12 used in developing risk management options. I
13 cannot stress enough to you this morning that in my
14 estimation, we have no excuse for not making the
15 best decisions that will reduce the risk of food-
16 borne illness. Ladies and gentlemen, to me,
17 traditional approaches which employ a piecemeal
18 evaluation of data are not going to give us the
19 gains in public health that we need to achieve. So
20 this Listeria risk assessment that will be
21 presented to you today is a prime example of how we
22 can use the scientifically based process of risk
23 assessment to quantitatively identify the risks
24 posed by this organism to public health and

1 evaluate the impact over a wide variety of possible
2 mitigation strategies. Specifically, today, you
3 will see that during the course of our risk
4 assessment, we learned that a combination of
5 environmental testing, sanitation and antimicrobial
6 interventions yielded greater benefits than any one
7 strategy alone. In addition, the risk assessment
8 also demonstrated that the use of intervention
9 steps such as post-packaging pasteurization or the
10 introduction of growth inhibitors showed the most
11 dramatic public health benefit of all. While, as
12 you all know, in December, we issued a directive to
13 our inspectors that laid out an aggressive and
14 targeted approach to further reduce the risk of
15 listeriosis from ready-to-eat meat and poultry
16 products. This directive is a powerful tool in our
17 fight against Listeria. Through its
18 implementation, we have been achieving what I
19 believe was its intended purpose. Which is to find
20 this pathogen, wherever it may be in the plant
21 environment, before it can contaminate product.
22 Since its implementation, we are seeing an increase
23 in the sharing of testing data by industry with
24 FSIS. And, more importantly, in the execution of

1 corrective actions to eliminate Listeria from the
2 plant environment. In spite of these advances, we
3 are not stopping here. Our scientists at FSIS have
4 been working very hard to develop the risk
5 assessment that they will present to you today.
6 They know that it will provide us with valuable
7 insight into the best strategies that can be used
8 to defeat this pathogen, and it will be a vital
9 tool in creating a regulation that will work to
10 protect consumers because it will be based on
11 science.

12 Your participation is always as important as we develop
13 and update our food safety policies. I'm sure many
14 of you were present at the Listeria summit that we
15 held in November of last year, where we heard from
16 government, academia, industry and consumer
17 advocates on ways to address Listeria
18 monocytogenes. So I am glad that you have come to
19 what may be termed part two, and you're here today
20 to participate in the discussion on the technical
21 merits of the risk assessment. We expect there
22 will be many technical questions about the
23 assessment. That is why we're here today, to allow
24 you an opportunity to ask questions and offer

1 suggestions. You'll have time to carefully review
2 the assessment and provide your comments. Public
3 comments will be accepted until March 14. But I do
4 urge you to take advantage of today. We are here
5 because we want an open exchange of ideas that will
6 be constructive, and that will help us do the best
7 job possible in our quest to reduce the risk of
8 listeriosis from ready-to-eat meat and poultry
9 products. So, once again, I thank you for your
10 participation, certainly for braving the weather,
11 and coming to this meeting today. I look forward,
12 certainly, to the discussions. And I'd like to now
13 turn the program over to Dr. Garry McKee, our FSIS
14 Administrator.

15 DR. McKEE:

16 Thank you, Dr. Murano. On behalf of FSIS, I want to
17 welcome all of you here for this risk, Listeria
18 Risk Assessment Technical Meeting. We have a very
19 full agenda today. I have a lot to cover, and a
20 short time, and I'll keep my remarks short. We
21 have the importance, or we value the importance of
22 the public meetings in that they're vital to us as
23 we discuss the anticipated draft assessment, risk
24 assessment for Listeria. It's important that we

1 have the input and the dialogue that will occur.
2 Three months ago, many of us came together for the
3 Listeria summit to discuss current research and
4 information related to improving the safety of
5 ready-to-eat products. At that meeting, I shared
6 my vision for the agency, which is to make FSIS
7 into a world-class public health agency that is a
8 model for all public health institutions. I
9 believe that just about everyone in this room has
10 heard this vision by now. What we have done to
11 evolve toward this vision in combating listeriosis,
12 I'd like to discuss how FSIS has implemented the
13 three important functions of a model public health
14 agency. The first function is assessment. And we
15 conducted an unprecedented investigation with CDC
16 and other state and local agencies to identify the
17 source of the northeast listeriosis outbreak last
18 year. From this investigation, we found that some
19 establishments were not adequately addressing the
20 potential for bacterial contamination in their
21 house of plans. Sanitation, SOPs, and other
22 control measures. This led us to the second
23 function. Policy development. Dr. Murano
24 mentioned the directive we announced at the

1 Listeria summit and issued in December the
2 directive outline and aggressive and targeted
3 approach to further reduce the risk of listeriosis
4 from consumption of ready-to-eat products. The
5 last function is assurance. And we are making sure
6 that the increased activity, and issued a directive
7 that was implemented. Our inspectors are taking
8 the steps to ensure that establishment for
9 producing ready-to-eat meat and poultry products or
10 preventing *Listeria monocytogenes* contamination.
11 They're also directing an intensified testing
12 program consisting of increased product and food
13 contact surface testing, as well as environmental
14 testing inside the plant, and increased reviews of
15 the plants, records and data. We are also
16 targeting plants with intensified testing if they
17 have *Listeria* control programs but do not choose to
18 share the testing data with us on an ongoing basis.
19 Giving the gravity of the listeriosis outbreak
20 last autumn, we as a public health agency, felt it
21 was absolutely essential to take action with this
22 interim measure. The growth of *Listeria*
23 *monocytogenes* is not affected by low temperatures,
24 like salmonella or ecoli 15787, and we could not

1 afford to wait through the winter for the results
2 of our draft Listeria Risk Assessment to finalize a
3 rule. Furthermore, Listeria monocytogenes has the
4 second highest fatality rate for the food-borne
5 illnesses, at 20 percent, and the highest
6 hospitalization rate of 90 percent. FSIS took the
7 appropriate measures that had to be done to protect
8 the public's health.

9 I mentioned in the last Listeria meeting that we can
10 look at these meetings as repair shops, and that we
11 need to focus on long-term repairs based on
12 science. Short-term solutions don't solve the
13 recurring cycle of listeriosis outbreaks. In fact,
14 listeriosis outbreaks are quite analogous to a
15 problem that may hit home with some of you. And I
16 mean, literally, your home. During the past
17 weekend, here in Washington, we experienced an
18 incredible volume of water coming down from the sky
19 from either snow or rain. With so much water
20 coming to the ground at one time, the saturation
21 threshold was quickly surpassed. Where else would
22 this water go? The answer, unfortunately, was to
23 many of you, to your basements. Even if the water
24 didn't go into your basement, you certainly feared

1 that it might. After you get all of the water out
2 of your basement, you have to deal with the
3 aftermath, the repairs. The choices here are
4 numerous, depending on a number of factors. The
5 environmental circumstances, type of damage, amount
6 of damage that was incurred, and where the water
7 came in. After everything dries out, you could
8 repaint and make many of the necessary surface
9 repairs to the walls and floors, and then simply
10 put everything back in place. However, when the
11 next deluge comes, will your basement and all your
12 personal belongings be secure? The answer likely
13 may be no, if you only implemented short-term
14 solutions to a long-term problem. Repairing walls,
15 floors, after the flood is not solving your
16 problem. Without taking a look at the long-term
17 solution, such as digging up the earth, inspecting
18 the foundation, fixing the drainage, installing a
19 sump pump or improving the grade around your home,
20 you will keep your local Home Depot making money
21 hand over fist every time you come in for paint,
22 materials to fix the short-term problem. Just like
23 trying to keep water out of your basement, it takes
24 continual vigilance to provide an environment where

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 Listeria won't exist. Listeria will be there some
2 days, some days it won't. There are so many
3 factors to consider. The draft risk assessment
4 which we have come together today to discuss
5 provides an important tool for evaluating various
6 control measures in the production of ready-to-eat
7 and poultry -- ready-to-eat meat and poultry
8 products. Like examining the underlying factors
9 that contribute to your flooded basement, this
10 Listeria Risk Assessment looked at a variety of
11 issues such as the relationship between the
12 prevalence and level of generic Listeria on food
13 contact surfaces and the prevalence and level of
14 Listeria in ready-to-eat meat and poultry products.
15 The public health impact of different
16 concentrations of Listeria in product and the
17 availability of testing programs. Sanitation
18 processes and intervention steps to mitigate the
19 public health risks associated with Listeria. This
20 assessment is a vital step as we move forward
21 toward our final Listeria rule, which will lead us
22 toward a long-term solution, significantly reducing
23 illness and death from this pathogen. Today we'll
24 discuss all sectors of the draft risk assessment,

1 and I'd like to go over today's agenda with you
2 very quickly before we get started.

3 First, we'll hear from Ms. Janell Kause of our Risk
4 Assessment Division, who will explain a risk
5 assessment. Next, Dr. Robert Buchanan, the
6 Director of the Office of Science within FDA's
7 Center For Food Safety and Applied Nutrition, will
8 give us an overview of FDA's and FSIS's risk
9 ranking analysis of ready-to-eat foods. Then Dr.
10 Dan Engeljohn, our Acting Assistant Deputy
11 Administrator of the Office of Policy Program
12 Development and Evaluation will cover our current
13 Listeria policy and the risk management questions
14 from which FSIS risk managers requested the risk
15 assessment to be designed. After a short break,
16 Dr. Carol Maczka, the Acting Director of our Risk
17 Assessment Division, will give an overview of the
18 Draft Listeria Risk Assessment. This will lead us
19 into a presentation on Part I of the risk
20 assessment from Dr. Daniel Gallagher before lunch.
21 Dr. Gallagher is the Associate Professor of Civil
22 and Environmental Engineering at Virginia Tech.
23 And after lunch, Mr. Eric Ebel, from our Risk
24 Assessment Division, will give an overview of Part

1 II of the Risk Assessment. Mr. Ebel and Ms. Kause
2 will, whom I mentioned before worked in partnership
3 with Dr. Gallagher of Virginia Tech in developing
4 this risk assessment report. After a short break
5 in the afternoon, we'll head into the discussion
6 section of the risk assessment. Panelists of the
7 discussion will include Dr. Karen Hulebak, Deputy
8 Administrator of FSIS's Office of Public Health and
9 Science, Ms. Jenny Scott, Senior Director of Food
10 Safety Programs at the National Food Processors
11 Association, Ms. Charlotte Christin, Senior Food
12 Safety Attorney of the Center for Science in the
13 Public Interest, and Dr. Sophia Kathariou,
14 Associate Professor of Food Science at North
15 Carolina State University National Alliance for
16 Food Safety. After the panel discussion, Dr.
17 Maczka will cover the risk assessment's technical
18 questions and answers. Finally, Loren Lange,
19 Acting Associate Deputy Administrator of OPHS, will
20 wrap up the meeting with the Next Stages section.
21 With that said, I look forward to a very
22 constructive meeting today. Keep in mind that
23 we're looking for a long-term solution to protect
24 the American public from the ubiquitous pathogen

1 Listeria monocytogenes. Without further delay, I'd
2 like to turn the program over to Ms. Janell Kause.
3 Ms. Kause?

4 MS. KAUSE:

5 Thank you.

6 MS. HULEBAK:

7 Let me briefly introduce Janell. I'm sure these
8 are on, but I -- okay, ready to go. Janell is the
9 Interim Acting Director for the FSIS Risk
10 Assessment Division, and is -- has been the Project
11 Lead on the Listeria Risk Assessment that we're
12 here to talk about today. She is a Senior Risk
13 Analyst with over ten years of experience in risk
14 experience. Much of this time focused on food
15 safety microbial risk assessments. Janell.

16 MS. KAUSE:

17 Good morning. It's certainly a pleasure to be here to
18 do an exchange. How is the mike situation? Is it
19 clear? No. Okay, is that a little better? Thank
20 you. Before I begin, I'm going to go over some
21 basic concepts in risk assessment. Most of you are
22 very familiar with these, but we want to ensure
23 that everyone here is on the same page. To begin,
24 I want to discuss what the difference is for what

1 is risk. One of the concepts between risk and
2 hazard. Basically, risk is the likelihood and the
3 amount of harm that could occur. The hazard is the
4 chemical, physical or biological agent that could
5 cause the harm. In this case, we're talking about
6 *Listeria monocytogenes* as the hazard, and we're --
7 the risk assessment is designed to look at that
8 likelihood of it occurring. Basically, risk
9 assessment is one component of a three-part triad
10 for risk analysis. Risk assessment, as I've
11 already said, looks at the likelihood of an adverse
12 effect from a hazard. The type of questions that
13 get usually asked for risk assessment is what is
14 the likelihood of harm and how much harm could
15 occur. The other two components will be discussed
16 today -- that won't be discussed today are risk
17 management and risk communication. I believe that
18 both Dr. Murano and -- has done a good job of going
19 over what really risk assessment is in her talk.
20 So why do we use risk assessment? Well, we use
21 risk assessment to focus on hazards in meat and
22 poultry that pose the greatest risk to public
23 health. We also use risk assessments, as you'll
24 see today when we begin to discuss the FSIS

1 Listeria Risk Assessment to evaluate the public
2 health impact. For example, the reduction of the
3 number of illnesses of various interventions. It
4 allows us to do a comparison. Risk assessments are
5 designed to answer specific risk management
6 questions. And many times we're asked why the
7 model was built the way that it was. Well, it's
8 designed to answer the specific questions that come
9 from our risk managers. For the most part, we want
10 everything to be transparent and objective. And
11 here, today, we hope to have the interchange
12 between us and the public so that people can see
13 the data that was used to the underlying
14 assumptions and the modeling techniques used as
15 part of the transparency process. The slide that's
16 here is showing you, basically, there are many
17 different types of risk assessment. And many of
18 you are familiar with the risk assessments we've
19 done in the past that are farm to table Monte Carlo
20 models. They're what we call process risk models.
21 Those are what we consider highly quantitative in
22 nature. And they were designed that way to answer,
23 again, very specific risk management questions.
24 And then the other end of the spectrum, we can have

1 qualitative risk assessments as well. Those that
2 aren't very complex, that can be done on the back
3 of an envelope. Again, it depends on the type of
4 decision that needs to be made. Risk assessments
5 vary in scope. They can be farm to table, such as
6 the ecoli 0157 risk assessment for ground beef that
7 we did a while back. They can be retail to table,
8 which is the -- a risk assessment such as the
9 FD/FSIS Listeria Risk Assessment, which Dr.
10 Buchanan will be talking about right after this
11 talk, or they can be plant to tables, such as the
12 FSIS Listeria Risk Assessment that was -- that
13 we're going to go into detail today. Risk
14 assessments, as I've already mentioned, can vary in
15 their complexity. They can be qualitative, meaning
16 the answer can be high, medium or low risk. They
17 can be semi-quantitative, such as a hazard ranking,
18 which we did a few years ago for processing
19 inspection operations. Or they can be
20 quantitative. They can be Monte Carlo. They can
21 have an uncertainty analysis and a sensitivity
22 analysis. They can vary in type. They can be
23 point estimates, they could be risk rankings, they
24 can be process risk models, or they can be a

1 combination. Today's FSIS Listeria Risk Assessment
2 is a combination of a dynamic in-plant model and a
3 process risk model that goes from retail to table.

4 I want to always emphasize what the purpose of
5 risk assessments are. They're basically to be a
6 cytoic [ph] basis for regulatory decision making.
7 They also allow us, again, to evaluate various
8 strategies to manage risks. And with that, I'm
9 going to turn it over to Dr. Buchanan. Thank you.

10 MS. HULEBAK:

11 Thank you, Janell. I'd like to now introduce to you Dr.
12 Robert Buchanan. Dr. Buchanan has a Bachelor's of
13 Science, Master's of Philosophy, and Ph.D. Degrees
14 in Food Sciences from the Rutgers University. He
15 spent 25 years teaching and conducting research in
16 food safety first in academia, then with the USDA's
17 Agricultural Research Service, and most recently,
18 as the lead scientist for the FDA's food safety
19 initiative. I will note that during his time at
20 USDA, he was one of the co-developers of the widely
21 used USDA Pathogen Modeling Program. Dr. Buchanan,
22 please.

23 DR. BUCHANAN:

24 Thank you, and I'll try to adjust these for the short

1 guy. And then we're going to sit and pause for a
2 second while I wait for my visual aids, which seems
3 to have successfully frozen the computer. Okay,
4 here we go. And what I'd like to do is provide a
5 sort of quick summary of the FDA/FSIS Risk Ranking
6 Risk Assessment that we did for Listeria
7 monocytogenes. And I'd like to start off by, one,
8 thanking Dr. Sherry Dennis and Dr. Richard Whiting
9 for helping me put this presentation together and,
10 in fact, helping to do a lot of the -- most of the
11 work on the risk ranking. I will be covering this
12 very fast, so hold onto your seats. I have a, you
13 know, a 500-page document to update you with. But
14 I do want to start off by re-emphasizing a point
15 that Janell made that risk assessments are
16 performed to answer questions. And this was the
17 overall question that was the basis for doing the
18 risk ranking, risk assessment. That is to improve
19 public health by determining which foods should
20 receive the most regulatory attention. And I threw
21 that word regulatory attention in, but I'm using
22 that in the broadest framework. It's not just
23 regulatory attention in terms of regulations, but
24 also guidance, education, outreach, a variety of

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 activities conducted by the agencies.

2 I'd like to note also that we went to great pains in
3 putting this risk assessment together to do it on
4 the basis of guidelines that have been developed
5 both nationally and internationally by
6 organizations such as Codex Alimentarius, the
7 National Advisory Committee, ICMSF, on how to
8 conduct a microbial risk assessment, emphasizing
9 concepts like transparency, broad scientific and
10 stakeholder input and extensive peer reviews at
11 different stages along the process.

12 We considered a great variety of data in putting this
13 together. We did make a decision that, for the
14 needs of the risk assessment, we could largely
15 start at the retail level and move forward. We had
16 data input into this model on consumption surveys,
17 contamination data, growth and survival and
18 activation data, animal studies, epidemiological
19 investigations. To date, I believe that last count
20 I talked to Sherry, I think that we've looked in
21 excess at between 400,000 and 500,000 individual
22 data points have been included in the data base
23 that's within that model.

24 We did have to limit the considerations in picking this.

1 We did focus on ready-to-eat foods, particularly,
2 refrigerator ready-to-eat foods. In selecting
3 these categories, we did look at potential for
4 *Listeria monocytogenes* contamination. We did look
5 at the history they had in causing listeriosis. We
6 did look to see if we had food consumption and
7 contamination data. No data didn't help to pick a
8 category then. And we did have to do some
9 strategic lumping of foods so that we could get
10 them into a reasonable number of categories. The
11 original risk assessment considered 20 different
12 food categories, each with a large number of
13 products within them.

14 Now, basically, you have three parts to a
15 microbiological risk assessment. The traditional
16 model is hazard identification, hazard
17 characterization, the exposure assessment, and then
18 risk characterization. For many of the
19 microbiological organisms, you pretty well know
20 that there's a hazard because there is extensive
21 medical literature on the problems. So, really,
22 we're looking at three components. The exposure
23 assessment. And the exposure assessment is really
24 what goes into the consumer's mouth, because that's

1 the only number that counts when you're doing an
2 exposure assessment. But in order to deduce that,
3 because we very seldom go into people's homes, take
4 the food away from them just as they're about to
5 put it in their mouth, and analyze it. So, often,
6 we have to infer what is the level that they're
7 actually consuming. And we use different data
8 sources in this. We had to look at the frequency
9 of contamination of the food, the extent of that
10 contamination, any growth that was likely to occur
11 between purchase and consumption. And that could
12 be both positive growth, but it could also be an
13 activation in some instances. And then an
14 important thing is how frequently is that food
15 consumed, if you're looking at a risk on a national
16 basis, and the amount of food consumed. Because,
17 as we've learned in, particularly on this risk
18 assessment, serving sizes count. We, in some
19 instances, for certain products, had to look at
20 additional factors. Things like home refrigerator
21 temperatures. And that, itself, was a challenge,
22 just finding the appropriate data. When dealing
23 with frankfurters, we had to estimate the
24 percentages that were reheated. We had to look at

1 the effect of temperature on growth. Itself, is a
2 fairly complex biological phenomenon. And also,
3 the effect of temperature on the extent of growth.

4 And as we found out, there's a big difference
5 between 2 degrees AC@ and 6 degrees AC@ on how high
6 *Listeria monocytogenes* will grow on food.

7 This is then coupled with a hazard characterization, and
8 this is basically the probability of illness or
9 mortality as a function of the number of *Listeria*
10 consumed. We, to derive this kind of a
11 relationship, we had to use, again, various data
12 sources. We got the dose response curve shape from
13 animal studies. We looked at variation in the
14 virulence of *Listeria monocytogenes* based on a
15 variety of studies on, primarily, immunocompromised
16 mice. We had to account for the differences
17 between mice and men. There are differences, which
18 is fairly obvious. And we also had to attempt to
19 anchor this to a reality check, because one of the
20 things that we've learned, had learned from earlier
21 attempts to try to work with *Listeria*
22 *monocytogenes*, is you can very, with just a slight
23 hiccup, you can get a model that predicts four or
24 five orders of magnitude to many cases, so we had

1 to find a way of anchoring it to real health
2 statistics. We looked at the variation of
3 susceptibility within age groups. We looked at the
4 variation of susceptibility between age groups.
5 And we looked at factors that influenced different
6 sub-populations. We did decide to use three sub-
7 populations in considering the *Listeria*
8 *monocytogenes*' ability to cause disease. These
9 included the perinatal group, which were fetuses
10 from 16 weeks after fertilization to 30 days after
11 birth. The standing assumption here is they
12 weren't going out and eating most of these foods,
13 is that the transmission of the disease was via the
14 mother. We look at the elderly, and we use the
15 CDC's definition of an elderly person. I might
16 note this was one of the most controversial things
17 in the risk assessment. There are a lot of you
18 guys out there that I've known for a long time that
19 are approaching 60, that were really upset about
20 this. And then the intermediate age group was the
21 group that was older than 30 days but younger than
22 60. Okay, when you get those two bits and pieces
23 put together, no small feat itself, you use that to
24 come up with a characterization of the risk. And

1 what we did in this case was we, the first time
2 that we looked at the model, we did this on the
3 basis of frequency of death, mortality, because it
4 was the most definitive thing that we could
5 measure. When you start dealing with things like
6 infection rates, you can't get two microbiologists
7 to agree on what is the definition of infection.
8 So we're looking for a metric here that was clearly
9 definable that we could get data on. We also found
10 that we could convert that to cases of severe
11 listeriosis simply by multiplying by five. It's
12 been amazingly how constant this number has been
13 despite improvements in medical intervention, et
14 cetera, that it's basically for every five cases
15 there is one fatality. And this is a value that
16 we've used consistently. We also spent a great
17 deal of time characterizing the variability and
18 uncertainty associated with our rankings and the
19 individual risk assessments that underlie it,
20 because, in fact, this model actually involved
21 doing 20 different risk assessments for the 20 food
22 categories, and then combining them or integrating
23 them into a single risk ranking exercise. Just
24 again to diagrammatically give you an idea of what

1 we did, we took the exposure assessment, which is
2 the number of *Listeria monocytogenes* consumed,
3 developed a model for that. We combined that with
4 a model for the hazard characterization, the dose
5 response model. We were looking at, basically, a
6 single end point, so we weren't looking -- severity
7 was given. And then for each time we ran the
8 model, we did 30,000 inner rations. And we
9 generated mortality cases per serving as the
10 primary metric. We then combined that -- we then
11 multiplied that times five to get the *Listeria*
12 cases per serving, and then used that to calculate
13 the risk per serving. We also used that, the data,
14 to generate, or combine it with the frequency of
15 servings to get the number of listeriosis cases
16 predicted for that food group per annum, or per
17 year. I might note that this got chopped out at
18 some of the bottom. For each time we then ran a
19 simulation, we repeated it 4,000 times, so that on
20 a typical run with this model we repeat, we have
21 basically 120,000,000 inner rations to do a
22 complete run on the analysis. These high numbers
23 of inner rations are necessary because
24 *Listeria monocytogenes* is a rare event. And in order to

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 stabilize the model, you're basically predicting a
2 rare event. And you have to run it enough times to
3 get it to -- the model to stabilize. That was a
4 lesson we learned early on. It did, in fact, allow
5 us to do some fairly sophisticated sensitivity
6 analyses and uncertainty analyses. Oh, there's the
7 4,000 times. We examined the results also once we
8 got them. We didn't stop with numbers alone. We,
9 of course, looked at the quantitative results. But
10 we also evaluated very much the data variability,
11 the model uncertainty, and we provided estimates
12 for each of those. We also considered the results
13 in light of a variety of qualitative factors. The
14 epidemiological record, the food characteristics,
15 to make sure that it made sense. And we also, in
16 the draft risk assessment, had an extensive
17 discussion of each of the food categories, their
18 medical history in regard to this organism, et
19 cetera. This is what the data looked like. If you
20 go pull this up, it's still available on our web
21 site, which I'll come into in a minute. Just to
22 make this a little bit more user friendly, this is
23 the graph that looks at the population on a per-
24 serving basis, and it's the 20 food categories.

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 Today, we're going to be focusing a lot, I
2 understand, on deli meats, that's what I was asked
3 to focus on, that value the fourth from the right,
4 on a per-serving basis as deli meats. You can see
5 that there is a substantial variation that goes
6 from high to low. I think the initial per serving
7 risk assessment indicate pate, and ice cream was
8 the low at the other end. We also, again, took
9 into account the total number of servings consumed
10 within the United States to come up with the risk
11 per annum. And the risk is influenced strongly by
12 the number of servings that are consumed each year.
13 You can have a high per serving risk, but if it's
14 only consumed by three people, it's going to have a
15 less of an impact on the total country's public
16 health stance. This is what it looked like when we
17 arrayed these from the original draft risk
18 assessment for the total population, and this case,
19 the one of -- the product of interest for today,
20 today's risk assessment, will be the deli meats.
21 It's on the far left.

22 Okay, there were some broad themes that were re-
23 emphasized as a result of this draft risk
24 assessment. The disease is primarily a disease of

1 at-risk populations. It's rare, but severe. And
2 there's a substantial difference between food
3 categories in terms of their relative risk. It
4 also emphasized another couple of other major
5 factors that have not changed, I might note, as I
6 talk about updating. These general themes and
7 general factors still remain true. The amount and
8 frequency of consumption is an important factor in
9 terms of the foods consumed. The frequency and
10 levels of contamination. And you have to deal with
11 both. The ability of the food to support growth is
12 a critical factor. The refrigerated storage time
13 and the refrigerated storage temperature all
14 contribute as major factors determining the risk of
15 any food category.

16 Just to review very quickly how we handled this in terms
17 of getting information and transparency and public
18 input, et cetera, there were a whole series of
19 public meetings, advisory committee meetings,
20 internal and external scientific reviews at various
21 stages along the process, including a six-month
22 public comment period, where we got a variety of
23 very useful comments. This is the process as it
24 now stands. We're anticipating that the final

1 version of the risk assessment will be made
2 available in June or early July of this year.
3 There will be, of course, public meetings and
4 potential future updates of the model as needed.
5 We did get a series of very helpful comments as a
6 result of the comment period. We got them from a
7 wide variety of groups. I would like to thank any
8 of you that were involved in any of those comments.
9 They were extremely useful, and we did update the
10 model in a number of ways in response to those
11 comments. Probably, we -- a lot of comments on the
12 food categories, their definitions, what was in
13 them, what was not within them. There was such an
14 ongoing debate both within FDA and within the
15 community on how to define cheeses, that I never
16 want to hear about cheeses again. We did split
17 frankfurters into two categories, as we had gotten
18 more information that I'll talk about. We did move
19 vegetables and fruit salads around, taking them out
20 of fruit salads and vegetable salads and moving
21 them into the deli category. And there are a
22 series of other categorical changes about specific
23 things that should or should not be in any of them.
24 This is no small feat to move them around. Again,

1 it's a huge database that we're working with now.
2 We also took the advice of a number of commenters
3 on how to weight contamination data according to
4 geographic location, year collected study. That is
5 that we didn't throw the data out, but we found a
6 way of weighting it, or that some got more
7 importance than others.

8 We did receive, as a result of input from groups, and
9 also from actively going out and trying to get some
10 additional information, some new data that's been
11 incorporated. I just would like to focus on two.
12 AMI provided a survey of information on several
13 different factors. I just wanted to highlight this
14 one. Home storage of deli meats and frankfurters,
15 an area that we didn't have much information. We
16 did get some very good data on percentage of
17 products that's held for a certain amount of time
18 in the home. And then also, we found out some data
19 on people that say, you know, what percent of the
20 population don't eat these products, period. We
21 also got an extremely useful set of data, in part
22 because we helped pay for it, from a project that
23 was done through CFSAN in conjunction with the
24 National Food Processors Association, where we

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 looked at the frequency and prevalence of *Listeria*
2 *monocytogenes* in a number of different foods, again
3 at retail. We looked at in excess of almost 32,000
4 additional samples of these foods collected at a
5 variety of supermarkets in Maryland and California.
6 I'm not at liberty to share all of this because
7 it's about to be published, but I did want to share
8 the deli meats. There are almost ten -- there was
9 over 9,000 of them tested. Out of that 9,000, 82
10 of them were positive for *Listeria monocytogenes*.
11 That works out to be just under 1 percent
12 contamination rate. The values that you see below
13 that are the actual distributions because not only
14 did we go out and determine how, if the product had
15 *Listeria*, but we also looked at the levels. So you
16 see that most of the contaminated product, if it
17 was of the 82 samples, were extremely low levels,
18 less than 1 CFU per gram. But there was one up
19 there at the top that wound up having a level of
20 between 1,000 and 10,000. And we found these
21 distributions for a number of different products.
22 These were extremely useful in updating the risk
23 assessment. I might note, we did separate pre-
24 packaged from deli packed, and those are some of

1 the data that we did find a difference between the
2 two groups.

3 We have done some changes in the dose response model to
4 make improvements in that. I'm not going to get
5 into it. This is stuff only a modeler would love.
6 And a modeler spent an hour trying to explain it
7 to me yesterday. The basic line is it didn't -- it
8 didn't impact the dose response curve in any major
9 way, or not much. What it did is gave us better
10 estimates of our uncertainty associated with it.
11 And that is a lot of the updating that I wanted to
12 talk about. There are no major changes in the
13 results of the risk assessment. They still pretty
14 much say the same thing. There are some variations
15 in rankings, is because you've got five foods that
16 rank out very close to each other. However, what
17 it did is it really decreased our uncertainty. And
18 this is just an example here. I looked again at
19 the deli meats in an elderly population. And that
20 second column, the median value, is the predicted
21 level of a predicted number of cases associated
22 with deli products. The original model gave 650
23 with a range and a 95 percent confidence interval
24 between 9 and 32,000, a fairly wide confidence

1 interval. The changes in the models have allowed,
2 and the new data sets, have allowed us to hone that
3 in where it's 850, but now our confidence interval
4 is 165 to 1100. So the updating, you're going to
5 see a lot of changes in our uncertainty. Likewise,
6 this is the per serving value on a per serving
7 basis. For these products, is 2 times 10 to the
8 minus 7th. Again, you see a substantial decrease
9 in the uncertainty associated in the new revised
10 model.

11 Okay, and just summarizing, because Karen is busily
12 passing me little notes.

13 MS. HULEBAK:

14 Only one.

15 DR. BUCHANAN:

16 Only one.

17 MS. HULEBAK:

18 So far.

19 DR. BUCHANAN:

20 The revised model has taken in these new data sources.

21 We've taken into account the public comments that
22 we've gotten. We've tried to address each of them.

23 And, in fact, we will, in the final model, have an
24 appendix on how we addressed each of the public

1 comments. It is completed. It's now undergoing
2 scientific and management review in both FDA and
3 FSIS. The revised Risk Assessment Report is in the
4 process of being prepared. It's no -- again, no
5 mean feat to work with a document, when you start
6 counting the appendices and data sets, et cetera,
7 is about 500 pages long. But we will make a user-
8 friendly summary, as we did the first time. And I
9 just want to, again, emphasize for the purposes of
10 today's discussion, that deli meats, the subject of
11 the risk assessment here, remain among the highest
12 risk foods on both the per annum and a per-serving
13 basis, and that really has not changed. And,
14 hopefully, we'll have someone here. I'll be able
15 to stay until noon, and I was hoping that Sherry
16 would be here at some point just for questions.
17 But, hopefully, she'll arrive shortly. Thank you.
18 Oh, and if you -- any of you that don't have a
19 copy of the original Draft Risk Assessment, it is
20 still available at this web site, but it takes a
21 while to download.

22 MS. HULEBAK:

23 Thank you very much, Dr. Buchanan. And thank you for
24 staying in your -- within your allotted time. Our

1 next speaker is Dan Engeljohn. Dan has Bachelor's
2 of Science and Master's of Science Degrees in
3 Animal Science and a Ph.D. in Nutrition from Howard
4 University. He has worked at the U. S. Department
5 of Agriculture since 1979. Since 1998, he has been
6 a Senior Policy Manager in one position or another
7 in FSIS. In September of 2002, Dan began serving
8 at the USDA, as in the FSIS Senior Management Team
9 as the Manager for Policy Analysis and Formulation.
10 Dan Engeljohn, please.

11 DR. ENGELJOHN:

12 Well, thank you very much, Karen, and good morning,
13 everyone. Glad to have the opportunity to be here
14 today to give you an overview of the role that risk
15 management plays in the issue of the risk
16 assessment. And so I hope to answer, at least
17 address, three issues for you this morning, which
18 are what is risk management, what is the problem
19 that we're trying to solve, and then what are the
20 risk management questions, specifically, that we
21 within the Policy Office asked the risk assessors
22 to design the model to address.

23 Risk management, as I've defined it here, is taken from
24 a Codex definition, which is in a Codex document on

1 principles and guidelines for the conduct of
2 microbiological risk management. This is from
3 their document from July of 2001, where it defines
4 risk management as a process of weighing policy
5 alternatives in consultation with all interested
6 parties, considering risk assessment and other
7 factors relevant for the public health protection
8 of consumers for promoting fair trade practices and
9 for selecting appropriate prevention and option
10 controls where they're needed. Risk management is,
11 in fact, a distinct activity from risk assessment.

12 I serve as a risk manager within the agency, being
13 in the Policy Office. That's how we define
14 ourselves. As a risk manager, we're responsible as
15 the primary users of the risk assessment outputs.
16 We formulate the food safety issues or problems,
17 the questions, objectives and goals that we present
18 to the risk assessors. And then we provide those
19 risk assessors with the questions to be answered.
20 Back in the fall of 2002, where we actively worked
21 to help with the design of the risk assessment in
22 terms of narrowing the focus of what we needed to
23 have answers for in order to pursue our rulemaking,
24 which we had initially published as a proposed rule

1 in February of 2001, one of the primary issues or
2 problems or objectives that we need to accomplish
3 was that we needed to strengthen the scientific
4 information and the analytical data serving as the
5 formulation of policy. And, in this case, it was a
6 proposed regulation that we issued acknowledging
7 that we did not yet have full scientific support
8 for the way that we crafted the proposed rule.
9 However, under the circumstances, we realized that
10 we could not sit back and wait until all the
11 science was available to us. We needed to proceed
12 with policy development. And we identified those
13 areas for which we believe there could be
14 substantive input from the stakeholders. For those
15 of you who want to go back and look at what that --
16 many of those issues were, specifically, they're in
17 Section 9 of the proposed rule, which was the
18 Scientific Information and Data Need Section. And
19 that's on page 12,609 of the February, 2001 Federal
20 Register document. It outlined very specifically
21 why, for the Listeria portion of the rule, we, in
22 fact, believe that testing in combination with
23 process control was an appropriate means for
24 reducing contamination, and ultimately affecting

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 public health, and it identified why we believe
2 that we needed to address how distinct from
3 sanitation standard operating procedures controls
4 and the data gaps that we believe were significant
5 and needed to be improved upon in order to pursue a
6 final rulemaking. I want to give you an overview
7 of the 2001 proposed rule. It's critical that I do
8 that because it serves as a basis for how we go
9 forward with a final rule. For those of you not
10 familiar with the rulemaking process, when we issue
11 a proposed rule, we lay forward the concepts for
12 which we intend to act upon with regard to a final
13 action. Our obligation is to provide the concept
14 for which we intend to address the rulemaking, and
15 provide the stakeholders with sufficient
16 information to be able to make informed opinions
17 and decisions about that particular concept. In
18 this case, we provided some fairly specific
19 criteria that we believed were necessary,
20 identified that we did not have full scientific
21 support for many of the issues, and asked for
22 comment on that. That's where we are today in that
23 in order to go forward with the final rulemaking,
24 we have to stay within the context of what we

1 proposed and address the comments that came in. If
2 comments came in that help us to modify the
3 direction that we were going, then we can adjust
4 the final rule to address those comments. So we
5 have some latitude as to how much we can go beyond
6 the proposed rule. But the basics where we start
7 are in that proposed rule language. And so for the
8 Listeria component, I'd like to just summarize that
9 we identified that we believed it was necessary to
10 have process control for Listeria monocytogenes,
11 and this could be done in two ways. One would be
12 through the HASA plan, in which a food safety
13 hazard would be reasonably likely to occur after
14 lethality but before final packaging. It could --
15 all the components of HASA would be applicable in
16 that there would need to be a procedure designed,
17 there would need to be control procedures that were
18 validated, monitored and verified, along with
19 corrective actions. And so that would be the
20 concept within HASA, but the control would be as if
21 it were a critical control point specifically
22 related to Listeria monocytogenes. Recognizing
23 that Listeria is, in fact, an environmental issue,
24 the Agency accepted the fact that it could be

1 addressed adequately through the sanitation
2 standard operating procedures. But a provision for
3 that, as we proposed, was that there would need to
4 be testing of food contact surfaces after lethality
5 but before final packaging, and that that testing
6 would need to be completed within certain designs
7 of a sampling program. And just to remind you, the
8 issues that we had were that, at the time, we did
9 not have information about production volume. We
10 believe that there may be, in fact, differences
11 between large establishments, those with 500 or
12 more employees, small establishments, who have
13 fewer than 500 but more than 10 employees, or very
14 small establishments, that have fewer than 10 and
15 less than 2.5 million dollars of production a year.
16 So we proposed that in order to be cost effective
17 with this proposed rule, that a minimum level of
18 testing was necessary, and based that on
19 establishment size, where the large establishments
20 would take four tests a month per line per ready-
21 to-eat product, a small establishment would take
22 two tests per line per ready-to-eat product, and a
23 very small establishment would take one test per
24 line per ready-to-eat product in that

1 establishment.

2 Along with the sanitation SOP, to clarify, because we

3 don't have all the criteria specified, as we do in

4 HASA, we would expect there to be testing to verify

5 the effectiveness of the sanitation SOPs. We would

6 expect that those results would be available to

7 FSIS for review, upon asking. And that positive

8 results for Listeria species would, in fact, be

9 addressed to corrective action procedures. Those

10 procedures would include such things as identifying

11 the disposition of the production allotted product

12 that was affected by the Listeria species positive.

13 And then identifying actions for what to do about

14 future product that's produced. And, in

15 particular, we would required that product had to

16 be tested for Listeria monocytogenes after a

17 Listeria species was found on a product contact

18 surface. That gets us then to designing the risk

19 management questions that we asked to have the risk

20 assessment designed around, and these questions are

21 summarized as follows, and there are three

22 questions that we posed to the risk assessors. The

23 first is how effective are various food contact

24 surface testing and sanitation regimes on

1 mitigating *Listeria monocytogenes* contamination in
2 finished, ready-to-eat product, and in reducing the
3 subsequent risk of illness or death. The second
4 question that we posed was how effective are other
5 interventions such as pre and post-packaging
6 interventions or the use of growth inhibitors in
7 mitigating *Listeria monocytogenes* contamination in
8 finished, ready-to-eat product, and in reducing the
9 subsequent risk of illness or death. And the final
10 question, number three, was what guidance can be
11 provided on testing and sanitization of food
12 contact surfaces for *Listeria* species. For
13 example, the confidence of detecting a positive lot
14 of ready-to-eat product given a positive food
15 contact surface test result. These are the three
16 questions that we posed to the risk assessors, for
17 which they then took those questions, redesigned,
18 or at least designed the program that they were
19 intending to provide as outputs. We provided them
20 the questions for which we wanted the model to be
21 designed around. They, then, will take data,
22 design the model to address these issues, and
23 provide outputs to the risk managers, such as
24 myself, to be able to take, put into the form of

1 options that we will look at in the form of a cost
2 benefit analysis to determine what are the most
3 effective ways to reduce public health risks from
4 *Listeria monocytogenes* within the resources
5 available to industry and to government. So that
6 would be the next step that we, as risk managers,
7 will have once we receive those outputs from the
8 risk assessors. I do want to add that because of
9 the way that the proposed rule was designed, which
10 focused on food contact surface testing for
11 *Listeria* species, as well as *Listeria monocytogenes*
12 in a HASA plan, is that none of the questions that
13 we posed relate to the food contact surfaces.
14 Thank you very much for your attention, and we will
15 have some opportunity to address now the risk
16 assessment as it's designed to answer these
17 specific questions. Thank you.

18 MS. HULEBAK:

19 We've moved along pretty effectively and efficiently
20 this morning so we have some time for some
21 questions from the floor for this morning's
22 speakers before we take a break. Are there any
23 questions for Ms. Kause, Dr. Buchanan or MR.
24 Engeljohn -- Dr. Engeljohn? We have a caffeine

1 deficit. Well, in this case, we'll take a break,
2 and please get a cup of coffee.

3 ***

4 [Brief recess]

5 ***

6 DR. MACZKA:

7 I'd like to start by just doing a little recap from this
8 morning. This morning you heard from our Under
9 Secretary of FSIS, Dr. Murano. You also heard from
10 our Administrator of FSIS, Dr. McKee. And they
11 talked about the public health challenge that LM
12 and ready-to-eat foods presents to both the
13 industry and to FSIS. Dr. Murano also talked about
14 the importance of using risk assessment to inform
15 risk management decisions. You also heard from Dr.
16 Buchanan. He made a presentation on FDA's FSIS
17 relative rethinking for LM in various food
18 categories. That risk assessment was designed to
19 identify the foods that pose the greatest risk to
20 public health. Results from that assessment
21 indicated that deli meats are a high risk product
22 on a per annum basis. This has been confirmed by
23 recent food-borne outbreaks involving Listeria in
24 sliced turkey and chicken deli meats. FSIS

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 investigations of those outbreaks provide evidence
2 that LM in these products were due to contamination
3 of product contact surfaces and non-contact
4 surfaces. So the question is what is FSIS doing
5 about this public health challenge? Dr. Engeljohn
6 spoke this morning about the proposed rule and the
7 FSIS directive for sampling of product contact
8 surfaces. In addition to recent Listeria policies
9 and directives, FSIS also initiated this risk
10 assessment. The risk assessment, as Dan Engeljohn
11 discussed this morning, was designed to answer the
12 following questions: What is the effectiveness of
13 testing and sanitation of food contact surfaces on
14 mitigating product contamination and reducing the
15 subsequent risk of illness? What is the
16 effectiveness of other interventions such as pre
17 and post-packaging interventions? And how
18 frequently should establishments test and sanitize
19 contact surfaces for Listeria species? In response
20 to these questions, we developed a model. And the
21 model has two major components. There's the
22 dynamic in-plant model, which predict LM
23 concentrations at retail. And this was coupled
24 with the updated version of the FDA/FSIS Listeria

1 Risk Assessment to predict human health impact.
2 The risk assessment that was developed focuses on
3 deli meats. And it considers contamination only
4 from food contact surfaces. In the next 2-1/2
5 hours, we're going to describe the model in more
6 detail, including the data outputs, the model
7 outputs, the data needs. And then this will be
8 followed by a panel discussion and a question and
9 answer period. We will end with our next steps.
10 I'm going to do something a little unconventional
11 at this point. I'm going to tell you what the
12 major findings are coming out of the risk
13 assessment. And they can be summarized in five
14 bullets. I'm doing this so that you're not sitting
15 at the edge of your chair in suspense as we unfold
16 this 2-1/2 hours. So, first bullet. Food contact
17 surfaces found to be positive for Listeria species
18 greatly increase the likelihood of finding ready-
19 to-eat product lots positive for LM. The frequency
20 of contamination of food contact surfaces with
21 Listeria species appears to encompass a broad
22 timeframe and the duration of contamination lasts
23 about a week. The proposed minimal frequency of
24 food contact surface testing and sanitation as

1 presented in the proposed rule results in a small
2 reduction in the levels of LM in deli meats at
3 retail. Fourth, increased frequency of testing and
4 sanitation leads to proportionately lower risk of
5 listeriosis. And fifth, one of my favorites,
6 combinations of interventions appear to be much
7 more effective than any single intervention in
8 mitigating potential contamination of ready-to-eat
9 product with LM and reducing the subsequent risk of
10 illness or death. With respect to that last one,
11 things like combining testing and sanitation maybe
12 with post-packaging interventions we found was a
13 factor. Now, I'd like to introduce our next two
14 speakers. But before I do that, I want to
15 emphasize that the development of this model was a
16 real team effort. But special acknowledgements
17 really need to go to the people sitting at the
18 table here. They are three Senior Risk Analysts.
19 Dr. Gallagher, Dr. Ebel and Ms. Janell Kause. I
20 also want to acknowledge the efforts of Under
21 Secretary Murano, who works tirelessly to ensure
22 that FSIS regulations, policies and risk management
23 decisions are based on sound science.

24 So to introduce Dr. Gallagher, Dr. Gallagher is an

1 Associate Professor at Virginia Tech in the
2 Department of Civil and Environmental Engineering.
3 He has extensive background in the development of
4 models for environmental testing. He has co-
5 authored over 50 publications. Last year, he was a
6 Triple AS@ Fellow working with us at FSIS, and now
7 he is working with us on an IPA. Dr. Ebel has two
8 degrees. He's a D.B.N. and he also has an M.S. He
9 is a Senior Risk Analyst at FSIS. He's been
10 involved in the development of several microbial
11 risk assessments, including a risk assessment model
12 for salmonella intruders in eggs and egg products,
13 and a risk assessment model for ecoli in ground
14 beef. Dr. Ebel has gained international
15 recognition for his work. He has played an
16 important role in many FAO/WHO expert consultations
17 involving microbial risk assessment. So again he's
18 going to start to discuss the in-plant model.
19 Again, he'll discuss the data input, the model
20 output, results and data needs. And Dr. Ebel will
21 discuss the coupling of the in-plant model with the
22 updated FDA at his site risk assessment model. We
23 -- these -- both Dan and Eric have agreed to answer
24 questions on clarification during their

1 presentation. But we all should really restrict
2 this to issues of clarification. There is a
3 comment -- a question and comments period a little
4 bit later on for more substantial questions. So if
5 you find that you're kind of moving towards a more
6 substantial question, I'm going to yank you. So
7 let's keep it to points of clarification.

8 DR. GALLAGHER:

9 Thank you. Thank you for this opportunity. Dr.
10 Buchanan, a few minutes ago, put up a slide and
11 said, this is data only a modeler would love, and
12 kind of skipped over it. Fair warning, for the
13 next two hours, you're in the hands of the
14 modelers. We're going to go through the model in
15 as much detail as I think we can in the timeframe.
16 We'll present all the data that we used, how we
17 used it, what model results are. My goal is to try
18 and make this model as transparent, as usable as I
19 can in the next two hours. As Carol has said, I'm
20 comfortable. If there's a concern or -- not a
21 concern. If there's something that I say that you
22 don't understand that you need a clarification on,
23 you know, raise your hand and just shout it out.
24 Let me get it while I can. Because if you sit for

1 the next hour and a half while we keep blathering
2 on about graphs and trends and things like that,
3 it's not going to do you any good. At the same
4 time, we need to get through a lot of material now
5 so that please save general discussion questions,
6 statements, until the end. There will be time for
7 that at that point.

8 All right. We're going to talk about the FSIS Listeria
9 Risk Assessment Model. The co-authors have already
10 been introduced, so I'll just start right down on
11 it. To give you an overview of the talk, one more -
12 - I have one slide again on the FDA model and to
13 introduce how we used it, because it is part of
14 this model. I'll -- you'll see one more time, the
15 risk management questions. Models are designed to
16 answer specific needs. So you have to judge the
17 usefulness of the model as to whether it met those
18 questions. Then I'll start to describe our risk
19 assessment model. I'll give a conceptual diagram
20 and go through it for a little while. Then we'll
21 talk about how we turned that conceptual model,
22 what we think is happening in a plant, into a set
23 of computer code. What data was available for us
24 to use, what assumptions we had to make, and then

1 what the model actually looks like. At that point,
2 we'll start to show you some of the results from
3 the model runs. You've seen the final results
4 coming up, but we'll show you the evidence where
5 they came from.

6 This is the FDA risk ranking model, and since you're in
7 the hands of the model, let me put in plug for
8 Clark Harrington. Clark Harrington is the modeler
9 at FDA, who has spent a lot of time sitting in
10 front of a computer screen actually coding this
11 material up. You've seen the rankings before. Dr.
12 McKee gave a very good intro. Let me point out one
13 thing that was useful from our point of view. When
14 we looked at the FDA model, what we saw was a
15 model, risk assessment model, that started off at
16 retail, that took concentrations of *Listeria*
17 monocytogenes at retail, carried it all the way
18 through to consumption. And then, based on that,
19 could predict a per annum or per serving list of
20 listeriosis. That was already in place when we got
21 handed the risk management questions, all right?
22 It had been out there for public review and public
23 comment. It's a good -- it's an excellent piece of
24 work. We wanted to build upon that model. So what

1 you'll see coming up is that what we did is we
2 extended a model that goes back into the food
3 processing plant, okay? But then couples to this
4 model at the retail location. The risk management
5 questions again, just one more time, because you
6 judge a model on how effective it is based upon the
7 questions that it needs to answer, okay? How
8 effective is testing and sanitation on food contact
9 surfaces in preventing listeriosis? What other
10 interventions might be used that would have some
11 type of effect? And then, if you do food contact
12 surface testing, how effective is it in helping you
13 find positive ready-to-eat product? When we first
14 started sitting down about thinking about LM -- how
15 LM can get on ready-to-eat product at retail, there
16 were three basic sources that we could conceptually
17 identify. One would be an inadequate lethality
18 during processing. All right? In other words, the
19 kill staff is not complete. Something passes
20 through that cooking step. Another possible source
21 is direct deposition from a non-food contact
22 surface, sometimes called an environmental surface
23 like a floor drain getting sprayed up directly on
24 the product. And then finally, a transfer from a

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 food contact surface, itself. Risk management is
2 currently asking for some supporting evidence that
3 lethality is working properly. Antidote 11 says
4 some of the published literature suggests that non-
5 food contact surface transfers are rare and
6 probably small scale. Our model focuses and
7 assumes, as a start, that all of the LM comes from
8 transfer from a food contact surface, okay? That's
9 the source, that's the assumption in our model to
10 get it started. I'll give you a brief overview of
11 the model. It's a dynamic model. That means it
12 tracks production of ready-to-eat product over
13 time. It's in-plant because we want to couple it
14 at retail to the FDA model, okay? It's Monte Carlo
15 because we do allow for some uncertainty and
16 variability within the model, okay? And we predict
17 the output of our model is a prediction of the LM
18 concentrations at retail, okay? We then couple
19 that. Eric sits down and transfers the numbers
20 from the one screen to the next screen of the FDA
21 model as an input, and then we run the FDA model on
22 it, all right? We take a mass balance approach.
23 Mass balance is a fundamental concept in
24 environmental engineering. Basically, once a

1 bacteria cell gets added to the food contact
2 surface, we track where it ends up, okay? Maybe it
3 gets transferred to the product, okay? Maybe it
4 gets killed during the sanitation step. But we can
5 track where all the bugs that started at were added
6 to the food contact surface inside a plant, where
7 they eventually end up. The model, itself,
8 incorporates food contact surface testing, product
9 testing, okay? Sanitation, pre and post packaging
10 interventions and growth inhibitors or product
11 reformulation that would inhibit growth. To date,
12 we have conducted it on deli meats. Okay. This is
13 the conceptual model, okay? And if you understand
14 this, a lot of the details of the code later on,
15 you can pretty much ignore. The model assumes that
16 there is a Listeria reservoir somewhere in the food
17 processing plant. Harbored sites, okay?
18 Environmental sources that can be transferred to a
19 food contact surface. That is there and that is
20 always there, okay? The model doesn't have to
21 describe it. It just says, it's present when it
22 needs to. Where the model starts is what -- during
23 what we have termed a contamination event.
24 Something that causes the Listeria to move from

1 that reservoir onto a food contact surface. We
2 needed three piece of data of information to
3 describe that transfer, that contamination event.
4 How often, how far apart in time does one of those
5 start, okay? Are they three months apart, are they
6 three days apart? How often do they occur? Once
7 they start, how often does it last? And, finally,
8 while it's going on, how many bacteria are being
9 transferred from that reservoir to the food contact
10 surface? All right? So timing, duration and
11 number are the three pieces of information that we
12 needed to fill in to define what a contamination
13 event is. And I'll show where -- what we did with
14 those a little bit later.

15 Once the -- and, at this point, we're still talking
16 about Listeria species. Haven't yet narrowed it
17 down just to Listeria monocytogenes, okay? But
18 once they're on that food contact surface, we can
19 then go and test the surface, okay, for Listeria
20 species, okay? Now, formally, I'm going to say
21 testing a lot. You'll see in the actual model,
22 testing, by itself, doesn't help. It's the
23 intervention that results from testing that
24 actually would control listeriosis. So,

1 informally, I might use the term testing, but any
2 time I do that, in your minds, think testing and
3 intervention. I'll show you what those
4 interventions are in just another slide or two, all
5 right? Well, we can test the food contact surface.
6 If it's positive, we can apply some type of
7 intervention or corrective action. At that point,
8 we transfer. The meat is -- the ready-to-eat
9 product is passed over the food contact surface,
10 okay? Some of the Listeria will transfer from that
11 surface to the product, itself, okay? We have a
12 transfer coefficient that describes how much of
13 that happens. So if the transfer coefficient is 50
14 percent, there's 100 bugs on the table or the
15 slicer, okay? Fifty of them are going to end up on
16 the ready-to-eat product. Fifty of them will
17 remain on the surface, okay? Because we're
18 tracking all of the bugs that ever got entered. So
19 we have a transfer coefficient, okay? At this
20 point now we have the Listeria species
21 concentration on the ready-to-eat product. So many
22 colony forming units of Listeria species per gram
23 of product. We need to be able to monitor that in
24 terms of Listeria monocytogenes, not Listeria

1 species. So we need to have some type of ratio
2 there that takes that Listeria species number and
3 converts it. Some fraction of that would be
4 represented as Listeria monocytogenes, okay? So at
5 the end, coming out of this box now, we have an
6 estimate of the Listeria monocytogenes
7 concentration on the ready-to-eat product.

8 Reasonably clear?

9 UNIDENTIFIED SPEAKER:

10 Question.

11 DR. GALLAGHER:

12 Yeah.

13 UNIDENTIFIED SPEAKER:

14 In developing the transfer coefficient do we take into
15 account the material of the food contact surface?

16 DR. GALLAGHER:

17 Well, not the type of material. Give me two minutes.

18 There's a slide on the transfer coefficients. All
19 right. We're going to pick up at that arrow,
20 leaving this one, okay? At that point, the plant
21 might have implemented pre and post packaging
22 controls, okay? We couldn't fit that on the tab in
23 the model so we call it post processing. Steam
24 pasteurization, okay? Product reformulation that

1 prevents -- no, that's the next one. Take stem
2 pasteurization. Maybe in the future, something
3 like irradiation, okay, could go on there. That
4 will actually kill off. That will reduce the
5 number of bugs, the concentration of bugs, on the
6 product. And so that's an actual die off. So, at
7 this point, here we have the concentration on the
8 product as it's about to leave the food processing
9 plant. We can then test that product, if we want,
10 for *Listeria monocytogenes*. And careful, the food
11 contact surface tester for the *Listeria* species,
12 the product tests are for *Listeria monocytogenes*,
13 okay? But we can test it, and if it's positive,
14 okay, we don't let that particular lot pass on into
15 the human food supply, okay? Whether it's re-
16 cooked, whether it's disposed of, the model doesn't
17 care. But that concentration is blocked. Finally,
18 then we leave the plant, and there's a transport to
19 retail, okay? That can take, according to USDA
20 estimates, anywhere from 10 to 30 days. There's
21 potential for growth occurring during that
22 transport. And so there's -- we've got a growth
23 factor in between the plant and when it actually
24 shows up at retail. And then the end result of our

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 model then is the *Listeria monocytogenes*
2 concentration at retail, okay? That's where the
3 FDA model picks up. Okay, on that one, okay, --
4 all right. The outputs are things you've probably
5 already seen risk of illness or death on a per
6 annum basis. The slides all today are all talking
7 about death from *Listeria monocytogenes* in deli
8 meat, okay? What we could vary is testing for
9 *Listeria* species on the food contact surface, okay?
10 Testing for *Listeria monocytogenes* in the ready-
11 to-eat product, as well as pre and post packaging
12 interventions. One of the other questions that Dr.
13 Engeljohn had mentioned earlier, they did want some
14 guidance on if they find a positive food contact
15 surface, how does that improve their odds of
16 finding *Listeria monocytogenes* in the product,
17 itself? And so you'll see we'll give you some of
18 those results in a minute. Okay. All right, so
19 some key data requirements. I'll go through all
20 the data in a little bit, okay? But these were the
21 four that seemed to be the key to get hold of
22 before we thought we could actually go and
23 implement this model. First one I've already
24 talked about, contamination events. Frequency,

1 duration, levels. How much gets transferred? The
2 next one are the transfer coefficients. How much
3 of the bacteria moves from the contact surface into
4 the product as it's passed over it? We also needed
5 the *Listeria monocytogenes* to *Listeria* species
6 ratios, okay? We had to convert those
7 concentrations within the product, itself, okay?
8 And then finally, we needed production levels by
9 plant size, okay? How much does a line produce
10 during a typical shift? I will go through each of
11 these, then I'll show you the models with some of
12 the other input datas. For the frequency of
13 contamination event, what we needed was time series
14 data on food contact surfaces. The data source
15 that we could find for that was an in-depth
16 verification study that FSIS had conducted a few
17 years ago. What it gave us was *Listeria* species
18 prevalence over time for various food contact
19 surfaces at the same plant, okay? So it was a time
20 series. And we fit that with a survival analysis,
21 okay, to come up with what was the best probability
22 distribution to describe it, okay?

23 It turned out that it logged normal distribution with a
24 mean time between contamination events of about 23

1 days fit the data best, okay? The standard
2 deviation was about 38 days. Yeah, I'm sorry.

3 UNIDENTIFIED SPEAKER:

4 Single plant?

5 DR. GALLAGHER:

6 Yeah, single plant for this one. Uh-huh. That was the
7 only plant that we could find that had almost daily
8 time series that could be provided to us, okay?
9 All right. So what the model will do then is every
10 time it needs to, it will generate a time series of
11 when contamination events occur that are still
12 caustic, okay? On average, they're 23 days apart,
13 okay, but there is a standard deviation. They're
14 not always exactly 23 days apart. For the
15 duration, we used a table that has been published
16 by Dr. Tompkin, okay? He has a table in there
17 that talks about how often plants would find weekly
18 consecutive positives on food contact surfaces for
19 Listeria species, okay? So a given number of
20 plants would find it two weeks in a row, but not a
21 third. A few more would find it three weeks in a
22 row, but not a fourth, all right? And we took that
23 table again, fit it with a survival analysis. Log
24 normal distribution. Fit pretty well. So we have

1 an average duration of contamination events just a
2 little bit longer than a week, okay? So once it
3 starts, it tends to last about a week. And there's
4 a standard deviation again. That's a stochastic
5 input to the model. It will vary from lot to lot
6 or from contamination event to contamination event.

7 UNIDENTIFIED SPEAKER:

8 From that data, how do you determine that it was not a
9 different event?

10 DR. GALLAGHER:

11 Could two events occur simultaneously? Yes. I'll show
12 you one of the slides, but basically, it would just
13 overlap. It doesn't double the amount that's being
14 transferred. But two of them could lap, overlap,
15 together.

16 UNIDENTIFIED SPEAKER:

17 Now the working assumption you have on this data is that
18 if you had a duration of eight days, then eight
19 days were a single contamination event?

20 DR. GALLAGHER:

21 The model doesn't care, okay? In other words, I could
22 have, just for example, we've said about 23 days
23 apart, okay? But that's stochastic. So maybe one
24 time I just have three days apart, okay? But the

1 duration of the first one was five days. The
2 duration of the second one is also five days. They
3 overlap, okay? But what that means is a
4 contamination event is occurring for that total of
5 ten days. That's all the model needs to know. It
6 doesn't actually count or track the number of
7 contamination events that occur. Okay, the
8 transfer coefficients. Okay, and this is how much
9 transfers from a food contact surface to the
10 product. We went to the published literature,
11 okay? Montville [ph] and Chen, both students of
12 Dr. Shaffner, who you might recognize, found the
13 transfer coefficients pretty generally log normally
14 distributed, and they -- they were looking at
15 things like hands, to faucets, lettuce to cutting
16 boards, not necessarily LM. So their transfer
17 coefficients, themselves, we did not use. They
18 tend to be fairly small. They did find a standard
19 deviation pretty consistently across all different
20 media of about one log unit. And so we did -- we
21 did end up using that standard deviation. A paper
22 by Middlet [ph] and Carpentier [ph] found --
23 looked specifically at LM, as well as some other
24 bacteria. But, in this case, they have a graph

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 that deals just with *Listeria monocytogenes*, okay?
2 They found that after 12 contacts with a surface
3 that they had pre-plated or pre-contacted with LM,
4 they got between 60 and 100 percent of the bacteria
5 were transferred to the product, okay? So,
6 basically, we used their mean transfer coefficient
7 with the standard deviation from Montville and
8 Chen. All right, so we used a log normal, a mean
9 of almost 100 percent transfer, and a standard
10 deviation of one. And because, lots of times we're
11 talking we can't transfer more than is all -- all
12 that is there, okay? We would truncate it if the
13 simulation was predicting more than one.

14 UNIDENTIFIED SPEAKER:

15 Question.

16 DR. GALLAGHER:

17 Yeah. Give me a shout or something because I'm...

18 UNIDENTIFIED SPEAKER:

19 Just a clarification on that. Back to what you said
20 about mass balance.

21 DR. GALLAGHER:

22 Um-hum.

23 UNIDENTIFIED SPEAKER:

24 Then if you have 100 percent transfer, does the

1 remaining surface is clean or negative?

2 DR. GALLAGHER:

3 No, it goes to zero.

4 UNIDENTIFIED SPEAKER:

5 That's what I mean, it goes to zero?

6 DR. GALLAGHER:

7 Yeah.

8 UNIDENTIFIED SPEAKER:

9 So if you have a contaminated surface at 100 percent,
10 correct, there's no transfer, there's no subsequent
11 transfer?

12 DR. GALLAGHER:

13 No, onto additional product, I don't think, unless now
14 we really -- if the contamination event's
15 continuing, okay, we do recontaminate the surface
16 at the beginning of each line of production. So
17 those -- those -- that level that is transferred is
18 transferred to the surface at the beginning of each
19 line of production, okay? It is -- normally, it
20 will be added to what's already on the food contact
21 surface. That's one reason why it's an at balance
22 approach. But if a transfer coefficient was 100
23 percent, that says left over at the end, or if it
24 was, you know, 90 percent, but sanitation dropped

1 the rest of it down to 100 percent, the new
2 material that gets added on, the new bacteria that
3 gets added on, get added to nothing. It's starting
4 clean at the end of that lot.

5 UNIDENTIFIED SPEAKER:

6 So, for the model you have a continuing re-inoculation
7 and you have 100 percent model?

8 DR. GALLAGHER:

9 Not if -- no, we had a continuing re-inoculation while
10 the contamination event's occurring. Now, for a
11 large part of the time, there's no contamination
12 event occurring, so there's no further re-
13 inoculation. Yeah?

14 UNIDENTIFIED SPEAKER:

15 Does this assume any sort of growth in the bacteria?
16 When you're talking about the transfer coefficient,
17 but is there any assumption regarding the growth of
18 the bacteria that have been transferred from the...

19 DR. GALLAGHER:

20 This version, well there is no growth on the food
21 contact surface once it's been applied there.

22 UNIDENTIFIED SPEAKER:

23 Was there a reason that you didn't?

24 DR. GALLAGHER:

1 We couldn't find anything that would suggest for that
2 length of time, and on those particular media, that
3 growth would be significant.

4 MS. HULEBAK:

5 Another question?

6 DR. GALLAGHER:

7 I'm sorry.

8 UNIDENTIFIED SPEAKER:

9 Does this confirm a -- that coefficient is per food
10 contact, is that right, or...

11 DR. GALLAGHER:

12 Right, and Art Delta, you'll see in a minute, Art Delta
13 did a lot, so that's the transfer from the food --
14 so each one of these time steps is one lot. One
15 shift -- one line's shift production.

16 UNIDENTIFIED SPEAKER:

17 And is that what Montville based his data on as well, or
18 is it based on multiple contacts, or was it single
19 contact?

20 DR. GALLAGHER:

21 I think there were multiple contacts in that one, but
22 again, that wasn't necessarily -- it was not LM.
23 And a lot of the contacts they were talking about
24 it was not something would be applicable in a food

1 processing plant.

2 UNIDENTIFIED SPEAKER:

3 And weren't you given some additional data from a
4 University of Georgia study that related to
5 transfer of LM to -- from food contact product?

6 DR. GALLAGHER:

7 Not the one study. Is that the craft? Let's save that
8 because I'm going to have to think about it. I'm
9 going to think about that. I'm not sure.

10 UNIDENTIFIED SPEAKER:

11 Okay.

12 DR. GALLAGHER:

13 But if we were, this is what we used.

14 UNIDENTIFIED SPEAKER:

15 Okay.

16 DR. GALLAGHER:

17 For the ratio of Listeria species to Listeria
18 monocytogenes, we could not find any concentration
19 data that would let us define a ratio based on
20 concentrations, which is really what the model
21 wants. The only relevant data we could find was
22 based on prevalence. Presence, absence, positive,
23 negative, all right? There is a table in Tompkin's
24 paper, and we had some blinded industry data that

1 was provided that told us if they took a set of
2 samples, what proportion that were positive for
3 Listeria species, what proportion was also positive
4 for LM, okay? That is prevalence, okay? We've got
5 this number of 50 percent from various sources.
6 I'm pretty comfortable with that 50 percent in
7 terms of prevalence. We had no other data. We
8 just assumed it also applies to concentration.
9 That is an assumption, okay? But that's based upon
10 -- it's -- this is where it comes from. We
11 couldn't find any other data that would do
12 something better than that. Okay, well I did
13 change that, played with that number a little bit
14 in the model. I'll show you some results coming
15 up. Finally, we needed production levels by plant
16 and lot volumes, okay? The source of this data was
17 the FSIS RTE Survey, okay? We got a lot size per
18 line, per shift for the different plant sizes. And
19 the plant size here is defined by the number of
20 employees, okay? Okay, the large plants tended to
21 produce larger lots, okay? Very small plants
22 produced the smaller lots, okay? We also got a
23 total amount of production into the human food
24 supply from each of those, so that the large and

1 the small plants both produced about 48 percent of
2 the material that ends up at retail, okay? Small
3 plants, very -- I'm sorry. The very small plants
4 only provided about 4 percent, okay? So you'll see
5 this data coming up in a minute, okay? We had no
6 evidence that very small plants are more
7 contaminated, so the model makes the assumption
8 that the food contact surface varies with those
9 mean lot sizes. So you remember what's happening
10 here. I've got, let me just say 100 bugs on the
11 food contact service. And let's just say transfer
12 all of them because I can do 100 in my head even in
13 front of you, all right? All right, now I've got
14 100 bugs. I have to get a concentration. I have
15 to divide it through by the mass of the lot, okay?
16 If it's a large plant, that's a large lot size.
17 So the concentration that would result would be
18 small compared to if it's a very small plant. The
19 divisor, the lot mass, is a lot smaller there, so
20 the concentration is higher. We had no evidence
21 that that is actually what is occurring, okay? So
22 what we did is we assumed that the food contact
23 surface area by plant size tracked that same
24 19,728, okay? So that on average, we get the same

1 concentration coming out of each of those plant
2 sizes.

3 MS. HULEBAK:

4 A question.

5 DR. GALLAGHER:

6 Okay.

7 UNIDENTIFIED SPEAKER:

8 You keep referring here that the -- and I don't
9 understand the problem though, and I don't think
10 you've increased the food contact surface. Is
11 there a greater probability of having contamination
12 or by reducing the contact surface is there a
13 lesser probability? Is there inner relationships
14 here that you've established per size?

15 DR. GALLAGHER:

16 Not in terms of it occurring, okay? But we transfer a
17 given number of bacteria. The term was inoculate,
18 if you're willing to use that one, to the food
19 contact surface. We have to transfer -- translate
20 that into a concentration on a food contact
21 surface. How many bacteria per square centimeter?
22 That's what we go test. If that concentration
23 drops below what we can detect, then we're going to
24 find negatives on -- we're going to report

1 negatives on the food contact surface, but there
2 are still Listeria species there. All right? It
3 doesn't change the probability of what's getting
4 there, but it does change the concentration on the
5 surface. Finally, how much happens during
6 inoculation? How much gets transferred during each
7 lot production while a contamination event is
8 occurring? I have no idea. I have no clue. And
9 we've checked all literature, and we asked people.
10 I don't know, and I have no evidence of what the
11 right number there is. But what we do have is
12 FDA's distribution of LM at retail. Okay? That's
13 based upon industry data, FSIS data. Okay, that's
14 a known quantity, okay? There might be some
15 uncertainty about it, but it's a known quantity.
16 We can fill in these gaps here by running our model
17 in an enterative basis where I'll just pick my
18 favorite number, run the model, get a concentration
19 at retail, compare it to what it should be. And
20 then based on that -- oh, it's too low. Well, I'll
21 have to add more bacteria. So I'll run it again.
22 I'll change that number and run it again. And we
23 would do that in an enterative process, and we call
24 it a calibration, until our output under base line

1 assumptions match the FDA distribution at retail.
2 That's how we filled in this piece. And I will
3 show you that calibration slide coming up. Again,
4 for that calibration then, the main -- the -- what
5 we calibrated to was the LM concentration at
6 retail. That was what we would adjust our factors
7 to. We did want to keep in mind that there has
8 been prevalence data reported, okay? We did not
9 calibrate it to it, okay, but we didn't want to be
10 completely off the mark in terms of what our
11 model's predicting for prevalence versus what
12 different literature or industry or other sources
13 have reported for prevalence. So the prevalence is
14 not a calibration factor. That's only the
15 concentrations at retail. But we want to check
16 this, okay? To go back, Levine [ph] using FSIS
17 data found for different types of product, and not
18 just deli meat, not just ready-to-eat, a range of
19 about a half a percent of the 5 percent prevalence
20 of LM in the product, okay? That, if you look at
21 the numbers, there appears to be a general decrease
22 with time. And that's over I'd say the last
23 roughly ten years or so. In about 1999, in trying
24 to focus mostly on products that are most related

1 to deli, seems to be in the 1 to 3 percent kind of
2 range, as sliced ham, et cetera. Dr. Lachanski
3 [ph], DARS, conducted a study of looking at
4 hotdogs. Okay, now we're only going to be talking
5 -- but just again, we're just looking for kind of
6 information that will help support or not support,
7 okay? Found a prevalence in hotdogs of about 1.6
8 percent, okay? I should point out that was not a
9 random sample. Those plants volunteered to be part
10 of the study. And so we have to keep that in mind.
11 The NFPA data found that combined for both leaving
12 the plant and sliced at the deli counter, an
13 overall prevalence of about .9 percent in deli
14 meat. And then, finally, this is some preliminary
15 data. It has not been completed going through the
16 QAQC process. It's FSIS data for calendar year
17 2002, okay? We're looking at the HASA Code 03G.
18 Fully cooked, not shell stable, under the
19 subcategory sliced, diced and shredded, okay? So
20 this would be sliced ham, sliced bologna, sliced
21 chicken breast. Some things that are not deli
22 meat. So this is not a perfect measure either. It
23 would include things like diced chicken that might
24 be going to chicken potpies or something, right?

1 So this is not a perfect measure either. But they
2 found 23 LM positives out of roughly a thousand
3 samples. A prevalence of about 2.3 percent. So
4 I'm not trying to match the prevalence exactly, but
5 you get an idea of what the range and what
6 appropriate numbers, reasonable numbers, might be
7 coming out of the model.

8 UNIDENTIFIED SPEAKER:

9 I have a question on the data?

10 DR. GALLAGHER:

11 Which one?

12 UNIDENTIFIED SPEAKER:

13 The samples then by FSIS under 03G, those are random
14 samples data? I believe that's not random.

15 DR. GALLAGHER:

16 It's -- it's not weighted according to production, but
17 those samples are randomly selected from a sampling
18 frame of plants that produce 03G product. So it's
19 not an estimate of national product prevalence
20 because the sample could represent 10,000 or
21 10,001. But it is randomly selected each month,
22 which establishments gets that way.

23 UNIDENTIFIED SPEAKER:

24 Okay, thank you.

1 DR. GALLAGHER:

2 All right. And again, we're not tying the model to
3 these prevalences, but I want to look at our
4 prevalence and make sure it's not 30 percent
5 prevalence out of our model. That's -- our model's
6 wrong if we got something like that, okay? Half
7 percent, three or four percent. They're in the
8 ranges of some of the numbers that are getting
9 reported. That's all I wanted to do with this.

10 UNIDENTIFIED SPEAKER:

11 You said you're not tying the model to these
12 prevalences, but you are tying them to FDA's...

13 DR. GALLAGHER:

14 Yeah.

15 UNIDENTIFIED SPEAKER:

16 ...level of retail, which tied to some of these numbers
17 they marked?

18 DR. GALLAGHER:

19 But again, FDA, we're getting a -- there's a difference
20 between prevalence and levels of concentrations.
21 We're actually fitting the concentrations that FDA
22 is providing at retail.

23 UNIDENTIFIED SPEAKER:

24 Okay.

1 DR. GALLAGHER:

2 So not positive/negative. It's the concentrations.

3 We'll see those coming up. So the FDA data was a
4 big part of that one. All right, model
5 implementation and baseline data, okay? Basically,
6 we wrote it in visual basic. It's about 4,000
7 lines of code. Half of that is the interface that
8 has the actual number crunching. Based on what we
9 were asked to do, I'm going to go through each of
10 these screens, and it gets a little dull even for
11 me, but I'll try and not draw you out too much.

12 This one is actually just so you can keep track of what
13 kind of run it is. There's just some text entries
14 there. The model doesn't use it for anything. I
15 was just -- the user clicks on those different tabs
16 to enter the different data sets. You can save and
17 call it back up. The model's not completely
18 finished. The print button, for example, doesn't
19 work. We just haven't had time yet. Everything --
20 yeah, when they said I had to put up a source code,
21 I thought cringe. Everything that relates to the
22 risk assessment part is complete though. And we've
23 -- I can't guarantee there's no bugs, but we've
24 looked at it really carefully. This is the plant

1 data. And this is -- let me move over because this
2 one's kind of important. The first part, plant
3 size distribution, can everybody hear me? Okay,
4 that's the data of the FSIS farm ready to eat
5 service. They're seeing 48 percent from large, 48
6 from small. We've talked about that data. The
7 sanitation data -- the sanitation data, we assumed
8 that -- the model assumes that two lots are
9 produced per day from each line, and that the third
10 shift is a sanitation shift, okay? We assume that
11 there's a wipe down in between the two lots, and
12 then there's a more effective cleaning at the end
13 of it. So we have a wipe-down efficiency of about
14 50 percent. At the end of the day, after the two
15 lots, about 75 percent. This is pretty much
16 sitting around the table, asking some expert
17 opinion. What do we think an overall sanitation
18 effectiveness is in the plant? They said about 80,
19 90 percent. And if you combine these two, we're in
20 that range, okay? We also allow for, and you'll
21 see in a minute, one of the potential
22 interventions. If you find a positive food contact
23 surface is to go in and clean your food contact
24 surface more carefully, okay? So we have an

1 enhanced sanitation that would represent a response
2 to a positive on a food contact surface. The model
3 doesn't use that one yet, okay? All right, here's
4 where the actual testing comes into place. We have
5 a number of tests. This should say per line per
6 month on the food contact surface. So what you're
7 seeing right now, the initially proposed 4/2/1, a
8 four per large plant, two per small plant, one for
9 very small. We call it testing, but it's really
10 testing and intervention. What are the
11 interventions that can take place if we find a food
12 contact surface positive? We allow for two. One
13 is that enhanced cleaning, to go clean the food
14 contact surface more carefully. The other is to
15 force a test for the lot. Test the ready-to-eat
16 product for *Listeria monocytogenes*. So you've got
17 the option to say, if I find a food contact surface
18 positive, I will then go test a lot for *Listeria*
19 *monocytogenes*. Now, which lot gets tested? This
20 relates to what we're calling test and hold. Test
21 and hold would assume that the plant will store the
22 lot that got produced until they get the result
23 back from the food contact surface test, in which
24 case they can then go test that particular lot that

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 was in contact with that positive surface, okay?
2 So when those are checked, okay, the lot and the
3 food contact surface match up. If they are not
4 checked, you'll see later on with a screen, how
5 long does it take to get back a food contact
6 surface result? And we assumed about three days.
7 So, in that case, the lot that was in contact with
8 the positive surface has already passed out of the
9 plant.

10 MS. HULEBAK:

11 We need to use the mike for a transcript.

12 DR. GALLAGHER:

13 Oh, sorry. The lot has already passed out of the plant,
14 and the best they can do is then go test the lot
15 that's currently being produced, okay? So a lot
16 that's three days past when you knew that the food
17 contact surface was positive, all right? So that's
18 another option that you've got. Test and hold.
19 They'll be paired. If test and hold is not
20 checked, okay, they're separated by, in our
21 baseline, about three days. All right. We can
22 also test the ready-to-eat product lots for LM,
23 okay? One way would be because we found a food
24 contact surface positive. Another way might be

1 just because we think it's a good idea to test
2 product, okay, as opposed to food contact surfaces.

3 So we've got the same kind of number of tests per
4 line per month on product for LM here. If a
5 positive lot is found, we have the option, and for
6 the real runs it was checked, then we would dispose
7 of that particular lot. And again, maybe it's re-
8 cooked, maybe it's really disposed of, but that
9 concentration doesn't pass into the human food
10 supply. Question?

11 UNIDENTIFIED SPEAKER:

12 Yeah. Bottom left corner, positive result action
13 proposed does not get checked?

14 DR. GALLAGHER:

15 Yes, both can be checked at the same time.

16 UNIDENTIFIED SPEAKER:

17 I have another question. If we go back to the food
18 contact surface, I know you were allowing for a
19 time for those results to come in, but if we are
20 doing food contact surface testing without testing
21 holds, it's assuming to take a period of time for
22 the results of the LM testing on the product to be
23 returned, and you're saying you assume that all the
24 product would be disposed of. If you're not doing

1 test and hold, then you've got product that was
2 produced that's in the marketplace that could
3 result in, if that could be positive...

4 DR. GALLAGHER:

5 All right, let me -- I think I -- if test and hold
6 applies to do they test, hold it for a food contact
7 surface test?

8 UNIDENTIFIED SPEAKER:

9 That's what I'm asking.

10 DR. GALLAGHER:

11 Okay, the assumption for the product testing, all right,
12 so in this case, if that is off, yes, there is
13 material that can be LM positive getting into the
14 food chain or food supply because it took three
15 days before they realized it, okay? The model for
16 the product testing assumes that if you test that
17 lot, that lot will either be allowed, will come
18 back positive or negative. And based solely on
19 that, will enter the human food supply.

20 UNIDENTIFIED SPEAKER:

21 I'm not understanding the interaction then between food
22 test and hold because I thought the test and hold
23 would apply...

24 DR. GALLAGHER:

1 We don't...

2 UNIDENTIFIED SPEAKER:

3 I'm not -- go ahead.

4 DR. GALLAGHER:

5 I'm sorry. We don't have a corresponding test and hold
6 for product. That assumes if you test that lot,
7 you just hold it. Okay, we do for the food contact
8 surface testing.

9 UNIDENTIFIED SPEAKER:

10 Basically, it's not an option on product then.

11 DR. GALLAGHER:

12 It's built in.

13 UNIDENTIFIED SPEAKER:

14 It's built in.

15 UNIDENTIFIED SPEAKER:

16 All right.

17 UNIDENTIFIED SPEAKER:

18 It's an option on food contact surface testing.

19 DR. GALLAGHER:

20 Thank you.

21 UNIDENTIFIED SPEAKER:

22 Thank you for your clarification.

23 DR. GALLAGHER:

24 Thank you. All right, so okay. All right, so are we

1 okay on the plant data? The contamination data, we
2 --basically, it's the material we've talked about
3 already. How -- what's the timing between
4 contamination events? The numbers look strange but
5 that's because we're working on a log scale on most
6 of these. Okay. How long does it last? What are
7 the transfer coefficients? These are the two boxes
8 that we vary during calibration. It says,
9 contamination event levels. This is how much gets
10 transferred, inoculated, to the food contact
11 surface. These are the ones I didn't have any
12 numbers for, so this is what we -- during the
13 initial setup of the model, when we're doing the
14 calibration, these are the two that I'd come back
15 and change. We also needed some definition of the
16 tests. If you test -- if you swipe -- swab for
17 contact surface, what area are you swabbing? So
18 we've got some numbers in there. I'll show you the
19 variation of that one coming up. The composite, if
20 you do get the model up and running, is not
21 implemented yet. Same thing for how large a sample
22 do you take in terms of the product, okay? The
23 fault is --- the fault is 25 grams, which is the
24 acceptable one, but we did vary that one a little

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 bit just to see the effect. That's all. Post
2 processing, okay, the model takes a fairly
3 simplistic approach, but it captures just about
4 everything that we need, okay? We don't have to
5 define what type of post processing it is, simply
6 how much of the industry by plant size is using it,
7 and then how effective it is. So, in this case,
8 we've got, basically, if that's point nine, a one
9 log die off of the bacteria, okay? We can change
10 those numbers and rerun that and you'll see some of
11 that variation, okay? But again, this reduces the
12 number of the concentration of LM. The other one
13 is growth inhibiting packaging or product
14 reformulation.

15 UNIDENTIFICATION SPEAKER:

16 Before you go on to that, could I ask you to go back to
17 the previous line? Under product testing, what
18 kind of statistical sample plant are you using in
19 the assumption of infection efficiency?

20 DR. GALLAGHER:

21 We are assuming, and it shows up in a little bit later
22 slide, both for food contact surfaces and for
23 products, that the contamination is uniformly
24 distributed across those because we couldn't find

1 anything that said otherwise.

2 UNIDENTIFIED SPEAKER:

3 Okay.

4 DR. GALLAGHER:

5 Clearly, that has some implications. For, I think, one
6 of the other speakers coming up, we do a, Eric help
7 me out, a poyson [ph] count. And there's a 75
8 percent chance that if there's one bacteria you'll
9 find there's a positive.

10 UNIDENTIFIED SPEAKER:

11 Okay.

12 DR. GALLAGHER:

13 All right, so post processing and growth inhibiting
14 packaging, again, the difference here is this is a
15 die off of bacteria. This actually changes the
16 growth-drawing transport from the plant to retail.
17 And so one's a reduction in the number. The
18 other's a reduction in the growth factor.

19 UNIDENTIFIED SPEAKER:

20 Well, clarification. You say reduction. You're talking
21 about the bacterial cycles of activity here?

22 DR. GALLAGHER:

23 This, so post processing treatment is bactericidal, yes.
24 It kills the bacteria. Whether it's

1 depasteurization, whatever you want to apply. The
2 number, we're doing the math balance. We could --
3 we didn't count this box, but we could. How many
4 of them died at that step? So bacteria count there
5 is the 75 efficiency of finding one bacteria if
6 it's in there. We can go over that one a little
7 more if you want. The testing lag for the food
8 contact surface, that's where we put in the three
9 days. We talked early on about doing a -- product
10 testing lag has not been incorporated. The text
11 box is here. The model doesn't use it. The ratio,
12 again, what's the ratio of LM to AL@ species?
13 We're assuming about 50 percent of the bacteria are
14 AL@ monocytogenes. Food contact surface areas,
15 because we need to convert those over to a
16 concentration. And this growth factor. And Eric
17 will talk a couple slides about that one. But
18 again, how much growth occurs from plant to retail?
19 And we'll talk -- that's -- more about that one
20 coming up. Okay, that's the end of the data that
21 you have to put in, okay? Well, one more number,
22 okay? How many lots do you want to produce, okay?
23 All of the ones that we've been doing, we've been
24 producing a million lots, okay? The model is

1 fairly stable at that level of production. The
2 model, at a million, it takes anywhere from five
3 minutes to an hour to run on a computer, depending
4 on the kind of machine you've got. You can save
5 all the outputs to a file, although if you run a
6 million lots, they get pretty big. This is the
7 actual button you click to go ahead and run it. We
8 needed to do that calibration step a variety of
9 times, so this is what the screen we were looking
10 at primarily for calibration. Here is the FDA
11 distribution of LM at retail in deli meats, okay?
12 That doesn't change no matter what runs we're
13 doing. That's fixed. That's just for us to see.
14 The model will then predict, okay, the
15 concentration based upon the data screens that you
16 entered before, what the concentrations are at
17 retail. And then by eye we do a little bit of
18 statistics, but primarily, it's an eyeball fit,
19 okay? How well do these match up? So the first
20 couple of runs, we weren't close, but we would go
21 back to that contamination data and change that
22 number for how many are getting added to each lot,
23 okay? Graphs. Graphs are just for us. We want to
24 see the shape of that kind of distribution. And it

1 varies. And again, it was just mostly for the
2 modelers to try and figure out how well it's
3 working and what kind of interactions we're
4 getting. The output steps. Okay. We can do
5 things like, you know, test every possible lot that
6 ever gets produced, not do any interventions, and
7 just see what kind of prevalence is occurring based
8 upon that. To get those numbers, we've got some of
9 these table outputs. Okay. How many lots got
10 produced? How many got selected to actually go to
11 retail? We're producing a million lots per each
12 plant size, but then based upon the production
13 volume, we'll sub select out of there that number
14 that give us a million lots total going to retail,
15 okay? We can say how many lots we tested, and
16 whether we tested them because we want to do a
17 routine testing of lots, okay? Or because we got a
18 food contact surface positive and we check that as
19 one of the interventions. So how many lots got
20 tested? These two sum up to that one. How many
21 lots failed? How many were LM positive? How many
22 food contact surfaces were tested, and how many of
23 them failed for Listeria species positive? And we
24 can also do this as a two-by-two table, a

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 contingency table, looking at each possible
2 combination of when the food contact surface was
3 positive, what number of lots were positive versus
4 what number of lots were negative. And you'll see
5 some of those data coming back out in the results.

6 One thing I should point out, you'll see in a
7 minute, under our model, there's a maximal amount
8 of testing you can do unless you want to start
9 changing what the definition of a test is by
10 compositing samples from the same lot or food
11 contact surface. The model assumes each line
12 produces two lots a day. They've got 30 days in a
13 month. If you take 60 samples per line per month,
14 you're testing every lot that that line is
15 producing. So you'll see some numbers coming up
16 here where it says 60 tests per month, okay? That,
17 from our point of view, is the maximal per --
18 that's per line. Is the maximal amount of testing
19 that this model will evaluate. Let me lead you
20 through this one. This is an output. It's from an
21 earlier run, so the numbers have changed quite a
22 bit. But just to show you how the model thinks a
23 little bit, and this is my last slide. We're
24 looking at, here's our time step, okay? This is a

1 lot number, but it's a delta time. And it's a
2 third of a day if there's three shifts per day,
3 okay? Okay, this is the concentration of Listeria
4 species on the food contact surface at the end of
5 the lot production. That's what we can go test if
6 we want to. And we have that concentration for
7 every lot whether we test it or not. We know
8 what that number is in a modeling simulation. This
9 column, and okay, this might answer your earlier
10 question. Actually, I cut it out. No, sorry, it
11 won't. There was another one that talked about
12 contamination events and durations, and you got a
13 true/false column for is this lot going to be re-
14 inoculated because we're in the middle of a
15 contamination event. We can do food contact
16 surface testing. This true here in the food
17 contact surface testing says I'm going to take a
18 sample of that food contact surface, of that lot
19 production. That food contact surface, okay? So
20 Listeria species -- so that says, go test it. So
21 we pass this number on to the little testing
22 algorithm. This particular case I'm highlighting
23 comes back and says we found a positive food
24 contact service. Listeria species as an above

1 detection level for that particular surface. Now,
2 what do we do with that, okay? To again, testing
3 by itself, isn't going to help anybody. The
4 assumption here, we had told the model with one of
5 those check boxes, go ahead and force a lot sample
6 if the food contact surface was positive. So based
7 upon this positive, we couldn't sample the lot just
8 because we're sampling a given number of lots per
9 month anyway. This didn't happen for this one.
10 But this true here, lot sampled because of a food
11 contact surface positive, is triggered because of
12 this finding. So we need then the LM concentration
13 for the lot. We have that species over there. How
14 much gets transferred, what the ratio is. We
15 convert that over to *Listeria monocytogenes* as a
16 concentration. We can run through some post
17 processing. We want these two numbers the same
18 because we weren't doing any post processing at
19 that point. We pass that number to the lot testing
20 algorithm. That concentration. All right, so this
21 now represents the concentration of LM uniformly
22 distributed, because that's all we're doing, in the
23 lot. Is that enough to find it positive? In this
24 case, that concentration is not. And that comes

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 back as a negative. The concentration was below
2 what we could detect. So this concentration then
3 passes on through a group out to retail.

4 UNIDENTIFIED SPEAKER:

5 Can we explore that a little bit more also because your
6 assumption is the organism is homogeneously
7 distributed. Might be a reasonable one if the site
8 of the contamination was a slicer. However, if
9 you're working with an impact product where in a
10 conveyer belt, you have a localized contamination
11 on the surface, the current methodology involves
12 taking the chunk and grinding it up, and so you get
13 into some real shows here.

14 DR. GALLAGHER:

15 I completely agree with you. Unless I make the odds up
16 out of my favorite numbers, I don't know what
17 values to put in here. So, at this point, I'm
18 telling you the model assumes uniform, okay? That
19 is something that, with more data collection, more
20 numbers, more research, hey, it would be nice to
21 fill that one in a little better. All right. So,
22 we see, if this had turned out true, okay, and we
23 had disposed of the product, we'd still know what
24 the concentration was, but that product, that

1 concentration, would not have passed on to the
2 imminent food supply. I don't see any results yet,
3 but that's really how the model works. I can take
4 a minute or two for questions, then Dr. Ebel is
5 going to come up and talk for a little while.

6 UNIDENTIFIED SPEAKER:

7 The sanitation efficiency, the assumption that you made,
8 is 75 percent efficient on average?

9 DR. GALLAGHER:

10 That's right.

11 UNIDENTIFIED SPEAKER:

12 Now, what sources or references did you use to come up
13 with that number? What's the basis of that number?

14 DR. GALLAGHER:

15 As I said, that was primarily sitting down with FSIS
16 personnel and asking them what they thought a
17 reasonable efficiency would be.

18 UNIDENTIFIED SPEAKER:

19 Is that the number that can be...

20 DR. GALLAGHER:

21 Changed?

22 UNIDENTIFIED SPEAKER:

23 ...varied in...

24 DR. GALLAGHER:

1 Any of these text boxes, any of those little things with
2 the whites, we can change and rerun the model.
3 Okay, but that's not one I had looked at. You'll
4 see some sensitivities coming up. That's not one
5 we changed. But, yes, we can rerun that at
6 different levels.

7 UNIDENTIFIED SPEAKER:

8 That's my next question. You haven't looked at it?

9 DR. GALLAGHER:

10 Not at that one.

11 UNIDENTIFIED SPEAKER:

12 Okay.

13 DR. GALLAGHER:

14 Any other questions?

15 UNIDENTIFIED SPEAKER:

16 Is this just one application to the groups of plants,
17 individual plants or industry as a whole?

18 DR. GALLAGHER:

19 I would say industry as a whole. What we're
20 distinguishing plants by plant size, the number of
21 employees. But we don't have a lot of data for
22 what's actually occurring to give us variability
23 across plants. That's not out in the literature
24 very much. So I would say for the industry as a

1 whole. But distribution of LM at retail is based
2 upon, primarily, our best guess for retail as a
3 whole.

4 UNIDENTIFIED SPEAKER:

5 And the numbers that you're counting for the food
6 contact surfaces at the area...

7 DR. GALLAGHER:

8 Yes.

9 UNIDENTIFIED SPEAKER:

10 ...those are pretty large. Are we assuming that every
11 food contact surface on that line is positive
12 uniformly?

13 DR. GALLAGHER:

14 We had one box for food contact surface. That will come
15 up again in the slide later on. But just like a
16 lot is uniformly distributed, okay, the food
17 contact surface is uniformly distributed, and we
18 only have one surface. It's a generic food contact
19 surface. What I have done, and you'll see in a
20 little bit, if we change that, if you think that's
21 too large, I've got some other numbers you can see.
22 I did play with that one.

23 UNIDENTIFIED SPEAKER:

24 Well, we can make it -- well, estimated surface of a

1 slicer and you put that number in and say, here's
2 what would happen if the slicer found it?

3 DR. GALLAGHER:

4 I wouldn't -- let me think about that one. I wouldn't
5 go quite that far because what happened, again,
6 what happens to the other surfaces? And we think
7 of this food contact surface as kind of the
8 representation of all the surfaces that it comes in
9 contact with. But right, we decided early on this
10 wasn't dated to a separate -- you know, it goes
11 from a slicer to a table to a roller. We couldn't
12 find any kind of data and gave up on that pretty
13 quickly.

14 UNIDENTIFIED SPEAKER:

15 But they may not go from any more than just the one food
16 contact surface for their product, and that's the
17 only surface in an isolated product?

18 DR. GALLAGHER:

19 But this model assumes there is only one food contact
20 surface overall.

21 UNIDENTIFIED SPEAKER:

22 Just to help me understand something a little bit. I
23 think the prevalence, you said, was led to the
24 development of a concentration?

1 DR. GALLAGHER:

2 Um...

3 UNIDENTIFIED SPEAKER:

4 The prevalence statement was used to help generate the
5 concentration?

6 DR. GALLAGHER:

7 I'm going to -- not from our point of view. No, we used
8 the existing FDA concentration data to match to.
9 And you'll see that slide coming up. We've got the
10 calibration slide there. The FDA, if you look at
11 their report, I mean they did a lot of their
12 numbers were below detection.

13 UNIDENTIFIED SPEAKER:

14 Yes.

15 DR. GALLAGHER:

16 And I don't want to speak for the FDA, so Dr. Buchanan,
17 do you remember?

18 DR. BUCHANAN:

19 I don't.

20 DR. GALLAGHER:

21 That's okay. I saw you look now. But you know how the
22 FDA model handled the negative -- you know,
23 negative prevalences in the data that was reported?

24 DR. BUCHANAN:

1 We handled it as a distribution and went down beyond the
2 lower limit of...

3 DR. GALLAGHER:

4 Yeah, and I believe you'll see that, and we did the same
5 thing.

6 DR. BUCHANAN:

7 Well, everything was assumed to be positive, even the
8 negatives.

9 DR. GALLAGHER:

10 So there is some concentration. It's just below what we
11 can measure...

12 DR. BUCHANAN:

13 Well...

14 DR. GALLAGHER:

15 ...with positive. Okay.

16 UNIDENTIFIED SPEAKER:

17 So my follow-up question then to that is, or a point of
18 clarification is, if you -- sampling brands and so
19 forth that you talk about in here as in testing and
20 intervention, aren't they limited to a certain
21 extent by the prevalence of the organism or the
22 concentration in terms of methodology? And then if
23 that's true -- I know you want to say something.
24 Do you want to go ahead before I finish?

1 DR. GALLAGHER:

2 No, go ahead.

3 UNIDENTIFIED SPEAKER:

4 No, go ahead. I mean -- so, is that tied into this,
5 this model, where I guess, you know, when I look at
6 the numbers it's saying it looks like they're
7 4/2/1, I don't see how that kind of sampling regime
8 tied into the prevalence data that was given,
9 really supports taking any sort of positive action
10 that could be reflected in the model.

11 DR. GALLAGHER:

12 The model does not use prevalence at any point unless
13 you, as the user, want to see what that number is.

14 The model tracks concentrations throughout. If
15 you ask for a prevalence, it then goes and tests
16 and said this is positive or negative based upon
17 the way we've defined what a test is. You know, 25
18 grams, there's a 75 percent chance of finding if
19 there's one bacteria there. So we can convert from
20 concentration to prevalence when we need to.
21 There's nothing in the model that depends upon or
22 tracks prevalence in and of itself.

23 UNIDENTIFIED SPEAKER:

24 All right, then maybe you could help clarify the 75

1 percent sampling efficiency of being one cell.

2 DR. GALLAGHER:

3 If I -- let's say I swab a slicer. Can I walk? All
4 right, I'll stand here. No, it will take too long.
5 If -- sorry. I teach and I like to wander around
6 and like talk to the particular student. This is
7 hard. If we swab an area, and let's say on that
8 swab, one bacteria shows up on the swab, and we
9 test that, okay? Our model, right now, assumes
10 that we can change it, that there's a 75 percent
11 chance that you will find that bacteria and call
12 that swab positive. There's a 25 percent chance
13 that that -- there's only one, that it would come
14 back as a negative, okay? If there's two, it would
15 be a 25 percent chance for the one there. If
16 there's a 25 percent chance for the second one.
17 So, you know, as the number of bacteria, the actual
18 number now, not a concentration, in the swab or in
19 the 25 grams of product, as that gets larger, the
20 chance of us saying that it's positive becomes
21 greater. Good enough?

22 UNIDENTIFIED SPEAKER:

23 Where did the initial number come from?

24 DR. GALLAGHER:

1 Seventy-five percent? Well, Eric had had some
2 experience with the ecoli model. Seventy-five
3 might be a little bit high, but we talked about it.
4 Expert.

5 DR. EBEL:

6 Those findings are published. You can get them. All
7 those calculations have been worked out in great
8 detail.

9 DR. GALLAGHER:

10 Okay. Other questions?

11 DR. MACZKA:

12 Before we break, we want Eric to present three or four
13 slides.

14 DR. EBEL:

15 Well, hi. I'm Eric Ebel, and I've enjoyed Dan's
16 presentation tremendously, but dreading the fact
17 that I need to get up here and say something. And
18 I do want to mention that, as of today, I am the
19 father of three teenage boys, and I hope you can
20 appreciate that this isn't good news to me. But I
21 am missing my now 13-year-old son's birthday, which
22 is too bad. Now, do I just hit enter on this to
23 get going?

24 DR. GALLAGHER:

1 Hit the space bar.

2 DR. EBEL:

3 Space bar, okay. So we're skipping over these guys to
4 get to here. And what we want to talk about here
5 is how we handle growth from processing to retail,
6 and to start with, we'll mention that the FDA model
7 assumes about a 1.9 log of growth on average
8 between processing and retail. But we do note this
9 incongruity in the reported prevalences between the
10 FSI sampling and processing and the NFPA results at
11 retail, which were two major components in the
12 estimation algorithm used to estimate the retail
13 concentration distribution in the FDA model. And
14 we'll, hopefully, make that a little clearer as we
15 go through the rest of this set of slides here.
16 But I do want to point that out, that FDA model
17 handles this incongruity because they have
18 uncertainty in the retail concentration
19 distribution. They actually have 300 different
20 estimates of what that retail concentration
21 distribution might be. And so some of this
22 incongruity is just lost in the noise of the
23 uncertainty there. But because our model is
24 calibrated to a singular estimate, in other words,

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 we use the average estimate across those 300
2 distributions, we use an average to represent the
3 retail concentration distribution that we wanted to
4 calibrate our model to. We had to deal with this
5 incongruity a little more overtly than FDA's model
6 had done. So in order to do that, we've examined
7 this. And this is just a simple analysis really to
8 make a point. And we can start with the notion
9 that the retail distribution in log space is
10 basically the sum of the production distribution
11 and the growth distribution. And if we assume that
12 the -- that distributions are all normal, these
13 mathematics work out well. In fact, the FDA model
14 distribution for retail contamination is a normal
15 distribution. The idea being that contamination is
16 logged, normally distributed in arithmetic terms.
17 So we make the assumption that those other two
18 components of that retail distribution are also
19 normally distributed, and it allows us to do some
20 things. We can solve for the production
21 distribution parameters for different assumed
22 growth distributions. And then we want to examine
23 the implied sample prevalence levels, assuming a
24 positive threshold for detection of one LM organism

1 in a 25 gram sample. So that's our approach. And
2 we use this now to examine three different cases of
3 what growth might be in order to help us out. So,
4 to start with, if we look at this bottom graph
5 here, this is the retail concentration
6 distribution. Again, the average from the FDA
7 model. The parameters are -- had a mean of minus 8
8 and a standard deviation of 3-1/2. That will stay
9 constant in all three of these examples. And the
10 green line we've put in here on the right shows the
11 threshold of detection at minus 1.4 logs, which is
12 equivalent to 1 in 25 or 0.4 grams, cells per gram.
13 So that's going to be our target. Now, the growth
14 distribution in this case that we're doing here,
15 we're assuming that the distribution has a mean of
16 1.9 logs, and a standard deviation of 1.4. And the
17 consequence of that kind of a depiction of growth
18 results in our production distribution, which is in
19 the upper left-hand corner. And in this case, at
20 that threshold of detection, we would be finding
21 4.3 percent of samples on average positive if we
22 randomly sampled from this distribution. Well,
23 that didn't make us feel good to know that. And,
24 in fact, we empirically demonstrated this in the

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 model as we considered modeling variability and
2 growth, and we were finding very low prevalences at
3 processing in our sampling in our model. So our
4 next step was to consider instead a scaler value
5 for a growth multiplier, which is, in fact, what
6 FDA did for their growth multipliers, was use a 1.9
7 log increase between production and retail. And in
8 this case, again, we still have our three percent
9 prevalence at retail, where we're inching up a
10 little bit here to towards at production getting a
11 prevalence almost of one percent. But again, most
12 of our FSIS results were suggesting somewhere
13 between one and 3 percent, so we wanted to do
14 better. And that leads us to our third case, where
15 again, we use a scaler multiplier of one log, and
16 in this case, then, as you'll see in the upper
17 left-hand corner, our gray line is showing about 1-
18 1/2 percent of those, of samples randomly taken
19 from that distribution would be found positive,
20 which is reasonably in the middle of the one to 3
21 percent prevalence that we observe from our
22 sampling and FSIS. So the consequence of all this
23 discussion was to say instead of using a 1.9 log
24 multiplier, we use a 1 log multiplier in our model

1 for adjusting for growth between processing and
2 retail. Now, I'll just quickly go over this slide
3 to illustrate some of the mass balance
4 considerations. In the top here we've lot the
5 Listeria species that are added. And, basically,
6 what we've got here is a series of time or lots.
7 And, essentially, the contamination is beginning at
8 where the blue bar first starts on the left there.
9 And we have 22 lots represented in this, in this
10 graphic, and so that represents, essentially, 11
11 days of contamination that occurred in the model.
12 And you can see the contamination concentration
13 that's added in the top graph. This is the number
14 of CFUs per centimeter squared of food contact
15 surface area that are added into the model for a
16 particular lot on each particular lot that we're
17 modeling. What's interesting then is in the bottom
18 graph we see a pretty good tracking of those
19 Listeria monocytogenes per gram of RTE product. In
20 other words, the top and the bottom graph -- graphs
21 will track fairly well. But what we see in the
22 middle graphic here is the number of LM species, or
23 the concentration of AL@ species per centimeters
24 squared after a lot has been produced. And, in

1 that case, you see some of the blue dots are
2 missing for some of these lots because,
3 essentially, what's happened is that all the
4 Listeria has been transferred onto the product and
5 none remains on the food contact surface area. So
6 this just gives a sense of how -- how the model is
7 tracking and keeping track of the bacterial loads
8 on the food contact surface and the RTE product.
9 And I think, at that point, we probably can call it
10 a morning.

11 MS. HULEBAK:

12 Let's just have any questions.

13 DR. EBEL:

14 Okay.

15 DR. MACZKA:

16 Any questions to Eric?

17 UNIDENTIFIED SPEAKER:

18 Just a quick clarification please was on that last line.

19 In some of those, some of those areas was -- did
20 you have *Listeria monocytogenes* even though there
21 was no *Listeria* species on your dots?

22 DR. EBEL:

23 Okay, on the middle, in the middle on here you're
24 talking about?

1 UNIDENTIFIED SPEAKER:

2 Yeah, I think. I can't really tell on this. Do you
3 have situations where you have Listeria
4 monocytogenes there even though there is no
5 Listeria species left on the contact surface?

6 DR. EBEL:

7 Well, at the end, that's right. But remember that,
8 essentially, the fraction of the AL@ species that's
9 outlined is a random draw, but it's going to be
10 about 50 percent on average of the AL@ species, is
11 going to be LM. So, in fact, what is being
12 transferred is the AL@ species at the top graph.
13 That's the amount that's added. And then some
14 fraction of that is the LM that -- of the part that
15 is transferred. There's 50 percent of that that's
16 LM.

17 UNIDENTIFIED SPEAKER:

18 What's the middle? What's the middle?

19 DR. EBEL:

20 This is actually after, at the end of the lot. In other
21 words, after the product has passed over the food
22 contact surface, how much AL@ species is remaining.
23 That's why it can often times drop to zero because
24 the AL@ species have been transferred to the

1 product.

2 UNIDENTIFIED SPEAKER:

3 Okay. Then it -- and then the next little mark that's
4 subsequent to that, is that -- that's not at a
5 level where the blue dot was because you're saying
6 there's additional contamination?

7 DR. EBEL:

8 Exactly. It's added to that. If there's some remaining
9 from the previous lot, we have addition added.

10 DR. MACZKA:

11 Any other questions before we break for lunch? One
12 more?

13 UNIDENTIFIED SPEAKER:

14 Has this data been reconciled with contamination ratio
15 or the transfer of organisms from the food contact
16 to product? Was this actually reconciled with
17 that? Was it included?

18 DR. EBEL:

19 Yes.

20 UNIDENTIFIED SPEAKER:

21 And the assumption of it being one or near one, we
22 should say, in every case, there should be no
23 Listeria species in there on the food contact
24 surface because you have 100 percent transfer, but

1 this doesn't show that?

2 DR. EBEL:

3 Right. And, in fact, it isn't 100 percent all the time.

4 Or in arithmetic space our mean is about 70

5 percent, and yet we have it's a random draw every

6 lot, and it can range anywhere from zero to 100

7 percent. So if there is no -- no provision for 100

8 percent transfer all the time.

9 UNIDENTIFIED SPEAKER:

10 Small sided data, we've got millions of data points, it

11 should then match up with that number?

12 DR. EBEL:

13 Yeah. The -- well, if we were to calculate the percent

14 of AL@ species transferred from the food contact

15 surface to the product, on average, it should be

16 bang on with the distribution we put in, which is,

17 again, about a mean of 70 percent bearing between

18 zero and one. And, certainly, truncated up there

19 at 100 percent. I don't -- I don't want to imply

20 because the distribution isn't truncated normal, it

21 stacks up there at 100 percent, but it's -- it is a

22 mean of 70 percent.

23 DR. MACZKA:

24 Another question here, Eric?

1 UNIDENTIFIED SPEAKER:

2 Eric, if I'm hearing this transfer from surface to
3 product is it only one organism? If you have a
4 mixed population of Listeria, only one of them may
5 be transferred into the species? The reason I ask,
6 if there's a problem with the detection methods,
7 and overgrowth of inocula...

8 DR. EBEL:

9 What we are actually modeling is a transfer. The
10 initial contamination of that is an addition per
11 centimeter square to food contact surface. We then
12 multiply that times the total food contact surface
13 to calculate the total number of organisms that
14 exist on that food contact surface, and then some
15 fraction of that total number transfers to the
16 ready-to-eat food. At that point we say what
17 fraction of those AL@ species that got onto that
18 ready-to-eat food were LM. And it's just a
19 proportional examination. I don't know if that's
20 where you're going with it or if that's what your
21 question is.

22 UNIDENTIFIED SPEAKER:

23 Well, there have been past demonstrations by a lot of
24 studies of the enrichment process that if you have

1 a *Listeria innocua* and *Listeria monocytogenes* in
2 the enrichment grow up at the same time there is a
3 distinct possibility that the inocula will outgrow
4 the *monocytogenes* and you will wind up with a false
5 negative.

6 DR. EBEL:

7 Okay. Well, and to whatever extent that's reflected in
8 our likelihood of culturing one organism, you know,
9 we need to get some feedback on that, but that
10 relates then to the likelihood of detection and,
11 you know, we'd be interested in input there.

12 DR. MACZKA:

13 One more question?

14 UNIDENTIFIED SPEAKER:

15 This may relate more to the FDA part of the risk
16 assessment, but is the model the same as
17 contamination events that occurred in the
18 production process versus those that may occur in
19 the retail?

20 DR. EBEL:

21 No, it doesn't. It assumes, essentially, all the
22 contamination is occurring at the processing level.

23 DR. MACZKA:

24 Okay, we're going to break for lunch, but we're going to

1 start up again at one o'clock.

2 ***

3 [Lunch recess]

4 ***

5 DR. EBEL:

6 All right. While I trust everybody enjoyed their
7 lunchtime break, but it's time to get back into the
8 discussion on our modeling here, so we're going to
9 talk next about model evaluation, and we want to
10 address how well does the model calibrate to the
11 FDA Risk Ranging Model input. Our output needs to
12 become that model's input. So we want to see how
13 well we calibrate there. And then we'll want to
14 look a little bit at the behavior of our public
15 health predictions from our model, and then we'll
16 look at the stability of the in-plant model. So to
17 start with we'll look at this question of comparing
18 the FDA model to our in-plant model predictions.
19 And again, realize that we calibrated to an average
20 distribution from the FDA model and that point
21 shown here for both of the -- both the model
22 outputs match up very well to begin with. Now,
23 what we're looking at here is the percentile along
24 the AX@ axis there, and along the AY@ axis we're

1 looking at the concentration of LM per gram in RTE
2 foods. And the beauty of what we were able to do
3 here is that the FDA model actually only looks at
4 the 80th percentile on up, and the exposure
5 assessment part of that model. So that made our
6 job easier because we didn't have to match the
7 entire distribution. But it made our job harder
8 because we needed to match just that extreme tail.

9 And you can see the number of data points that we
10 actually match up on. And those are all outputs
11 then from our model. Those are all the percentiles
12 we keep track of. We're looking at concentration
13 at each one of these percentiles. And again, this
14 graphic shows we do a fairly good job of matching
15 up by simply changing the incoming concentration of
16 AL@ species added to the food contact surface area.

17 That's the only input that we changed to make this
18 calibration work. So we feel like we were pretty
19 good in terms of matching up in a baseline sense
20 with the FDA distribution.

21 Now, as we began considering marrying our in-plant model
22 to the FDA model, we needed to ask some questions.

23 The first question we asked was does not
24 considering uncertainty in the retail contamination

1 distribution make a big difference. So this
2 graphic here, the two bars to the left are an
3 examination of that question. The first bar is,
4 basically, the FDA model predictions for elderly,
5 number of deaths in elderly per year, and the
6 uncertainty about that. And this is the outcome
7 then of the FDA model's predictions. This is our
8 updated FDA model. And, as you can see, it extends
9 from below 50 to almost 300 deaths, but as a most
10 likely at the median of about 230 deaths per year
11 predicted by that model. The next bar over is
12 simply that model modified by only using a
13 singular, a single distribution, to represent the
14 retail distribution. And then that single
15 distribution is actually the average distribution
16 that we used to calibrate our model to. And you
17 can see the effect of doing that in terms of the
18 final public health predictions is fairly minor.
19 Very -- there is a shrinking in the final predicted
20 uncertainty about the number of deaths per year,
21 but it's not that substantial. And most of it
22 happens down at the right-hand tail. There is a
23 slight shifting up at the median, but that is
24 something that we felt we could live with. The

1 next two bars there then represent two runs, two
2 replicates, of our baseline model, where we take
3 our percentile values and plug those directly into
4 the FDA model and run them through. Those become
5 our baseline predictions for numbers of illnesses.

6 So the conclusion was that, first of all,
7 uncertainty in that retail distribution doesn't
8 affect our predictions in the rest of the model
9 that greatly. Second of all, we felt like our
10 model predictions were fairly robust. Different
11 model runs from the in-plant model were giving very
12 nearly exactly the same predictions coming out of
13 the FDA model for numbers of deaths. And I'm using
14 the term FDA model for just brevity. We recognize
15 still that that FDA model was an FDA/FSIS Risk
16 Ranking model. And we're very proud of it. Now,
17 the last -- I guess then to make the point again,
18 our predictions were robust and consistent.

19 MS. KAUSE:

20 I just want to clarify a little bit. For the
21 clarification, and when we're referring to the FDA
22 model, we're referring to the exposure assessment
23 pathway for deli meats from retail to table as well
24 as the updated dose response curve, not the entire

1 risk ranking.

2 DR. EBEL:

3 Okay, thank you, Janell. One other point then is to
4 make it clear that we won't be considering
5 uncertainty in subsequent presentation of results
6 in this presentation, but recognize that there was
7 uncertainty in every prediction of every scenario
8 that we run. This is just to give you an idea of
9 the magnitude of that uncertainty in the baseline
10 case. Getting to the question of stability, we've
11 shown in the previous slide that two runs of the
12 baseline model gave us essentially the same
13 predicted uncertainty distribution in numbers of
14 deaths for elderly. We can also directly look at
15 the stability of different percentiles of our
16 predictions coming out of the in-plant model. And
17 that's what's done here. From 20 different runs of
18 a particular scenario, the 4/2/1 sampling scenario,
19 each simulation consisting of a million iterations
20 each, and you can see that we are fairly stable at
21 the 80th, 99th and 99.99th percentile of our
22 predictions coming out of the in-plant model. So
23 we felt pretty good about that. If there's any
24 variability in our results it seems to be pretty

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 much at the further right-hand tail, that 99.99
2 percentile, where we're seeing just a little bit
3 more variability from simulation to simulation, but
4 still pretty good. Okay, then we can talk about
5 model results. I first want to describe the
6 scenarios that we ran through the model, and then
7 I'll show some of the in-plant model outputs. Then
8 we want to look at this question of the likelihood
9 of an RTE product testing positive, given that the
10 food contact surface area was positive. And then
11 we want to consider the efficiency of food contact
12 surface testing or a test and hold strategy versus
13 a non test and hold strategy. So we'll have a
14 little bit of an analysis of that.

15 These are the scenarios that generally we ran, and we
16 focused primarily on the elderly as we go through
17 the rest of this model. But we do predict for
18 intermediate age and neonates as well for some of
19 these scenarios. But this is a good listing then
20 of the scenarios that we run. And to start with,
21 to recognize that our baseline model consisted of
22 no testing, no interventions, no processing control
23 actions or growth inhibitors. Then our food
24 contact surface testing levels are explained as

1 these sets of triplets. So a 4/2/1 means four
2 tests per line per month for in large plants, two
3 tests per line per month in small plants, and one
4 test per month per line per month in very small
5 plants. So that triplet organization is consistent
6 from scenario to scenario. And, as you can see, we
7 run several different levels of frequency of
8 intensity of testing. In all these runs, in
9 general, test and hold is turned on. We dispose of
10 any product if it tests positive. We also have
11 enhanced cleaning turned on so if the food contact
12 surface area tests positive, that enhanced cleaning
13 efficiency is also in action there. Then the next
14 scenario there is 60/60/60. Lot testing is an
15 scenario where we don't test the food contact
16 surface. We strictly test the RTE product. But as
17 the 60/60/60 should signify to you, we test all
18 lots. We take a sample from every lot. And, of
19 course, then we dispose of the product. We then
20 also run a scenario where we assume 100 percent of
21 plants have an operation processing intervention
22 that is 90 to 95 percent effective. And then we
23 run another scenario where, again, we assume 100
24 percent participation by the industry of a growth

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 inhibitor of some sort that's 90 to 95 percent
2 effective. So this is a set of results. And
3 because you're going to see this, this kind of
4 presentation of the results several more times, I
5 think it's important to get clear on what it's
6 representing. The bars are telling us different
7 percentiles for these scenarios. So along the AX@
8 axis there you've got a list of different scenarios
9 that are being run. And the bars, themselves, then
10 represent the 80th, the 99th or the 99.99th
11 percentile, and then, of course, you can read off
12 the AY@ axis, the concentration associated with
13 each of those percentiles. And the concentration
14 is LM in RTE product, and at retail. So the first
15 set of results then is a representation of the FDA
16 model's retail concentration, and then our baseline
17 right next door to it, to show how they compare.
18 And, generally, they match up pretty well. The
19 80th percentile were a little bit lower than the
20 FDA. And then a general trend we see across the
21 testing scenarios is that as we increase intensity
22 of testing, or the frequency of testing, we see
23 that at least at the 80th percentile, generally a
24 decline in that concentration, implying that we're

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 removing contamination from the retail distribution
2 and, essentially, shifting that distribution to the
3 left. Now, as we get out to the 60/60/60 and the
4 60/60/60 lot -- okay, I'm sorry. Go ahead.

5 UNIDENTIFIED SPEAKER:

6 You said there was a difference in this...

7 DR. MACZKA:

8 Excuse me. We've been asked -- I'm sorry. We've been
9 asked if you could speak into the mike for
10 recording purposes.

11 UNIDENTIFIED SPEAKER:

12 Is there a statistical difference between the
13 significance? Is it -- is there a statistical
14 difference between those? I don't -- it doesn't
15 visually then look like there's any differences.

16 DR. EBEL:

17 Well, I'll agree that the difference is very subtle, and
18 in many...

19 UNIDENTIFIED SPEAKER:

20 But did you run statistics to see if there was, in
21 fact...

22 DR. EBEL:

23 Well, I guess I'm not sure what -- what would be the
24 point of statistics. What we're saying is this is

1 the outcome of the state of the world. And we've
2 changed the state of the world from simulation to
3 simulation. So I don't know what the statistic
4 would be that I would calculate. I will agree that
5 the differences in these distributions is somewhat
6 subtle and especially at the lower levels. But
7 what we -- I'm sorry, go ahead.

8 MS. HULEBAK:

9 I guess the point would be can you really call it a
10 trend? Can you repeat my...

11 DR. MACZKA:

12 Can you call it a trend?

13 DR. EBEL:

14 Oh, can you call it a trend? Well, we certainly feel
15 like we can. The point is that if we take an
16 action to any sort of biologic system and influence
17 it, and that biologic system represents
18 variability, and we influence it, it -- I don't
19 know what else we can do other than say it does
20 appear to influence it lower or higher. And that's
21 essentially what we're seeing here, is that we are
22 shifting the distribution, again, subtly at some of
23 the lower testing frequencies more dramatically as
24 we get to the more -- to the higher test

1 frequencies. And it gets us to this point I was
2 starting with, which is a 60/60/60 scenario, either
3 where we test food contact surface areas and then
4 follow up with testing RTE product if the food
5 contact surface tests positive, or strictly testing
6 the RTE product. Both distributions are
7 essentially the same in terms of that final result,
8 but neither of them are illustrating that we are
9 eliminating all contamination with that level of
10 intensity, which is -- that's essentially 100
11 percent testing as we've set up the testing to be
12 done. In contrast then, as we look at processing
13 interventions, what we signify here is PP, or
14 growth inhibiting packaging, or other sorts of
15 growth inhibitor scenarios, or a combination of
16 those two. We see that we get dramatically lower
17 concentrations. Well, I'll leave dramatically out.
18 We get a lower concentrations in those scenarios
19 than we do even at the highest level of intensity
20 of testing. And, you know, I don't -- I don't
21 think it's wrong to be somewhat confused by these
22 things, but this is the in-plant model income. As
23 we propagate this -- these outputs through the rest
24 of it, out to predicted numbers of deaths per year,

1 we'll get a better sense and have a little bit
2 stronger number to look at. And so we don't want
3 to argue that these are real easy to follow, but
4 that's why we wanted to spend some time on this
5 first one because we're going to be looking at
6 these some more.

7 DR. MACZKA:

8 Question? Question in the back? Can you hold on? And
9 if you can identify yourself too, we might as well
10 do that.

11 MS. CHUNG:

12 Yuong Chung [ph] from NFPA. You mentioned the gross
13 inhibition was that a maximum inhibition of one
14 lot?

15 DR. EBEL:

16 Yeah, one to probably 1.3. It was a range of 90 to 95
17 percent.

18 MS. CHUNG:

19 Okay, because you mentioned that the gross in the model
20 is 6 to 1 lot, so is that your link to the effect
21 of your gross inhibition in the deli issue?

22 DR. EBEL:

23 That's -- yeah, that's incorporated into the -- into the
24 model.

1 MS. CHUNG:

2 So that means that the maximum inhibition in that deli
3 side was one lot, right? Or no?

4 DR. EBEL:

5 Yeah. Yes, absolutely.

6 DR. MACZKA:

7 Do we have another question over there?

8 UNIDENTIFIED SPEAKER:

9 It does look, in deed, like the growth inhibition is
10 directly proportional to what you would expect. Is
11 that true also of post-processing? I assume -- it
12 looks like there was a one log reduction in
13 incidents at the end...

14 DR. EBEL:

15 Yeah.

16 UNIDENTIFIED SPEAKER:

17 ...which seems to correspond to your 90 percent
18 reduction in post-process -- by post-processing
19 intervention?

20 DR. EBEL:

21 If I just push the up arrow...

22 UNIDENTIFIED SPEAKER:

23 Yeah, if you push it up to 99.9 due...

24 DR. EBEL:

1 I wanted to get that part up.

2 UNIDENTIFIED SPEAKER:

3 There you go.

4 DR. EBEL:

5 I can't change the results. I can change the slide, but

6 I can't...

7 UNIDENTIFIED SPEAKER:

8 No, it would be interesting if you could change the

9 results, but -- anyway, we just wanted to -- I just

10 wanted to confirm that the 99 -- if you went up to

11 99 or 99.9, that that bar would probably feed

12 straight through proportionately, right?

13 DR. EBEL:

14 Yeah, we'll actually show that in some sensitivity...

15 UNIDENTIFIED SPEAKER:

16 Okay, great. Thanks.

17 DR. EBEL:

18 Dan wanted to make a point here that -- so if Dan wants

19 to make a point, why don't I let him make it?

20 DR. GALLAGHER:

21 Let me just point out -- let me just point out a

22 difference between what testing can do versus what

23 post processing can do. If you look at when we go

24 to maximal testing, either at food contact surface

1 or the lots, see the big drop in the 99.99
2 percentiles between those two, okay? Testing is
3 finding the higher concentrations and preventing
4 them from going on further in the human -- or into
5 retail. What post processing will do is drop all
6 three bars by however many log units of death we've
7 got implied, okay? So notice here, much lower
8 green bars. The extreme concentrations. But for
9 the post processing, in particular, when you
10 combine post processing and some type of
11 inhibition, see what that's done to the 80th
12 percentile? Now, remember, the FDA model does
13 allow for growth from retail through to
14 consumption. So any of these concentrations are
15 allowed to grow back up higher as defined by the
16 growth factors in the FDA model, all right? So
17 when you get to look at the actual results in terms
18 of public health, keep these kinds of differences
19 in mind. Tests that can capture the high
20 concentrations and stop them. Post processing,
21 okay, will reduce all of the concentrations.

22 DR. EBEL:

23 I'm particularly happy that you showed me where the
24 pointer was. I thought that was a TV changer or

1 something. I don't know. Okay, so now we're ready
2 to go on and ask the question, basically, what's
3 the likelihood that RTE product test positive given
4 that a food contact surface area test positive.
5 And so this is this contingency analysis that we
6 promised to show you. And, essentially, to get
7 these sets of results, we turned on both food
8 contact surface testing and RTE product testing at
9 full bore. So were basically testing all lots
10 using both methods, and then looking at the
11 overlap. How frequently, when you get a food
12 contact surface positive, do you also find that the
13 RTE product is positive as well. So we see that,
14 overall, we're getting about 13.7 percent of our
15 food contact surface area samples as being
16 positive, and overall, about 2.2 percent of all
17 lots have positive results for RTE product. Now,
18 that 2.2 percent, you might want to remember or
19 recall that we presented those sampling results
20 from 2002 that said 2.3 percent, so pretty darn
21 close there. If we look at the fraction of RTE
22 product positives amongst those that were food
23 contact surface positives, we get about 15.7
24 percent. So we would conclude from that that

1 relative to a random sampling of ready to eat
2 product lots, where we would find 2.2 percent of
3 the samples positive, we're doing seven times
4 better by focusing on those that were food contact
5 surface positive. Seem reasonable?

6 Okay, this is an analysis we did to evaluate the effect
7 of test and hold versus not testing and holding.
8 So we tried different levels of intensity of
9 testing, but it's paired between a scenario where
10 we had test and hold turned on, the T and H, or
11 test and hold turned off, the no T and H. And
12 what's interesting here is that we don't see too
13 much difference between them at the lower
14 frequencies of testing. The 4/2/1 strategy you'd
15 be challenged to find any real difference between
16 them. But we do see, as the intensity or the
17 frequency of testing increases, that the test and
18 hold results in a lower concentration than the not
19 testing and holding, which intuitively makes sense,
20 since in a situation where not testing and holding
21 were called, that means that we're sampling an RTE
22 product that was produced three days after we found
23 that food contact surface positive. So they're not
24 temporally very well related. And so it's not

1 surprising that we wouldn't find full contact -- or
2 I'm sorry, RTE product to be positive as often and,
3 therefore, be able to remove it. But we were
4 interested in why we couldn't make this sort of
5 show up at all, frequencies of testing. And so we
6 wanted to go a little bit deeper into our analysis
7 there. And what we did was run either a four, four
8 tests per line per month, or a 60 test per line per
9 month, and just crank through the results. And
10 what we -- what we found is that regardless of
11 whether we turned test and hold on or off, we're
12 going to find about 13 to 14 percent of the food
13 contact surface area samples positive. And again,
14 when test and hold is turned on, we find between 15
15 and 16 percent of those positive food contact
16 surfaces have positive RTE results. But in
17 contrast, if test and hold is turned off, we only
18 find 4 to 5 percent of those positive food contact
19 surface area samples having a positive RTE result.
20 Now, 4 to 5 percent is still better than just a
21 random sample of lots where we would find about 2.2
22 percent. But it's not nearly as good as the 15 and
23 16 percent. Now, the reason we tend to see less
24 visual evidence of a reduction in contamination for

1 the low frequency of testing is really just a
2 numbers game. We're talking at the lower test
3 frequency of only removing about .04 percent or .14
4 percent of all the lots due to finding them
5 positive through testing. In contrast, if we get
6 up to the intense level of testing at 60, where
7 we're testing all of the lots, we're removing about
8 2 percent in a case where test and hold is turned
9 on, whereas we're moving about a half a percent if
10 test and hold is turned off. That makes for a more
11 dramatic difference in the total number of lots
12 that are being removed because they're positive for
13 RTE testing results. Okay, I'm going to have --
14 I'm sorry, go ahead.

15 UNIDENTIFIED SPEAKER:

16 Why did you not look at scenarios that would be
17 indicative of industry practice, whereas you would
18 have both growth inhibitors and/or other
19 interventions as well as aggressive test and hold
20 sample? That combination wasn't one of the
21 scenarios, was it?

22 DR. EBEL:

23 Combination of processing and growth inhibitors?

24 UNIDENTIFIED SPEAKER:

1 Right.

2 DR. EBEL:

3 Yeah. Well, it's actually one of the scenarios.

4 UNIDENTIFIED SPEAKER:

5 Environmental testing. Just environmental testing, test
6 and hold, and intervention.

7 DR. EBEL:

8 In combination, yeah, we do. We will get to that. We
9 present those results in part of our public health
10 outcomes, but we're not presenting them here...

11 UNIDENTIFIED SPEAKER:

12 Okay.

13 DR. EBEL:

14 ...right now. So we will get to that. I'm going to
15 have Dan come up now and talk some about the
16 sensitivity analysis.

17 DR. GALLAGHER:

18 Sensitivity analysis, in a simple sense, is when we
19 change one of the input values and run the model
20 again and just see what difference it makes in the
21 output predictions. We do a sensitivity analysis
22 for a variety of reasons. One is to simply -- does
23 the model respond the way we expect it to, so it
24 becomes a debugging check. Another one is what

1 kind of trends to we see? One of the nice things
2 about a sensitivity analysis, I can put in values
3 that I know won't fit the real world, but I can
4 look at what the model responds to get a better
5 visual assessment of trends than we could over just
6 a realistic, real world change. The other, I can
7 see how different types of parameters respond,
8 because the model will respond differently. So we
9 haven't done a lot of these. There's still a
10 little bit of a time crunch going on. I want to
11 show, I think, four different variables that we
12 varied because they all have something slightly
13 different to say about how the model works. The
14 first one, okay the baseline model as the standard
15 lab protocol looks at -- looks at 25 grams of an
16 RTE product sampled for *Listeria monocytogenes*. We
17 wanted to know, could we make that any better.
18 What would happen if we either composited multiple
19 samples or simply took a larger sample? Now again,
20 I caution you, these results assume that the
21 contamination is evenly distributed throughout the
22 product. That's one of the factors in the model
23 right now. What you see here, okay, along the AX@
24 axis, I'm varying the size. And there's an example

1 where I don't anyone in the real world is going to
2 sample almost a kilogram of product, okay? But we
3 can run it through the model to see what the trend
4 is. What you consistently see, again, the bars up
5 here are the concentrations at retail. And
6 consistently, across all three quintiles that we're
7 looking at, percentiles that we're looking at, the
8 more we sample, the larger the sample is, okay, the
9 better we can decrease the resulting concentrations
10 at retail. A brief discussion with some of the lab
11 people, and conceptually, they could be running 100
12 gram samples, okay? Now, it's changing an
13 established protocol. This isn't something you
14 easily do. But this looks like something that
15 would have a potential benefit. Like I'm not
16 allowed to talk about cost, but it seems like that
17 would not have much cost involved because we're not
18 changing the number of tests. Just defining what a
19 test is. That doesn't seem to have any level off
20 at any point. So you have to let the lab decide
21 what it can handle as the largest sample mass.
22 Compare that to looking at the food contact surface
23 area that is sampled. Okay, the area that is
24 swabbed from the table or the roller. Okay, again,

1 I'm looking then across here, and it's a very great
2 range. Much greater than we would ever look at in
3 practice. But what you see is, early on, I get
4 drops, okay? But this one levels off, okay?
5 Larger and larger areas don't make much difference.
6 Okay? I'm not changing the retail concentration
7 at all. Now again, I want to emphasize the caveat
8 we're assuming uniformly distributed contamination,
9 okay? Those results would change if there's some
10 variability in where that contamination is. But
11 somewhere around the 10 to 100 to maybe 1,000,
12 going to a larger area doesn't make any difference
13 in the retail concentrations. And just to look at
14 that same analysis a little bit differently, this
15 top graph, okay, is the number of food contact
16 surfaces out of a million that were found to be
17 positive as we vary the area that gets swabbed or
18 tested. The larger the area, the greater the
19 number of food contact surfaces that we find
20 positive. The red one is the number of
21 corresponding ready-to-eat product lots that we
22 find positive. And what we see here is, again, the
23 same thing. Once you get out to about 100 square
24 centimeters for our model, you have found all 2.2

1 percent of the ready-to-eat product lots that are
2 contaminated, okay? This will trigger more testing
3 with larger swabbed areas, will trigger more
4 testing, but not result in a decrease in the retail
5 concentrations. So a difference, at least over the
6 ranges that we looked at, between a sample mass for
7 LM and a swabbed area for food contact surfaces.
8 The base model actually pointed it out. Our model
9 is at a thousand. And we do have some references
10 for that. I can look them up if you'd like.

11 The other one, to bring up -- a gentleman had a question
12 a few minutes ago. What would happen if we looked
13 at different post-processing levels? Pre and post-
14 packaging intervention levels, okay? This looks at
15 a variety of them. Now again, there's really two
16 variables that are going into that. How much of
17 the industry is participating in the post -- pre
18 and post-packaging intervention? And then how
19 effective it is. All right. But the question that
20 came up earlier, could we run one, that is --
21 results in a two-log reduction? And the answer is
22 yes, and you're right, it's basically proportional,
23 okay? And so that retail concentration with 100
24 percent of the industry participating is about one

1 log below the 100 percent of the industry
2 participating, but where it's only a one log
3 reduction. But remember, that is a two variable
4 change, okay? How much did industry participate?
5 How effective it is when they do participate. And,
6 finally, I want to talk about this one a little bit
7 because I think we need to keep in mind what that
8 calibration step does to this type of model. What
9 I've done here, and this very preliminary. And,
10 you know, I was working on this over the weekend.
11 I looked at different LM to AL@ specie ratios.
12 Remember, that was one of the factors we used to
13 convert the concentration of AL@ species in the
14 product, okay, from Listeria species, okay, as we
15 transferred it off the food contact surface to
16 Listeria monocytogenes, and then we would then test
17 for, okay? And our baseline assumed about 50
18 percent of the bacteria were AL@ mono. I dropped
19 that down to about 5 percent and rose -- raised it
20 to about 95 percent just to get an extreme. And I
21 don't think the data that we have would support
22 that high a ratio. I should say that. But one of
23 the things that we've got to do is, if you do
24 something like this, unlike the earlier examples,

1 here we're changing what our baseline prediction
2 would be. So if I am going to drop -- if I am
3 going to say fewer of the bacteria that get on the
4 food contact surface are LM, okay, I still have to
5 match the FDA distribution of LM at retail. That's
6 a fixed, that's measured, that's given, that's our
7 best estimate of the real world. So if I'm going
8 to do that, that means these are -- I've got to add
9 a lot more Listeria species to that food contact
10 surface, right? These are in log units, so they
11 are amounting to 10 to minus 6. If I drop it down
12 to a 5 percent ratio, I've got to add ten times
13 more, ten to the minus 6, to get back to matching
14 the FDA output distribution, all right? So some of
15 these factors, as we change them, we still have to
16 get back to our real-world measurement. That means
17 we have to go through a recalibration step. Keep
18 that in mind for some of the new data that might
19 come in. It's not a simple if this number drops, I
20 know what's going to happen. Think it through the
21 calibration process and what that implies. All
22 right. Now, the reason I say this is preliminary,
23 it takes us about two days to do a nice calibration
24 and get the nice graph that you saw. These were

1 done in about 15 minutes. So they're close, but I
2 can get better. That's why I'm not showing the
3 concentrations, okay? What it does show is that
4 for lower ratios, okay, as I would expect, I'm
5 adding a lot more bacteria, I get a much higher
6 food contact surface prevalence. All right,
7 there's ten times as many bugs there as there were
8 before, okay? At higher ratios, I get a lower
9 prevalence on the food contact surface. So 18
10 percent of the food contact surface tests positive
11 when I've got a ratio of 5 percent, 12 percent,
12 when it's 95 percent. The lot prevalence is
13 relatively constant. That's because we're matching
14 the FDA distribution. So that's tying us back to
15 having a relatively constant lot prevalence no
16 matter what that ratio is. The benefit, that
17 contingent benefit, before we were saying it was
18 about -- if we knew that the food contact surface
19 tested positive, how much more likely were we to
20 find a positive lot that we would go and test,
21 compared to just random? We said it was a factor
22 of roughly about 7 for our baseline case. That
23 drops if that LM ratio is lower, okay? At a 5
24 percent ratio, it's down to a factor of 5. If it's

1 a 95 percent case, it rises by a factor of 8-1/2.
2 But I do want you to take away the fact that some
3 of these changes in the data require a
4 recalibration to make sure we match that known
5 distribution at retail.

6 UNIDENTIFIED SPEAKER:

7 Question?

8 DR. GALLAGHER:

9 Yes?

10 UNIDENTIFIED SPEAKER:

11 Okay, I think I understand what you're doing, but I was
12 wondering if you've taken a gut check with this and
13 compared it to the Tompkin paper. And the first
14 line of data came from Tompkin, correct?

15 DR. GALLAGHER:

16 The middle column.

17 UNIDENTIFIED SPEAKER:

18 The mean LM Listeria species ratio...

19 DR. GALLAGHER:

20 That...

21 UNIDENTIFIED SPEAKER:

22 ...the 5 to 95 came from the Tompkin?

23 DR. GALLAGHER:

24 No. No, no, no.

1 UNIDENTIFIED SPEAKER:

2 No?

3 DR. GALLAGHER:

4 The Tompkin paper is that .52 on average. The Tompkin
5 paper said about 50 percent of the prevalence. And
6 remember, this was one of our issues before.

7 UNIDENTIFIED SPEAKER:

8 Right.

9 DR. GALLAGHER:

10 Fifty percent of the prevalence that I would find LM,
11 okay? But it's this middle column that's our
12 baseline.

13 UNIDENTIFIED SPEAKER:

14 All right. Didn't Tompkin also report a range...

15 DR. GALLAGHER:

16 Yes.

17 UNIDENTIFIED SPEAKER:

18 ...of about 5 to 95 percent? Or am I wrong?

19 DR. GALLAGHER:

20 In terms of prevalence. Actually, we have some that are
21 100 percent, but yes. Okay.

22 UNIDENTIFIED SPEAKER:

23 Close to that.

24 DR. EBEL:

1 GALLAGHER.

2 UNIDENTIFIED SPEAKER:

3 Okay. I guess my question is then did you do a gut
4 check with this overall FCS prevalence? I would
5 think, and I don't remember specifically, but I
6 would think that that's in the Tompkin paper. I
7 was wondering if there was some relationship
8 between your estimates and what he actually
9 reported, and I don't know the answer, but just
10 curios.

11 DR. GALLAGHER:

12 I don't know that he reported that. He might have. I
13 don't recall off the top of my head. We can go
14 back to look at that.

15 DR. EBEL:

16 Yeah, actually, I can't answer that either, other than
17 if we get to a gut check, we have heard anecdotally
18 that these percentages of food contact surface
19 areas being positive are in the realm of
20 reasonable, so although I wouldn't say that the
21 upper or lower bounds shown here are necessarily,
22 you know, the extremes that one could see, but that
23 are central tendency on fraction of samples that
24 are food contact surface samples that are positive

1 is consistent with what some other people are
2 telling us they're seeing.

3 DR. GALLAGHER:

4 Eric...

5 DR. MACZKA:

6 There's another question.

7 UNIDENTIFIED SPEAKER:

8 Dr. Gallagher, could you clarify something for me?

9 DR. GALLAGHER:

10 Please.

11 UNIDENTIFIED SPEAKER:

12 I think -- I thought I heard earlier that when you went
13 back and calibrated with the FDA/FSIS Risk Ranking,
14 that you just focused in on a -- either the mean or
15 the median concentration, and that you did not
16 consider the entire distribution of concentrations
17 that were related. Was that in a different
18 application? Is that why I'm a little bit
19 confused? Because here you're -- it seems like
20 that might explain some of the distribution here.
21 But maybe you can clarify that for me. Thank you.

22 DR. GALLAGHER:

23 Let me try. What FDA has are -- this is our calibration
24 plot again. They actually have not a single point

1 at those percentiles. They have a distribution at
2 each percentile, because they would run 300
3 iterations and give me -- or provide an 80th
4 percentile as a distribution output. We took the
5 median of those to calibrate to because our model
6 doesn't have a separate -- does not distinguish
7 uncertainty and variability, as the FDA does. So
8 when we match their median estimates, that's what
9 you see here. Now, what I was trying to point out
10 before is if I change some of the baseline inputs,
11 like that ratio, if I just drop that down to a 5
12 percent, okay, that would change my red predictions
13 under the baseline case. It would not be anywhere
14 close to this. And I want to bring that back up to
15 match it. So what the variable I am least certain
16 about is how much gets added during a contamination
17 event. So I then go back and recalibrate that one
18 to bring it back up to the FDA data.

19 UNIDENTIFIED SPEAKER:

20 Okay. All right. Sorry. That's why I guess we
21 shouldn't be too surprised if these things match up
22 because, obviously, that's what you do, calibrate
23 models.

24 DR. GALLAGHER:

1 Wait, wait, yes. Hold on.

2 UNIDENTIFIED SPEAKER:

3 If you don't have any...

4 DR. GALLAGHER:

5 Let me point out...

6 UNIDENTIFIED SPEAKER:

7 I understand the significance...

8 DR. GALLAGHER:

9 ...Keep in mind that normally, when we do calibrations,
10 say as an environmental model, I'm looking at
11 comparing an average to an average, and if I'm
12 anywhere close, I see people within a factor of
13 ten, say, they're doing pretty good. Note what
14 we're saying. If you go out to the 99.99th
15 percentile we're still matching that tail. So I
16 think this is -- I think this is better than a good
17 calibration. I think this is a great calibration.

18 UNIDENTIFIED SPEAKER:

19 You said you're matching it to FDA data, or is that data
20 or is that predicted? Is that from your predictive
21 model? That's from their predictive model or from
22 actual hard data samples?

23 DR. EBEL:

24 No, they're starting distribution. For retail

1 contamination, it is estimated from the available.

2 UNIDENTIFIED SPEAKER:

3 May I?

4 DR. EBEL:

5 Sure.

6 UNIDENTIFIED SPEAKER:

7 It's not from data, but it...

8 DR. EBEL:

9 Well, it's estimated from the available data, so they
10 based it on consideration of all the sampling
11 evidence that's -- that, you know, they did for all
12 food groups. But in the end, it represents, in our
13 case, a single distribution. That's our best
14 estimate of what the retail distribution is.

15 DR. GALLAGHER:

16 They have to estimate it because they have different
17 data sources. For example, the NFDA data is
18 involved in that. The FSIS data is involved in
19 that. They need a way to integrate those different
20 data sources to come up with an estimate of final
21 distribution, okay? It is not modeled in the same
22 sense that our data is modeled in terms of a
23 prediction.

24 DR. MACZKA:

1 Did you have another question?

2 UNIDENTIFIED SPEAKER:

3 Thank you. Can I just ask a basic question for why your
4 model is predicated on, as I understand it, a
5 single estimate, whereas the FDA model is
6 predicated on these range of estimates? I mean it
7 seems like there was a decision made.

8 DR. GALLAGHER:

9 There was. There's a distinction between uncertainty
10 and variability, all right? You know, standard
11 risk ranking. Ideally, you would like to consider
12 them both separately. FDA did that. Such models
13 are more data insensitive just by their vary
14 nature, okay? We've looked at much of the
15 available data and the in-plant model. There's
16 just not that much data available. We used
17 everything we could find, but there's just not that
18 much out there. You can sometimes fill in the gaps
19 with things like expert elicitation.

20 DR. MACZKA:

21 Elicitation.

22 DR. GALLAGHER:

23 Thank you. Okay. But the problem is the timeframe that
24 we needed to get some answers back, all right? So,

1 in this case, we decided to look at a combined
2 uncertainty variability model. If you want another
3 example, what's being used in the USDA right now,
4 the ESE model that was done. You know, several
5 years' worth of effort coming out of the Harvard
6 risk growth used to a similar approach to what
7 ours. They did not explicitly separate that,
8 separate out that difference. All right, let me
9 just summarize the sensitivity, then we're back to
10 Eric. So if we increase the mass that we use to
11 sample the product, okay, we can decrease the
12 concentrations of LM at retail. If we increase the
13 food contact surface area, from very small ones to
14 little bit larger ones, we get a benefit. But
15 over the range that we seem to be sampling, it's
16 relatively flat, okay? There's not a driving force
17 to make that area larger. Again, the caveat of
18 both those, we're assuming uniform mix. The
19 testing gives you a benefit no matter what the LM
20 to AL@ specie ratio is, okay? I've got a benefit
21 of a factor of about 5 at fairly low ends, okay, up
22 to a factor of about 8 at the upper end. But
23 there's still always an observable benefit. Post
24 processing, with industry, significant industry

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 participation, leads to a drastic drop at retail.
2 All right, Eric's going to actually present some of
3 the public health defense.

4 DR. EBEL:

5 Okay, so after propagating these in-plant scenarios
6 through the FDA Risk Ranking Model, we're going to
7 predict the number of deaths attributable to deli
8 meats per year for each of three different age
9 classes that FDA model as Bob Buchanan explained
10 really consists of an exposure assessment part and
11 a dose response part, which the dose response part
12 is actually three different models for the three
13 different age categories, and that those response
14 model, which is a very elaborate model, accounts
15 for other sorts of variabilities. Besides the
16 variability in dose, it accounts for effects of
17 different or variabilities in virulence of LM
18 strains. It also accounts for some host factor
19 variability in terms of susceptibility. Now, just
20 to generate these results through the FDA model,
21 just to give you a perspective on the time, it
22 takes about, for us anyway, it's about eight hours
23 to generate an output from the exposure assessment
24 part of the model. And then to get a baseline dose

1 response analysis takes another eight hours of run
2 time for that part of the model. And again, that's
3 then times three if we're evaluating three
4 different age categories. So that, I mean, it's a
5 very intense model to propagate these outputs from
6 the in-plant model through. Now, overall, this is
7 the elderly, the predicted number of deaths per
8 year for elderly. The baseline number, total
9 number of elderly deaths predicted is about 307 to
10 310. So you can see that deli meats in our
11 baseline case represent 250 deaths of those 310
12 deaths per year. And then those are just due to
13 deli meats. And then as we start to propagate
14 these different testing scenarios through, we see
15 that we are dropping the number of deaths, not
16 precipitously, but subtly, and then finally to the
17 point where we get the 60/60/60 testing that we do
18 is really the maximum benefit we can get out of
19 intense testing, and we're seeing about a 50
20 percent decline then at the median for numbers of
21 deaths. And again, this is all results based on
22 the medians of those predictions.

23 Then as we examine some of the processing and growth
24 inhibitor interventions, we see that we actually,

1 in this case, ran two forms of the post-processing
2 or the processing interventions. One where it was
3 90 to 95 percent effective, and the other where it
4 was 99 percent effective. And you can see that, of
5 the 99 percent effective, processing intervention
6 gives us a much dramatic reduction in the numbers
7 of illnesses, where the only other one that matches
8 up with it is where we do a combination of the
9 processing intervention at 95 percent effectiveness
10 and the growth inhibiting packaging. So those are
11 the only two scenarios here where we actually see
12 the numbers of deaths drop below 100. Any
13 questions on this?

14 Now, this is the same sort of thing, although we
15 propagated fewer of the scenarios through the
16 intermediate age category. If you recall, that
17 actually encompasses everybody who's over 30 days
18 old up to everybody who's 60 years old. The total
19 number of deaths in this age category was 67, and
20 as you can see, about 55 of those 67 deaths are
21 attributable to deli meats in the baseline. And
22 again, we see the same sorts of patterns in terms
23 of reductions in predicted deaths as we increase
24 the intensity of testing. But again, those

1 processing and growth inhibiting scenarios give us
2 as least as good a reduction in total numbers of
3 deaths, and the combination is the best. And then
4 we are looking at the predicted neonatal deaths,
5 and the estimated total is 16 deaths per year, and
6 14 of those are attributable to deli meats. And
7 again, the patters are, essentially, the same.

8 DR. MACZKA:

9 We have a question.

10 DR. EBEL:

11 I'm sorry.

12 UNIDENTIFIED SPEAKER:

13 I'm sorry. Maybe I'm getting a head of myself, but I
14 still don't see the scenario combining
15 environmental testing and inhibitors. Are you
16 getting there?

17 DR. EBEL:

18 I'm getting there.

19 UNIDENTIFIED SPEAKER:

20 Okay. I'm sorry.

21 DR. EBEL:

22 And this is just a summary now of everything we've
23 looked at so far, just kind of taking the
24 difference between the baseline and those different

1 scenarios, again, at the median, and calculating
2 what would be the lives saved for various
3 scenarios. And we're going to talk a little bit
4 about some of the tradeoffs, but we can actually
5 get a sense of it right here as we look at the
6 effectiveness of growth inhibiting in combination
7 with processing interventions. We see there isn't
8 necessarily a synergistic sort of behavior, an
9 additive predictive effect of those two. There is
10 some trading off that's going on. In this case,
11 what we've got is processing is reducing the number
12 of bugs that are actually available to grow in the
13 growth inhibitor part. So we're not getting
14 exactly an additive effect of those two scenarios
15 being run independently. When we run them
16 together, we do see some averaging out of their
17 effects because of that. But they certainly -- and
18 all those scenarios that we've run represent the
19 most effective in terms of reduction in total
20 numbers of deaths. We're going to see the same
21 thing here. And, finally, we've gotten to the
22 question of test and control combination scenarios.
23 And, in this case, what we've done is examine
24 combinations of different testing strategies along

1 with the interventions of either processing or
2 growth inhibition. And one thing we learned here
3 then was that, again, processing has some effect in
4 terms of our ability to find positives. So the --
5 we don't see an additive effect here either between
6 the -- just the effectiveness of testing and the
7 effectiveness of a processing intervention. And if
8 we think about it long enough, we realize that
9 processing, because it's reducing contamination
10 levels, is reducing our efficiency in being able to
11 find positive lots. So that's why we don't see an
12 additive sort of relationship between those two
13 things. But, as you see, as we combine processing
14 or growth inhibiting with testing, we do
15 substantially reduce the number of predicted deaths
16 in the elderly sub population per year, and that
17 our testing of every lot there over on the right,
18 we nearly reduce the number of deaths to zero when
19 we do a combination of testing and processing and
20 growth inhibiting. I want to wait for the
21 gentleman who wanted this to be comfortable with
22 it.

23 UNIDENTIFIED SPEAKER:

24 Actually, I wanted to ask the same question again

1 because I want to understand the point. For your
2 gross inhibitor, here is the inhibition still 90 to
3 95 percent?

4 DR. EBEL:

5 It's effectively 90 percent.

6 UNIDENTIFIED SPEAKER:

7 Yeah. So if there is an inhibition strategy that gives
8 you full lot reduction instead of you know about
9 two lots, what would you expect as to the
10 effectiveness in terms of reducing illness?

11 DR. EBEL:

12 Yeah. Well, I think we would want to propagate that
13 through the model to give you the best answer, but
14 naturally, we expect that the more effective a
15 processing intervention is, the more reduction
16 we're going to see. But these interactions are
17 something that we feel we're better able to predict
18 using the model.

19 UNIDENTIFIED SPEAKER:

20 And what was the basis for choosing the 90 to 95 percent
21 inhibition?

22 DR. EBEL:

23 Inhibition or processing effectiveness?

24 UNIDENTIFIED SPEAKER:

1 The growth inhibition.

2 DR. EBEL:

3 I think we experts sitting around -- I call it expert.

4 We sat around with the folks here in FSIS and
5 talked about what did we think was the
6 effectiveness. We didn't really have anything to
7 report on that.

8 DR. GALLAGHER:

9 Remember, these are, in effect, sensitivity analyses as
10 well. We're not trying to match the real world in
11 this part of it. We're trying to see if we did
12 this action, what would the result be. So we
13 picked any range of numbers for it.

14 DR. EBEL:

15 We actually, and I have seen some actual data on
16 effectiveness of various processing interventions,
17 which suggests many even effectiveness levels
18 better than the two logs that is the maximum we
19 model here. But we haven't seen much on growth
20 inhibition in the literature in terms of its
21 effectiveness.

22 DR. MACZKA:

23 Question?

24 UNIDENTIFIED SPEAKER:

1 Is your 60/60/60 -- your 60/60/60 presented here, just
2 for clarification, is that finished product
3 testing, is it environmental testing, either/or?

4 DR. EBEL:

5 It's actually food contact surface testing.

6 UNIDENTIFIED SPEAKER:

7 This happens to be food contact surface testing?

8 DR. EBEL:

9 Right.

10 UNIDENTIFIED SPEAKER:

11 Thank you.

12 DR. GALLAGHER:

13 In some of the figures, if it says 60/60/60 lot, that
14 represents ready-to-eat product testing.

15 DR. EBEL:

16 Well, we actually just have a couple more slides to go
17 here, but these are some limitations that we want
18 to keep in mind, and we've talked about them
19 several times, but this model does only consider
20 full contact surfaces as the source of Listeria
21 species and LM in product. And we are talking
22 about a generic food contact surface. And we're
23 assuming that AL@ species is evenly distributed
24 across the food contact surface as well as the

1 product, and that we are operating on a lot basis.
2 So we're not looking at within lot variabilities
3 at this point.

4 DR. MACZKA:

5 Can we have a -- I'm sorry, I didn't realize you weren't
6 finished.

7 DR. EBEL:

8 Well, actually, we are sort of finished because I think
9 you -- we all have seen this already once, but this
10 is our summary of the findings, what actually...

11 DR. MACZKA:

12 You might run through them again.

13 DR. EBEL:

14 Okay. So to start with, we did find that food contact
15 surfaces that were found positive for AL@ species
16 greatly increased the likelihood of finding RTE
17 product lots positive. As we mentioned before,
18 it's seven times better than just a random sample
19 if we use test and hold, and it's still two times
20 better if we don't use test and hold. We also
21 found the frequency of contamination of the food
22 contact surface area with Listeria species appears
23 to encompass a broad timeframe and the duration
24 lasts about a week, although it's variable. The

1 proposed minimal frequency of testing, the 4/2/1
2 strategy, does result in a small reduction in the
3 levels of LM in deli meats at retail. And that
4 increased testing and sanitation leads to
5 proportionately lower risks of listeriosis.
6 Furthermore, then the combinations of interventions
7 appear to be much more effective than any single
8 intervention alone in reducing the risk of death
9 and/or illness for Listeria in ready-to-eat
10 products.

11 DR. MACZKA:

12 There's a question in the back. Question all the way
13 back there.

14 DR. GALLAGHER:

15 Actually, we're done with the talk. Why don't they
16 start coming to the mikes?

17 DR. MACZKA:

18 Okay, as it was pointed out, we're really done, so if
19 you want to come to the mike in the center of the
20 floor, identify yourself, we can take about, I
21 guess, about five or ten minutes of questions, and
22 then we'll turn the podium over to Dr. Hulebak.
23 Will you please come up to the mike, identify
24 yourself.

1 MS. HULEBAK:

2 Take a break.

3 DR. MACZKA:

4 Yeah, we'll take a break. Sorry.

5 UNIDENTIFIED SPEAKER:

6 Just I have a question about the second bullet. Is it
7 an assumption or is it a finding? I thought that
8 was one of your basic assumptions from Tompkin's...

9 DR. GALLAGHER:

10 It's a data input parameter based upon the data we could
11 find published and available to the IDV. So it's a
12 finding.

13 UNIDENTIFIED SPEAKER:

14 Oh, yeah, I thought that there was something in that
15 paper that said it's 8.8 days average duration of
16 contamination.

17 DR. GALLAGHER:

18 That's correct, so that is a finding out of Tompkin's
19 paper. The time between is a finding out of the
20 IDV data.

21 UNIDENTIFIED SPEAKER:

22 You may find out that doesn't hold because that was
23 using previous methodologies that would take three
24 to five days for the results, or even up to a week

1 for the results of Listeria testing to appear. At
2 this point, we can have results in two days. And
3 many plants take action, and by subsequent
4 sampling, you find that the samples are negative or
5 the sites are negative. So you may want to
6 consider that, that point.

7 MS. KAUSE:

8 If you have additional data, we'd be more than happy if
9 you'd submit it to the docket.

10 UNIDENTIFIED SPEAKER:

11 I wanted to ask about your statements on sanitation. Am
12 I -- during the day, all I heard you say about
13 sanitation, the data you used was conversations
14 with in-house people, so you're basing those two
15 statements not on any type of studies, but just on
16 conversation. Is that -- would I be correct in...

17 DR. GALLAGHER:

18 The level of sanitation that we picked to use for the
19 baseline was based on the discussion with the FSIS
20 personnel. The food contact surface testing,
21 you've seen plenty of those results, but in all
22 cases, the testing -- here again, testing has to
23 have an intervention to have an effect. Part of
24 the -- one of the interventions is increase

1 sanitation.

2 UNIDENTIFIED SPEAKER:

3 You've made a statement, increased frequency of, let's
4 leave the testing part off, sanitation leads to
5 proportionately lower risk of listeriosis.

6 DR. GALLAGHER:

7 I don't want to leave the testing off.

8 UNIDENTIFIED SPEAKER:

9 Well, then, okay, but the slash needs to be removed
10 then. You mean testing and?

11 MS. KAUSE:

12 Sanitation, right.

13 DR. GALLAGHER:

14 It can be written that way if you want.

15 MS. KAUSE:

16 Another question here?

17 MR. STEWART:

18 Skip Stewart, Marketing Institute. On your last slide,
19 you referenced a lot. You were talking about lots,
20 I think. Could you define, help clarify what is
21 considered a lot, for example, in relation to your
22 various testing scenarios? Is that the lot defined
23 over the course of a month then?

24 DR. GALLAGHER:

1 A lot is the volume produced by one line during one
2 shift, so those masses that we saw earlier from the
3 FSIS survey data, I forget the exact numbers, but
4 that many thousands of pounds constitute one lot,
5 and we assume that a plant, every size plant, will
6 produce two lots a day.

7 MR. STEWART:

8 Okay, that's helped a little, but then how does the
9 sampling and testing scenarios relate to production
10 lots, or do they not relate?

11 DR. GALLAGHER:

12 They are -- well, yeah, so the -- say the 4/2/1
13 strategy, that means that we're going to sample
14 four lots in a given month, and we've done it on a
15 systematic basis, so we're, essentially, testing
16 four evenly spaced lots in kind in a given month
17 for a given line. And again, I'll -- a lot being
18 one shift of production. It would be only 1/2 of
19 one day that we would have, if it happened to be a
20 sampling day, it would be only one of the two lots
21 that we would have sampled.

22 UNIDENTIFIED SPEAKER:

23 Okay, so I guess this is a follow-up clarification.

24 Does that then imply that...

1 MS. KAUSE:

2 Come to the mike.

3 UNIDENTIFIED SPEAKER:

4 ...does that imply -- I mean, what I'm trying to
5 understand, based on the risk assessment that you
6 presented, was -- it sounds like then you can't
7 really draw a relation between what you presented
8 in terms of sampling and testing scenarios to the
9 lot because the lot is only, for example, in a 60 -
10 - in a 60 sampling and testing lot scenario, in
11 that case you'd have one sample for one lot. In a
12 4/2/1, to your point, you'd only have one -- one
13 test for what, every 15? So there is -- you can't
14 -- you can't really use that to define the lot. I
15 mean does it really reflect back on the lot or it
16 does it -- I'm trying to understand how the
17 sampling and testing scheme that appears to
18 encompass a month relates to a lot of production
19 that has interventions, you know, a cleanup, or
20 whatever, at the end of the day. All right, what's
21 the relationship there, I guess?

22 DR. GALLAGHER:

23 Our time step, the way the model -- the model will
24 calculate the concentration of AL@ mono or AL@

1 species for a given lot, okay? And then it moves
2 on to the next lot. So our delta time or the delta
3 step within the model is as each lot gets passed
4 through it. So then that's why we have a maximum
5 of 60 tests that are possible because 60 lots are
6 produced per line. So -- and we can assume that we
7 will test any given number of lots that are
8 requested.

9 MS. KAUSE:

10 I believe you're next.

11 UNIDENTIFIED SPEAKER:

12 I'm trying to understand the relationship where you made
13 your basic assumption that there was a pretty
14 constant level of organisms available to
15 contaminate the surface. Was that one of your
16 first assumptions? Am I correct that there was
17 a...

18 DR. GALLAGHER:

19 Well, we don't necessarily say the concentration in that
20 reservoir is constant. We don't know what it is.
21 As long as it's enough to provide the re-
22 inoculation during a contamination event.

23 UNIDENTIFIED SPEAKER:

24 I'm trying to relate it to what I really feel is going

1 on in the industry today, where we do see a
2 dramatic reduction of growth niches within a
3 processing plant. I think as we all continue to
4 learn from the testing by taking action and
5 actually eliminating growth niches, that available
6 contaminant is continuing to be less and less with
7 time. And I don't see how your model is
8 recognizing the fact that that is really going on
9 within the industry.

10 MS. KAUSE:

11 Again, do you have data that you could submit to the
12 docket? Because, basically, we work with the
13 available data. And it sounds like you're
14 discussing that you do have some data that could be
15 made available.

16 UNIDENTIFIED SPEAKER:

17 I think we're speaking from the experience of what we're
18 doing with workshops and working with various --
19 various producer companies, as we've been doing
20 this now for a couple years, and the thing that
21 we're seeing the companies do and the stories that
22 they're telling are just quite dramatic. The fact
23 that suddenly they learn how to eliminate these
24 growth niches. It's amazing that some of their

1 problems just don't seem to be coming back over and
2 over again, so I think you're looking at a very
3 dynamic population here, and I caution you against
4 taking a slice of time at this point.

5 MS. KAUSE:

6 Thank you. Next?

7 MR. GILLIS:

8 Kevin Gillis, Rode [ph] Inc. I just wanted to comment
9 on your one, two, three, your fifth bullet point.
10 Just from the standpoint of the summaries, because
11 in a busy world, these tend to be the things people
12 read, and maybe -- maybe 500 pages a day, et
13 cetera, will not be. Just as a point of a
14 suggestion, in the final bullet point, because we
15 talk about a combination of interventions, in fact,
16 you don't have 50 or a hundred interventions. We
17 can just say, if what you're saying is you need, or
18 you would, from the data, it would suggest that a
19 kill step of some sort, bactericidal effect of some
20 sort, plus the inventory packaging would be quite a
21 bit clearer, I would suggest, and maybe easier to
22 understand that final bullet.

23 MR. HUFFMAN:

24 Randy Huffman, American Meat Institute. Back to the

1 question, I think maybe the first one that was
2 asked I believe by Jenny regarding the transfer
3 coefficient. The data that was used was the paper
4 by, I can't remember.

5 DR. MACZKA:

6 Carpentier?

7 MR. HUFFMAN:

8 Carpentier, yeah. I read that this morning. And that,
9 that was fresh beef, I think, 5 centimeters square
10 pieces of fresh beef, and they're allowed to sit on
11 a surface at on a film approximately 30 seconds,
12 and that transfers, the one that's used, but I'm
13 aware of data that was submitted to the Agency that
14 I think would probably be -- well, first of all,
15 it's using ready-to-eat products. That's a
16 significant difference. But I'd just like for some
17 discussion and comment on why that data was not
18 used in the...

19 DR. GALLAGHER:

20 We looked at that data fairly carefully. The problem
21 was it was it was presence/absence data. What was
22 done was, and help me out if I misstate anything
23 here, but a food contact surface was inoculated
24 with LM, okay? Different ready-to-eat product was

1 passed over it, and within a lot, so that was one
2 question, but then that product was then tested,
3 presence/absence for *Listeria monocytogenes*. It's
4 difficult to impute a transfer coefficient based
5 solely on preferences -- prevalences, when I can
6 have actual concentrations, which is what the
7 Carpentier paper did. Now, I could tie it to
8 actual numerical numbers of bacteria transferred.
9 I can't do that just knowing that, yes, some
10 bacteria, number of bacteria transferred. I don't
11 know how many. So we did look at that data, and it
12 was a nice project, but it just did not help out
13 with that particular number that we were trying to
14 get at right there.

15 DR. MACZKA:

16 We're going to have to break at this point, but again,
17 there's another time in the agenda when we can
18 answer questions. We're going to set up for the
19 panel discussion now, so you'll have an
20 opportunity...

21 ***

22 [Recess]

23 ***

24 MS. HULEBAK:

1 The afternoon session is -- allows us for -- allows
2 discussion of the risk assessment by three
3 panelists. They are Jenny Scott, Senior Director
4 of Food Safety Program at National Food Processors
5 Association, Charlotte Christin, Senior Food Safety
6 Attorney at the Center for Science in the Public
7 Interest, and Sophia Kathariou, who is an Associate
8 Professor, Food Science, at North Carolina State
9 University. Dr. Kathariou is not here because
10 she's at home in bed with a wicked case of the flu.
11 But she's present in virtual form. So she sent
12 along three multiple questions, and I will read
13 them, and at that point, I will be Dr. Kathariou.
14 So let me first begin by introducing our panelists.
15 I'll begin with Jenny Scott. I mentioned she's
16 Senior Director for Food Safety Programs at NFPA
17 here in Washington, D.C. At NFPA, she's
18 responsible for providing guidance and expertise on
19 issues and policies related to microbial food
20 safety enhancement as well as technical assistance
21 in crisis management for NFPA member problems. She
22 has an A.B. Degree in Biology from Wellsley, an
23 M.S. in Bacteriology from the University of
24 Wisconsin, and a Master's of Science in Food

1 Science from the University of Maryland. Ms. Scott
2 has recently been appointed to the National
3 Advisory Committee on Microbiological Criteria for
4 Foods. Charlotte Christin is a Senior Food Safety
5 Attorney with the Center for Science in the Public
6 Interest, where she is responsible for CSPI's
7 legislative agenda. She has an L.L.M. from George
8 Washington University, and is licensed to practice
9 law in California and Virginia. She's active in
10 the Conference for Food Protection and the Food and
11 Drug Law Institutes Austern [ph] Writing
12 Competition.

13 Sophia Kathariou, as I said, is an Associate Professor
14 for Food Science at North Carolina State
15 University, and participates in, is a member in the
16 National Alliance for Food Safety. We have about
17 45 minutes for this panel discussion, and the way
18 it will go is that each one of the panelists, with
19 the exception of Dr. Kathariou, will make an
20 opening, brief opening statement and comments about
21 what they have heard today. As I said, I will, at
22 certain points in the course of the next 45
23 minutes, raise each one of Dr. Kathariou's points.
24 So we can begin with -- we'll begin with

1 Charlotte.

2 MS. CHRISTIN:

3 Thank you. As I was telling a few people earlier, as
4 the discussion went along today, I was crossing out
5 paragraph after paragraph of my speech because my
6 questions were answered, which is a compliment to
7 the gentleman who explained the risk model to us.
8 And let me also take a moment to commend the Agency
9 for the hard work that was put into this document.

10 I can't even begin to imagine the number of hours
11 and the amount of effort that went into it, and
12 although I have comments and questions regarding
13 the model, that doesn't in any way reflect on any
14 perception that there wasn't an effort, a good
15 effort that went into it and an excellent product
16 that resulted.

17 Again, my name is Charlotte Christin. I'm a Senior Food
18 Safety Attorney at the Center for Science in the
19 Public Interest. CSPI is a non-profit advocacy and
20 education organization focused on food safety,
21 nutrition and alcohol issues, and supported
22 principally by 800,000 subscribers to its Nutrition
23 Action Health Letter. Four years ago, Listeria
24 monocytogenes tainted deli meat from Sarah Lee's

1 Bilmar plant sickened 100 people, killing -- 100
2 people, killing 5 adults, and causing 6
3 miscarriages or stillbirths. In response to the
4 Sarah Lee outbreak, the Food Safety and Inspection
5 Service vowed to develop aggressive strategies to
6 cut the rate of listeriosis illnesses and deaths
7 from ready-to-eat meat and poultry products in half
8 by 2005. Yet just a few months ago, we were in the
9 midst of two large recalls as another large
10 listeriosis outbreak from contaminated deli meat
11 was linked to at least 53 illnesses, including 8
12 deaths and 3 miscarriages or stillbirths. The
13 recent outbreak and recalls serve as a harsh
14 reminder as we meet today to discuss this second
15 Draft Risk Assessment on ready-to-eat foods. That
16 delays in risk management decision making can be
17 devastating to consumers, as well as to industry
18 and government. In deed, the National Advisory
19 Committee on Microbiological Criteria for Foods has
20 admonished the consideration of risk may not
21 necessitate in all situations, an in-depth,
22 quantitative risk assessment, which requires
23 extensive resources and time, particularly if it
24 would delay timely protection of public health.

1 This pathogen's high fatality and hospitalization
2 rates, its ability to grow under refrigeration, and
3 the lack of information on infectious dose all
4 demand a prompt public health response. Therefore,
5 CSPI strongly urges FSIS not to allow today's
6 discussion of the new risk assessment model to
7 deter or delay the promulgation of the final
8 Listeria testing regulations. Earlier today, Dr.
9 Engeljohn explained why non-contact food surface
10 sampling was not addressed in the management
11 questions. But the failure to include non-food
12 contact sampling as part of a comprehensive
13 environmental sampling plan limits the model's
14 output on the effectiveness of sampling. Though
15 the proposed rule would not mandate non-food
16 contact sampling, the model considered other
17 interventions, such as the use of growth
18 inhibitors, even though they were not being made
19 mandatory as part of the Agency's proposal. The
20 need for and role of non-food contact sampling for
21 Listeria species in ready-to-eat meat and poultry
22 plants is well established. Therefore, CSPI urges
23 FSIS to revise its management questions to direct
24 the effectiveness of an environmental sampling

1 program, including both food contact and non-food
2 contact sampling. In constructing the in-plant
3 model, FSIS has limited the model's ability to
4 provide accurate answers to the management
5 questions. FSIS's decision to nearly halve the
6 growth rate between processing and retail raises
7 several concerns. First, there is a significant
8 lack of transparency in this decision making. FSIS
9 changed the growth rate based on data that were not
10 publicly available. Nor did the Agency adequately
11 explain its decision to depart from the studies it
12 used to establish the growth rate in the earlier,
13 relative risk ranking. This lack of transparency
14 contravenes Codex's general principles of
15 microbiological risk assessments. Codex further
16 instructs that data are to be used to reduce
17 uncertainty and increase the reliability of the
18 risk estimate. But the use of the NFPA data has
19 only increased the uncertainty associated with
20 FSIS's in-plant model. For example, what do the
21 raw data show regarding the prevalence and
22 concentration of *Listeria monocytogenes* in
23 manufacturer-packaged, ready-to-eat deli meats.
24 These data are critical in that the in-plant model

1 is designed to evaluate the risks from
2 contamination occurring at federally inspected
3 processors. FSIS's decision to cut the growth rate
4 has a profound impact on the model's output and the
5 estimated illness reduction rates derived there
6 from. CSPI urges FSIS to revisit its decision to
7 adjust the *Listeria monocytogenes* growth rate
8 during distribution, and if additional market
9 basket sampling of ready-to-eat deli meats is
10 needed to update the model, the Agency should
11 discuss those data needs in the risk assessment
12 report. The risk assessment also provides
13 important new information that argues for FSIS to
14 increase the mandatory *Listeria* testing that plants
15 would be required to perform. The risk
16 assessment's modeling determined that the proposed
17 4/2/1 scenario would allow a small reduction in the
18 levels of product contamination at retail, but an
19 increased frequency of food contact surface testing
20 and sanitation leads to a proportionately lower
21 risk of listeriosis. This finding offers a
22 scientific basis for FSIS to strengthen the
23 mandatory industry testing program in the final
24 rule. CSPI has recommended that FSIS require all

1 establishments, regardless of size, to increase the
2 frequency of food contact surface testing beyond
3 the 4/2/1 scenario. We urge the Agency to use the
4 risk model to help determine the appropriate amount
5 and frequency of testing needed to meet the
6 Department's goal of halving the rate of
7 listeriosis illnesses and deaths by 2005. In
8 conclusion, this summer's outbreak and recalls made
9 clear that *Listeria monocytogenes* contamination of
10 ready-to-eat deli meats remains a significant
11 public health threat. The comments that FSIS
12 receives on this risk assessment will be useful in
13 future iterations of the model, but should not
14 prevent the Agency from finalizing its *Listeria*
15 *monocytogenes* regulations with increased industry
16 testing requirements. CSPI urges FSIS to take that
17 step without further delay before yet another
18 listeriosis outbreak claims more lives. Thank you.

19 MS. HULEBAK:

20 Thank you, Ms. Christin. Let's turn to Jenny Scott now.

21 MS. SCOTT:

22 I don't really have a formal presentation here. What I
23 did yesterday was go through this draft model that
24 we were presented, and jotted down some of the

1 areas where the industry has some concerns. And I
2 want to talk a little bit about that, primarily,
3 and also talk about some of the things that we
4 heard today that have raised additional concerns.
5 But before I start, I do want to say that I think
6 that the risk assessors have done a phenomenal job.
7 They really didn't have a lot to work from. They
8 had a very complex situation to model. And I
9 really think they've done an exceptional job in
10 doing that. And kudos to you all.

11 Keep in mind that what was put out there, and is titled
12 the Draft Risk Assessment, is really just the in-
13 plant model. It is not a risk assessment per se.
14 It links to the FDA/FSIS risk ranking, and
15 together, they form a more complete risk
16 assessment. We have not had the luxury of
17 reviewing all that and looking at it in detail and
18 examining it. We've just had a taste of it here
19 today. But what I've seen, I'm definitely
20 impressed. That's not to say that there aren't some
21 issues that I think need to be addressed before
22 this model actually gets used for policy making. I
23 will point out that Dr. Murano said this morning
24 that accuracy is essential. And that's one of the

1 reasons why I think we need to make some revisions
2 to the model and run some additional scenarios. A
3 lot of the things that I think are a problem
4 luckily are things that we can just input a change
5 in the model and do another run. It's not like you
6 have to change the whole base model or anything.
7 I'll also remind you that Bob Buchanan said this
8 morning that Codex, the National Advisory Committee
9 on Microbiological Criteria, FSIS and the
10 International Commission on Microbiological
11 Specifications for Foods, have all indicated that
12 risk assessments need to be transparent, need to
13 have scientific and stakeholder input, and they
14 need to be peer reviewed. There's been an attempt
15 here, and I think a very good one, to put some
16 transparency into what has been done. I think
17 we'll probably have more questions and need to work
18 with the risk assessors to gain a full
19 understanding of it. I think that there has been
20 limited opportunity for stakeholder input here, and
21 obviously, it has not undergone peer review. And I
22 think that that is essential to do so.

23 Looking at some of the problem areas with respect to
24 this, I have highlighted three and I've got a

1 three-page document of these. First of all, I
2 think that the assumption that Listeria species are
3 distributed evenly across a food contact surface,
4 and evenly distributed within product is clearly
5 inaccurate, an inaccurate assumption. And I think
6 the modelers recognize that. They just used
7 something that they could work with and went
8 forward from there. I think it would be possible,
9 given more time, to model different approaches
10 here. And it will be very complex. But I do urge
11 the Agency not to stop at this point. Another one.
12 The model assumes unrealistic percentages for
13 sanitation efficiency and for pre and post-
14 packaging interventions. Very clearly, the 75
15 percent efficiency of sanitation is just not
16 realistic. If that was all we could get out of our
17 sanitation programs, we would have spoilage out the
18 whazoo [sic]. And we don't see that. And I think
19 there probably are studies out there in the
20 literature that we can use that would give some
21 better indication of what realistic numbers might
22 be. And the industry experience is that it
23 probably is in the neighborhood of 99 to 99.9
24 percent efficiency in most plants that have a well-

1 designed sanitation program. And I think that the
2 sanitation and chemical suppliers out there are
3 really pushing the envelope in getting new programs
4 in place to deal with Listeria. I think that the
5 intervention efficiency of 90 to 95 percent is also
6 inaccurate, particularly if we're looking at a
7 post-packaging heat treatment of product. Those
8 processes are designed to inactivate the levels of
9 Listeria that are likely to be there from
10 recontamination of product. And while we can't
11 really say, necessarily, that they're 100 percent,
12 because given the statistics and everything, you'd
13 never get to 100 percent. They really are 99.9
14 percent, and possibly even higher, depending on the
15 intervention. If we kill the organism through
16 heat, through irradiation, or whatever intervention
17 we use, and it's not there, there's not going to be
18 a risk to the consumer. And I think we have to
19 consider that. A third thing that I think is
20 problematic here. The model starts out by assuming
21 that Listeria monocytogenes contamination comes
22 from a reservoir. What we in the industry refer to
23 as a niche or a harborage. That really is not the
24 norm out there. It does present a scenario that is

1 the highest risk for listeriosis if the strain is
2 virulent, and so we recognize it as the worst-case
3 situation and it needs to be modeled. But I think
4 as we look at the overall impact of what we're
5 learning from, what this model can give us to its
6 iterations, we have to consider that most of the
7 time, when we find Listeria species in the
8 environment and on food contact surfaces, it is a
9 transient event. These are sporadic positives. We
10 do our sanitation. They are gone. And so there's
11 a whole big part of the equation that is not
12 considered here. The model may be fairly accurate
13 in reflecting what happens when a plant has a
14 harborage site, and we do have these contamination
15 events that we have to find the niche before we can
16 fix the problem. So I really think that the key to
17 Listeria control doesn't come down to necessarily
18 more testing of product, more testing of food
19 contact surfaces, but to having an overall program
20 in place that aggressively looks for Listeria in
21 the environment and looks for the establishment in
22 niches, so we don't have this kind of transfer that
23 is an ongoing problem. Another issue with the
24 model I see is that the model does assume that all

1 of the retail level contamination originates in the
2 plant, and I don't think that that's the case. So
3 I think we could probably come up with some
4 statistics based on our data from manufacture-
5 packaged and retail-packaged product to make some
6 assumptions there about that, the proportion of
7 that contamination that may arise back at the
8 plant. And I also think that there's a real
9 problem with this .75 efficiency in finding one
10 colony forming unit. There are statistical tables
11 out there by I.C.M.S.F., and I think if we look at
12 a lot that has 2 percent contamination, which is a
13 fairly low level. Not as low as I expect in plants
14 that have good Listeria control programs. If you
15 only tested three samples, you have a 94 percent
16 chance of missing a positive lot, even at the 2
17 percent level. If you're to test 60 samples, you
18 still have a 30 percent chance of missing that.
19 And I think that those types of scientific sampling
20 tables need to be taken into consideration with
21 this. It's probably going to have the opposite
22 effect from, well, what we would be looking at
23 here, but in terms of Listeria control. But I do
24 think we have to recognize that the product testing

1 really is not the way to go. And I think that
2 you've shown that you increase your chances of
3 finding a product if you have started from food
4 contact surface positive. But I still think you're
5 not going to find it with the frequency you think
6 you'll find in there. I won't go through most of
7 these other points. We will submit written
8 comments on this. I think industry's concern
9 here is that if we use this model with inaccurate or
10 misleading assumptions, that we're going to derive
11 some erroneous conclusions, and use those to
12 develop policy. And I think, for example, the
13 finding that a food contact surface positive for
14 Listeria species really increases the likelihood of
15 finding ready-to-eat product lots that are positive
16 for LM, it's not really consistent with industry
17 experience. It may be somewhat true when you have
18 this harborage situation, which I think is what
19 this model really deals with, but it's probably not
20 consistent with what we're finding day to day in
21 the plant. I think one thing that's a real
22 interest in this, a lot of people have criticized
23 the Agency for not moving forward with their
24 proposed rules as 4/2/1 testing. And, if nothing

1 else, this model shows us that going ahead with
2 that rule really would have had very little impact
3 on public health. So I applaud the Agency for
4 going ahead and doing this risk assessment and
5 trying to get more science behind what they do
6 propose, and I urge the Agency to make revisions in
7 the model, to do a peer review of the model, get
8 the best science in the model you can, and then
9 make policy decisions from that. Thank you.

10 MS. HULEBAK:

11 Thank you, Jenny. If I could have my mike live, thank
12 you, I will now become Sophia and read to you all
13 her three points, which are compound. My main
14 comment is that the actual data on which several of
15 the key assumptions were based were quite limited.
16 Prevalence data for the bacteria on food contact
17 surfaces are especially limited and derived from
18 basically only one source. Tompkin, 2002.
19 Furthermore, food contact surface prevalence data
20 alone are not sufficient for an adequate evaluation
21 of the potential public health issue that may be
22 involved. But I'm going to interrupt and just
23 comment as Karen here. And I'm going to read these
24 statements from Sophia and suggest that, perhaps,

1 to get conversation going among the panel, as you
2 listen to me, panelists, you might be thinking
3 about what you might say in response to Sophia's
4 observations, okay? Okay, to continue,
5 specifically, without strain typing, it is
6 impossible to attempt an adequate correlation of
7 prevalence at a specific food contact surface, and
8 contamination in ready-to-eat meat and poultry
9 products from the same plant. Contamination of
10 food contact surfaces may be of transient or
11 persistent nature, and these two fundamentally
12 different types cannot be differentiated by
13 prevalence information alone. Another observation
14 I have concerns the need for caution when it comes
15 to the wish to produce a generic model that would
16 be applicable to all operations producing ready-to-
17 eat meat and poultry at any given time in their
18 operation. The epidemiology of food-borne
19 listeriosis indicates that ready-to-eat meat and
20 poultry implicated in outbreaks are not randomly
21 selected for multiple processing plants. Instead,
22 they tend to involve periodic clustered
23 availability to the consumers of multiple
24 contaminated products, commonly originating from a

1 single plant. The available information suggests
2 that the implicated plants might have experienced
3 periodic probations in the operating procedures
4 associated with the contamination events, becoming
5 reflected in contaminated ready-to-eat product.
6 USDA, FSIS and CDC data may be able to reveal the
7 extent to which such period probations may or may
8 not be adequately predictable based on the results
9 from the periodic inspection of a facility, the
10 frequency of CCPs that the inspection results may
11 suggest need further attention, and the frequency
12 of Listeria positive product samples identified in
13 the period three to six months prior to the
14 implication and the outbreak. It would seem a
15 concerted focus by frequent testing of food contact
16 surfaces and product on selected plants would
17 contribute much more to an eventual decrease in
18 listeriosis cases than equal focus to all
19 operations, with frequency of sampling being
20 determined only by size of the plant. I would like
21 to ask the experts on the model's construction and
22 development whether the model could incorporate
23 attributes such as the individual track record of a
24 plant to identify the frequency of testing that

1 would be sufficient for adequate detection of
2 contamination. A final note on contamination event
3 and reservoirs. The term contamination event may
4 be confusing to many, especially because other
5 sources besides food contact surface transfer of
6 bacteria could contribute to product contamination,
7 e.g. air and workers. Please also note that
8 footnote number 17 on page 11 does not involve
9 transfer of Listeria to food contact surfaces, and
10 thus defines contamination event fundamentally
11 different from the definition on page 8. The
12 potential contribution of a reservoir to
13 contamination of food contact surfaces needs to be
14 more adequately discussed. Can floor drains
15 contribute significantly to food contact surface
16 contamination, or is the microbial community in
17 them reflective of selected strain types that
18 preferentially colonize floor drains? Floor drains
19 may harbor transient populations coming into the
20 plant from raw product, workers, et cetera.
21 Strains found in floor drains may or may not
22 represent reservoirs relevant to food contact
23 services and ready-to-eat product contamination,
24 and their relevance cannot be adequately evaluated

1 without additional data utilizing strain sub-typing
2 of floor drain isolates obtained from different
3 plants. And then, finally, what is meant by
4 harbored sites, and could some known examples be
5 included?

6 Comments? That was a lot to take in. It's a very good
7 analysis, and actually, I think Sophia has raised
8 some points that I had on my list that hadn't
9 covered, and a couple things that I had covered.

10 I think with respect to strain typing and the
11 correlation of prevalence with positive, I think
12 she's absolutely right, that I didn't bring the
13 data up here. I have some data with me. And it's
14 from seafood plants, not meat and poultry plants.
15 But it's very clear, from the work we've been doing
16 in seafood plants, that we can find a lot of
17 Listeria species. We can find Listeria
18 monocytogenes. And it's only when we do the typing
19 that we're able to figure out exactly where the
20 source of contamination is. And we can see a lot
21 of Listeria species on food contact surfaces that
22 we never see Listeria monocytogenes show up in the
23 finished product, or on food contact surfaces. So
24 I think that that's something that needs to be

1 addressed in this risk assessment.

2 I too had concerns about a generic model applicable to

3 all ready-to-eat operations. We've taken the worst

4 case product, I think, in ready-to-eat deli meats,

5 because they aren't further cooked, and because, in

6 many of them, the organism can grow. On the other

7 hand, many of these are products which will be able

8 to add some inhibitors. I do think we have to

9 recognize that we can't add inhibitors to

10 everything. We can't totally eliminate the risk

11 from all these products unless maybe we want to

12 radiate everything. I don't feel that, you know,

13 we want to do that. And we've never taken an

14 approach where we've taken the -- an intervention

15 that can absolutely guarantee safety. We could can

16 everything and it would be safe too, but I don't

17 think it's something that consumer preferences are

18 going to agree to. One thing that Sophia did

19 mention here, I think we need to set the record

20 straight with respect to the role of air in causing

21 contamination. It's something that we hear a lot

22 about, and I think Bruce Tompkin very clearly

23 indicated, in his article, that with respect to

24 room air, it's not something that we see as a

1 source of contamination. So I don't think we would
2 need to take that into account with respect to
3 modeling. But I think the model should take into
4 account environmental contamination of food contact
5 surfaces, as Charlotte had indicated earlier.

6 MS. CHRISTIN:

7 Thanks. I, too, appreciate Sophia's comments, and I
8 agree with her on the issue related to the Tompkin
9 data for determination of the duration of a
10 contamination event. Certainly, Bruce has presented
11 some really important information. However, it's
12 important to bear in mind that those data came from
13 ConAgra plants, a company that is renowned in its
14 aggressiveness to pursue Listeria. A company that
15 treats all Listeria species as Listeria
16 monocytogenes. So I don't think that we can use
17 those data without adjustment to assume that that
18 would be the duration of a contamination event in
19 the majority of plants. I also wondered, in
20 looking at the risk assessment, why the Cornell
21 data that were presented at the meeting on the
22 proposed rule were not used, because one of the
23 things that Cornell presented related to issues of
24 persistence in the environment. And I think that,

1 actually, Bruce had that in his -- in his article
2 as well. But, I mean, certainly, there are
3 instances where we've seen a harborage for years.
4 So I think that while again, that, too, may not be
5 the average plant, I think that to use ConAgra data
6 as the barometer for the duration of a
7 contamination event in the average plant is a
8 fallacy, and I think that that's something that the
9 Agency needs to revisit. And on the point of a
10 worst case model, I do understand the point you're
11 making. However, we need to bear in mind that FSIS
12 is a public health agency. Its mission is to
13 protect consumers from meat and poultry products
14 that are not wholesome, that are adulterated. So
15 in determining the approach in this model and the
16 policy decisions that flow from this model, you
17 need to bear in mind that this is, again, intended
18 to protect consumers from unsafe product. And the
19 importance is to err on the side of caution. Yes,
20 Jenny, we would have a problem if you all started
21 to radiate everything, particularly if you didn't
22 label it. But thank you for giving us the option.
23 And, Jenny, I understand your point about the role
24 of error in the contaminated product. However, we

1 have seen, with outbreaks, that there can be
2 condensation that drips directly onto product,
3 directly onto the food contact surfaces. I
4 understand there were limitations in the model and
5 that you couldn't address. However, again, when we
6 think of creating a risk model that is sufficiently
7 protective of public health, we need to bear in
8 mind that we have, unfortunately, seen cases that
9 relate to direct contamination from the
10 environment.

11 MS SCOTT:

12 I want to comment on this issue of the Tompkin data with
13 relation to duration of an event. I have a little
14 bit of a concern about only using the Tompkin data,
15 not because it's the Tompkin data coming from
16 ConAgra plants that are well managed, but because I
17 believe that additional data were submitted to the
18 Agency that weren't used here. And they actually
19 showed that the -- there are much lower levels
20 there. For example, the Tompkin data, I think,
21 suggested that 4.9 percent of the time you would
22 find a positive three times when you went in and
23 did your sampling and did an intervention, went
24 back looking for it. But I think some more current

1 data have indicated that in some plants that could
2 be as low as maybe .12 percent. So I think that
3 there are other data out there that need to be
4 considered in here. Also, while Sophia didn't
5 mention it particularly, there was only one data
6 set used to look at the frequency of a
7 contamination event. That was based on an IDV.
8 Clearly, a plant that was having some problems. And
9 one thing I noted about that is they used the data
10 from the IDV with respect to frequency, but they
11 noted that the data from this plant related to
12 duration did not agree with other data, which I'm
13 assuming was the Tompkin data and, therefore, they
14 didn't use that in the duration. They went to the
15 Tompkin data, which begs the question of, well, how
16 much confidence can we have in the frequency data
17 as well? If the duration data didn't mesh actual
18 experience, do the frequency data also? Any other
19 comments?

20 MS. CHRISTIN:

21 I also wanted to ask Jenny, will the NFPA data, the raw
22 data that would answer the question I raised as far
23 as what percentage of the product sampled was
24 sliced in the plant versus what was sliced at

1 retail? Will those data be made available?

2 MS. SCOTT:

3 Actually, the galley proofs of our survey on ready-to-
4 eat were submitted to the docket yesterday.

5 MS. CHRISTIN:

6 Great.

7 MS. SCOTT:

8 Keep in mind that with the deli meat, we started that
9 project with USDA funding, CSREES funding, and that
10 project did not initially involve looking at that
11 parameter. And then when we got funding to look at
12 other products, and we were collecting that, we
13 went back and decided to collect those data for
14 luncheon meats. So we don't have a complete set
15 for the luncheon meats. It's also, I think you
16 have to look at the limitations too. It's
17 subjective. The laboratory got the samples, and
18 they made an evaluation of whether it was
19 prepackaged, or whether it was packaged in the
20 deli. And you can do that to a certain extent
21 based on, you know, you've got a sealed package, it
22 was manufactured. Or if it kind of came wrapped in
23 butcher paper, then you say, well this is deli
24 product. And so those are what we have.

1 MS. CHRISTIN:

2 Well, having -- I mean I appreciate that, but the thing
3 is, I think that this would be very useful
4 information, the raw data, for the Agency to have,
5 because I mean as was repeatedly made clear today,
6 we're really trying to assess what's going on in
7 the plants. And, unfortunately, so often, the
8 Agency had to work backward from the FDA model. So
9 if the raw data were available, I think that would
10 be useful information to inform and really increase
11 the circuitry of the risk assessment.

12 MS. SCOTT:

13 And the raw data are also being submitted to the Gifsan
14 [ph] Risk Analysis Clearing House, and will be
15 available on the internet, probably within a month
16 or so.

17 MS. CHRISTIN:

18 Great.

19 MS. SCOTT:

20 So anyone will be able to take the data and do their own
21 analysis. I found this model very interesting.
22 I'm very glad you made it as user friendly as you
23 did. It looks like if I were to get this model on
24 my computer, that I could just go in and play with

1 some numbers and play what if. And I think that
2 that is great. I think it would be very useful for
3 the risk assessors to sit down with some folks from
4 industry and let's play what ifs together, and
5 model some real scenarios for what we know is going
6 on in the plants today, and look at the impact, and
7 make some changes in those assumptions with respect
8 to the efficiency or efficacy of sanitation and
9 post-packaging treatments and the like. One of the
10 concerns I have is the findings the way they're
11 presented now might suggest that you can just
12 increase product testing dramatically, doing a test
13 of every lot, and have an efficiency of finding
14 Listeria, getting it out of the marketplace, that
15 is -- is much better than doing the testing food
16 contact surfaces, taking interventions when you
17 find positives. And I think that that is very
18 misleading in the model. I really don't think that
19 that is the case. I think that a good aggressive
20 program that monitors the environment, takes action
21 based on any positive, is going to do more to
22 prevent food contact surface contamination and
23 finished product contamination then, and you'll
24 have a higher confidence in the product going out

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 of your plant than you can get from any amount of
2 product testing.

3 MS. CHRISTIN:

4 I also wanted to say, with regard to the FDA and the
5 updates to the FDA/FSIS relative risk ranking, it
6 would be very nice in considering the in-plant
7 model that you all have developed to be able to put
8 them side-by-side and figure out how this flows
9 through. And I understand earlier today the
10 comment was made that FDA would try to have this
11 publicly available by June or July. One suggestion
12 might be that you put it out during the process of
13 peer review, making clear that the document is
14 being peer reviewed. However, I think it's
15 important that this information be made available
16 more quickly so that these two models working
17 together can be evaluated together. It's also very
18 difficult to tell from the risk assessment exactly
19 how the FDA model was updated. And I understand
20 that Dr. Buchanan did explain some broad updates
21 today. But again, it would be really important to
22 be able to look at the details of that. So if -- I
23 would urge FDA to try to get its model out there in
24 the public domain and consider comments on the

1 model and the review of the model after it's been
2 released.

3 MS. SCOTT:

4 I think I'd like to make a comment here with respect to
5 the application of this model's all ready-to-eat
6 products and the issue of product risk. I think,
7 and we've been saying all along that there are
8 products out there that pose a much -- much less
9 risk than other products, and that policies need to
10 be tailored based on that. And I think that this
11 risk assessment, even though, you know, we have
12 some problems with the assumption, clearly showed
13 that that is the case. If you have a post-
14 packaging intervention, even if we assume that it
15 was wholly 90 percent efficiency, that was much,
16 you know, had a very significant impact on reducing
17 the risk. Likewise, putting growth inhibitors in
18 products has a significant impact on the risk. And
19 so I think combining growth inhibitors with some
20 management of Listeria in the environmental,
21 monitoring to ensure that Listeria's under control,
22 you're going to have a very effective program
23 that's going to reduce the risk from those
24 products. And then we might need a different type

1 of program in place for those products that have no
2 kind of barrier to growth that maybe can have a
3 long shelf life out there, and where Listeria
4 contamination is likely to occur.

5 MS. CHRISTIN:

6 I think that one of the things we need to bear in mind
7 is we have all of these tweaks to the model that
8 we're asking the Agency to make, is that consumers
9 still are at risk from ready-to-eat products
10 because, while we have a new directive that imposes
11 certain duties on the Agency, we still are only
12 left with a proposed rule with regard to the
13 obligations imposed on industry. There is an
14 indirect effect on industry practices as a result
15 of the directive; however, the proposed rule on the
16 regulations are the instructions to the industry
17 about what needs to be done. So while we can offer
18 all these criticisms, critiques, suggestions, we
19 can talk about getting new data and new research
20 done, we need to bear in mind that consumers
21 continue to face the risk of illness and death.
22 And so we shouldn't allow this sort of exercise to
23 continue to put public health at risk.

24 MS. SCOTT:

1 And I think I need to comment here that I think the
2 directive has done a very positive thing. There's
3 a lot of data being shared with the Agency that
4 were not being shared before. I think a lot of
5 those data can be used in this risk assessment, so
6 we need to take advantage of that. And I think
7 that, certainly, even though it is just a
8 directive, it certainly has the same impact that
9 having a regulation is, because there are plants
10 that are being shut down as a result of actions
11 related to this directive, or non-actions, not
12 taking corrective actions. And so I don't think we
13 need to be overly concerned that just because a
14 rule isn't out, the industry isn't out there
15 focusing on Listeria and keeping Listeria
16 contamination out of products and trying to do the
17 best they can.

18 MS. CHRISTIN:

19 Well, certainly, I did not mean to imply that industry
20 was not trying to do the best. I think that there
21 are some firms, and we saw this with the Wampler
22 case, where the firm was doing testing and didn't
23 know what to do with the results. I mean there are
24 those who need the sort of more direct -- more

1 direct regulations and guidance to help them gauge
2 their response to the regulations. And the point
3 you raise about the data that will be generated by
4 the FSIS directive, in keep -- when we keep in mind
5 that the risk assessment needs to be transparent, I
6 assume then what you mean is that the data that the
7 industry is going to share with FSIS, you're
8 willing to use to put in a public docket in order
9 that FSIS can revise its modeling?

10 MS. SCOTT:

11 But, clearly, the data would not be identified by
12 plants, and I don't think industry has any problem
13 with sharing data as long as it's not tied to
14 specific plants. And I think that just having this
15 directive come forward also got industry to share
16 more data with the Agency. A lot of people walked
17 in and said, look, here are the programs we have in
18 place. Look at what we can accomplish with this
19 program. And keep this in mind as you move
20 forward.

21 MS. CHRISTIN:

22 I absolutely agree. I mean, that is a value of the
23 directive, that FSIS will finally be able to see
24 some of the data that industry was gathering and,

1 in fact, the Department knew they were gathering;
2 however, they didn't have access to. So I agree
3 with you. The more data that can be shared, it
4 just -- we need to be cognizant that when we talk
5 about data being used to inform a risk assessment,
6 again, we go back to the Codex principles and all
7 the other organizations you listed, which demand
8 transparency in the risk assessment modeling and
9 decision making.

10 MS. HULEBAK:

11 I think we'll bring this part of this panel discussion
12 to a close. That's been -- I think we've had an
13 excellent point, counterpoint. Thank you, both of
14 you.

15 MS. CHRISTIN:

16 Thank you..

17 MS. HULEBAK:

18 And thanks to Sophia, of course. Now we'll take a brief
19 break. Don't leave your seats. We're going to
20 bring the modelers and risk assessment experts back
21 up here. Carol Maczka will then moderate the final
22 session, which is an opportunity for questions from
23 the floor of a technical nature to solicit answers
24 of a technical nature from the experts. Thank you.

1 ***

2 [Recess]

3 ***

4 DR. MACZKA:

5 Thank you. Now we wanted to address one of the issues
6 we heard, which was the transparency issue.

7 Janell?

8 MS. KAUSE:

9 Thanks, Carol. Charlotte raised a number of really good
10 points about transparency. I'd like to let the
11 people here today know that there's a more complete
12 document now in the docket, as well as industry
13 data that's been added to the docket. So I was
14 looking at some of the FPA data and other datas
15 that are out there, and is available at this time.

16 DR. MACZKA:

17 Thanks. If you would have any questions. Let's -- do
18 you want to approach the microphone and the aisle
19 and just identify yourself, and this is for the
20 recorder in the back.

21 MS. SCOTT:

22 Jenny Scott, NFPA. Do you plan on making the actual
23 model available for people to use so we can play
24 around with like FDA made it available on CD?

1 DR. GALLAGHER:

2 Let me get that one. The source code was put up so
3 people can start to look at that. On the to-do
4 list is to send out a compiled version of it. It's
5 on the list with a lot of other things on the list,
6 so yes, but.

7 DR. MACZKA:

8 Okay, we've got someone else moseying down here.

9 MR. GILLIS:

10 Kevin Gillis, Rode Inc. Quick point of clarification.

11 When Ms. Scott was talking about the types of
12 interventions that we could have, she talked about
13 heat, of course, and we understand that. But just
14 for clarification, she said, and we can add growth
15 inhibitors. Is that what you're talking about when
16 you talk about these post-process steps that
17 actually have in the model, as an output, the
18 positive impact on public health? Are you talking
19 about an inhibition of the growth, and that is
20 maintenance of number, or are you talking about
21 diminuation [sic] of the number.

22 DR. MACZKA:

23 Janell, do you want to take that one?

24 MS. KAUSE:

1 I'm sorry, I was a little distracted by the noise in the
2 background.

3 DR. MACZKA:

4 Okay. Okay, Eric wants to.

5 DR. EBEL:

6 Want to is a strong term. Yeah, it is -- that's what we
7 are intending to be modeling, is an inhibition of
8 the growth. So if we're monitoring a log growth
9 between retail -- or between production and retail,
10 this is -- this is affecting that in the model.

11 MR. GILLIS:

12 Right. There are...

13 DR. EBEL:

14 It's...

15 MR. GILLIS:

16 ...two ways that you identified to do that. One was a
17 reduction in the count before it goes to retail,
18 and one was the growth inhibitory package.

19 DR. EBEL:

20 Right. And...

21 MR. GILLIS:

22 And we didn't want to have a confusion here about,
23 really, what technology we were talking about has
24 the positive health benefit. Which is that?

1 DR. EBEL:

2 Well, they both. Actually, they both show very similar
3 effectiveness side-by-side. I mean if they're
4 independently modeled, then they...

5 MR. GILLIS:

6 And if they put -- if you were to put the two together,
7 then you'd get...

8 DR. EBEL:

9 You'd get an additional effect, right.

10 MR. GILLIS:

11 Thanks.

12 DR. MACZKA:

13 Jenny Scott.

14 MS. SCOTT:

15 Thank you. My voice has changed. I think just a little
16 further under that, I think that when you presented
17 this model you talked about GIP, growth inhibitory
18 packaging.

19 MS. KAUSE:

20 Right.

21 MS. SCOTT:

22 Are we not really primarily talking about growth
23 inhibitors in the product, itself? And I don't
24 want people to be misled to think that all we're

1 talking about is inhibitors in the packaging
2 material that goes around the product, which could
3 be another intervention, of course, but that's not
4 the only thing that's being modeled there.

5 MS. KAUSE:

6 That's correct, Jenny.

7 MS. BECKMAN:

8 My name is Ann Beckman. I have a question on behalf of
9 the American Frozen Food Institute. And the
10 question really has to do with the scope and the
11 presentation of the document, and the risk
12 assessment, as I understand that is focused on and,
13 in fact, limited to deli type products, but the
14 phrase ready-to-eat is used throughout the
15 documents in somewhat general terms. And FSIS has
16 defined ready-to-eat products to include, really
17 rather broadly, a lot of frozen products, or
18 separate, many different types of frozen products,
19 many of which have been found to present a much
20 lower risk with respect to LM growth. So the
21 question is can that -- can the scope of the
22 document be made a little bit more clear. I know
23 it's obvious if you read through the document, you
24 can tell that it is limited, that the risk

1 assessment was limited to deli meats. But could
2 that scope be made a little bit more clear,
3 perhaps, in the title or in the initial
4 introduction to the document?

5 DR. MACZKA:

6 Good comment. Yeah, we'll take that. Other questions?

7 Yes.

8 MR. BARNHART:

9 Sorry. Don Barnhart from Barnhart's Foods. My question
10 is about the model, itself. On the plant data
11 section of the model, in the sanitation section you
12 have a 50 percent reduction of Listeria species
13 between shift, 75 percent at the end of the shift,
14 95 percent then if there's an event that causes
15 some type of super sanitation or whatever. My
16 question is that those numbers, as I understand
17 where you got them, that's been well spoken. I
18 don't understand how those numbers affect the
19 model, itself, because the samples in the model
20 then are just on total number, and you don't
21 actually say in the samples, if you took 30
22 samples, let's say, out of the month of 60
23 possible, you don't say whether one's on first or
24 one on second shift, so you don't know which

1 sanitation event took place prior to the sample. So
2 I don't see how that percentage number plays into a
3 model.

4 DR. GALLAGHER:

5 Those sanitations between lots are always applied. The
6 food contact surface is then tested at the end of a
7 lot production, which lot depends upon the number
8 of lots that are being tested that month and
9 whether you pick a systematic or random kind of
10 sampling. But you look at the concentration that's
11 remaining on the food contact surface after the
12 lot's been produced, after sanitation has gone
13 through, and you test that to see if it's positive.

14 MR. BARNHART:

15 Okay.

16 DR. MACZAK:

17 Yes?

18 MS. JOHNSON:

19 Alice Johnson from the National Turkey Federation. I
20 just have an overall question about how the Agency
21 intends to look at the model. I want to piggyback
22 on what Jenny said and commend the Agency for the
23 work they've done on the risk assessment, and I
24 think this process has been very good for

1 explanation. In the past, when the Agency has done
2 risk assessments, they've subjected them to peer
3 review. Is there any intent on the part of the
4 Agency to have this model reviewed?

5 DR. MACZAK:

6 Janell?

7 MS. KAUSE:

8 Yes, we consider the public meeting here part of the
9 review process, as well as the comment, and the
10 Agency is going to give further thought to that.
11 Thank you.

12 MS. JOHNSON:

13 Thank you.

14 DR. MACZAK:

15 Jenny?

16 MS. SCOTT:

17 I want to go back to the sanitation question again.

18 When does this wipe-down take place where you get
19 the 50 percent reduction, as opposed to the .75?

20 DR. GALLAGHER:

21 After the first lot being produced that day.

22 MS. SCOTT:

23 Okay. So it really is the .75 was the third shift?

24 DR. GALLAGHER:

1 Yes.

2 MS. SCOTT:

3 Okay, that's all I have.

4 DR. MACZAK:

5 More questions? Anybody? Here we go. It looks like we
6 have a few more questions coming. Go ahead.

7 MR. HUFFMAN:

8 Randy Huffman, American Meat Institute. I just want to
9 revisit a topic that's come up a couple of times,
10 and Jenny mentioned it in her comments. I
11 understand there's a paucity of data on
12 contamination on surface, product contact surfaces,
13 and you worked with what you had, but it appears
14 that you make the assumption that contamination is
15 evenly distributed on product contact surfaces,
16 using expert opinion, I'm assuming. And I guess
17 I'd just like to -- and then maybe I'm not right
18 there, but if you could talk a little bit about why
19 you didn't try to model uneven distribution of
20 contamination. I know it would be more complex and
21 more difficult, but certainly, that's reality, and
22 that we all know, any of us that work in -- with
23 this organism on a daily basis. We know that it's
24 not evenly distributed. We don't need a published

1 paper to tell us that. So what would it take to do
2 that, a model for that?

3 DR. GALLAGHER:

4 If you would be able to provide some data that gives us
5 an idea of that variability, we'd be happy to put
6 it in the model.

7 DR. MACZAK:

8 And even if you don't have the actual data, if we can
9 conduct an expert elicitation, that's an acceptable
10 means of actually trying to acquire information
11 when you don't have actual data. We would be
12 willing to do something like that to get a handle
13 on it.

14 MS. CHUNG:

15 Yuong Chung from the NRPA. I was wondering, since you
16 have a very nice user interface in the model, when
17 the model might be available for, you know,
18 experimentation or like a person like me who are
19 interested?

20 DR. EBEL:

21 Okay, I guess I'll answer that. We already had a
22 discussion about that, and the answer is when Dan
23 gets it done.

24 DR. MACZAK:

1 He is on a fast track though.

2 DR. GALLAGHER:

3 It is on the list. You have the source code available
4 to start looking at that, but the compiled version,
5 we tried this weekend. There's some bugs in the
6 third-party add on, then and my hard CD ROM
7 crashed. We're working on it.

8 DR. MACZAK:

9 Another question?

10 MR. STEWART:

11 Skip Stewart, American Meat Institute. Janell, I think
12 you commented that more data is now available in
13 support of this for the public. Do you know if
14 that includes the FSIS Listeria data for 2000, 2001
15 and 2002? I know we referenced 2002 in your
16 presentation, I think, but is that data now
17 available for...

18 MS. KAUSE:

19 I don't believe the 2002 is yet. In fact, that data was
20 just preliminary, as it said on the slide.

21 DR. MACZAK:

22 Loren, do you want to add to that?

23 MR. LANGE:

24 Well, we will, at the summit we've said, we would work

1 expeditiously to get that data public, and I think,
2 you know, we should even make an attempt, even if
3 we have to label it preliminary. I think that data
4 should be our results from 2001 or 2002 should be
5 public as soon as possible, so we will take that
6 under consideration how to, you know, whether to
7 sort of -- what's on the web site was referred to,
8 but being et al was sort of edited, checked, and
9 checked, and rechecked, and audited, and then put
10 in a publication. I think the general food
11 protection event went on our web site. We haven't
12 been through that level of detail and sort of
13 rechecking to make sure everything's accurate, but
14 we will certainly consider other alternatives than
15 -- of getting it up, because it's probably 99.9
16 percent accurate that we have now.

17 DR. GALLAGHER:

18 And just as a follow up, I think for at least the data
19 that I apparently -- I think I heard Loren say that
20 the data that was shown here for the draft risk
21 assessment was all random data, and, you know, so
22 if that random data could be supplied that was used
23 for this versus I understand that the overall
24 database probably contains a lot of targeted

1 testing that's done by FSIS on particular food
2 products when there's a question. So to be able to
3 separate those two things out would be helpful for
4 those of us who are trying to better understand the
5 draft risk assessment.

6 MS. KAUSE:

7 Okay, thank you. The other thing I would like to get
8 back to is that 2002 data was not actually used in
9 the model. It was used as a point of comparison to
10 see if the model was hitting prevalences in numbers
11 that we're about in the ballpark.

12 DR. MACZKA:

13 I should mention that we have Sherry Dennis at the
14 podium. She's a risk coordinator at FDA, sitting
15 in for Bob Buchanan. So if you do have any FDA
16 questions, she can take them on. Selected. Any
17 other questions? Jenny? We have Jenny. You might
18 want to just stand up, stay up there.

19 MS. SCOTT:

20 Since you've given me the opportunity, Sherry, would you
21 tell us exactly where the data come from for deli
22 meats that were used in the risk assessment? I
23 know some of it was our data, but what about the
24 rest of it?

1 MS. DENNIS:

2 Oh, boy, you're asking -- is this on? Is it on?

3 DR. MACZKA:

4 Yes.

5 MS. DENNIS:

6 Gosh, this is -- sounds like a quiz, to ask me from
7 memory. Oh, isn't this nice? My friend, my
8 friends at FSIS. Most of the data were the data
9 that were used in the draft risk assessment, but
10 there's some new data that are included, and that's
11 primarily the NFPA data, and then you -- and some
12 of the new data from FSIS. The rest of it, and I
13 could read through the list, but I think this is
14 the information that will be available, as Janell
15 mentioned, in the docket today, and so this is --
16 but it's mostly the list of information from the
17 old -- the draft risk assessment plus these two new
18 data sets.

19 DR. MACZKA:

20 We have someone coming with a question.

21 MR. CORRIGAN:

22 Thank you. Phillip Corrigan, Australian Federal
23 Government. First of all, I think I'll just
24 congratulate the total effort that you're making

1 here to get a handle on this bug, and Australians
2 had some very serious outbreaks of Listeria as
3 well. But my question, how much international
4 collaboration has there been in the work that
5 you've done, if any, or how much, if there has been
6 some? And the second question is how portable is
7 your model to a setting in another country, or has
8 it been very much focused on the national scene
9 here?

10 MS. KAUSE:

11 I'll take that. There hasn't been much international
12 collaboration because this risk assessment was put
13 on an extremely fast track to be developed in light
14 of some of the outbreaks last fall, and in terms of
15 portability, as soon as the -- the in-plant of the
16 model, because remember, it's a two-part model.
17 It's the in-plant model, the dynamic part, but by
18 exercise as well as what's married up with the
19 exposure assessment part and the dose response code
20 from the FDA model. At least the in-plant model,
21 we hope once it's compiled, will be very user
22 friendly, and we'll look into how we can get that
23 out to the public. So that one should be pretty
24 easy to take and use.

1 DR. MACZKA:

2 Any other questions? Well, thank you for coming. Oh,
3 no, we're not quite finished yet. Loren. Loren's
4 going to join us up here and tell us about next
5 steps.

6 MR. LANGE:

7 Let's see if I've got right on the microphone here.

8 I'll add one statement before I move to my closing
9 remarks about the data, as we do publish from the
10 2000, 2001 and 2002 data. For those of you that
11 are familiar with the testing programs FSIS ran,
12 there used to be, and what's on the web site, there
13 were nine different product categories, if you've
14 looked at that. It's the small diameter sausage,
15 large diameter sausage, sliced ham and cooked
16 poultry products. When the version of 10240.2 was
17 put into effect late in 2000, we did move away from
18 those product categories. So the new data we will
19 put up will be different, and we started sampling
20 in plants by pulling out of the PBIS system,
21 Performance Based Inspection System, the data
22 whether the plant's at the 03G, has a process like
23 fully cooked, nutshell, stable, and then we sampled
24 according to those, the four processes that

1 actually had ready-to-eat products. And then when
2 the samples got to the lab, we did a little bit
3 what NFPA was talking about. We had our people,
4 you know, look at the product and put it into a
5 category like is it sliced, diced and shredded. So
6 that was the data that Dan presented, the 2.3
7 percent, which was our sort of highest category in
8 2002, is a lab determination based on an
9 examination of the product. So when we put it up
10 and get this data out to the public, it will be in
11 different categories, so you can't trace those nine
12 product categories through time. And that was one
13 of just one of the factors we had to give up when
14 we moved to what we thought would be a more
15 meaningful set of product categories.

16 I just want to begin by thanking all our speakers and
17 panelists today. I think it's been a good day.
18 Thank Dr. Hulebak as sort of hosting the meeting.
19 It's always good to acknowledge the boss. A
20 special thanks to the risk assessment staff here.
21 Carol Maczka and Janell Kause, Eric Ebel and Dan
22 Gallagher, who have supported us from Virginia
23 Tech. And I want to just mention, obviously,
24 Moshe, who's been carrying that microphone around,

1 but the planning staff that has, you know,
2 facilitated this meeting. Out in the hall, I know
3 Sheila Johnson was out there, Mary Harris, Ida
4 Gambrill, and certainly any of the rest of the
5 communication staff that helped put on this public
6 meeting. And then, of course, thank you for
7 everybody that got here, considering the weather
8 this morning.

9 I have about three or four just quick comments I'd like
10 to make in closing. People have mentioned we've
11 asked for a public comment on the risk assessment
12 through March 14, 2003. Comments should be
13 submitted to the docket room and the Federal
14 Register notice, you know, announcing the meeting,
15 has the address and comment on this risk
16 assessment, just like on any other docket from the
17 Agency. And, of course, if anyone is submitting
18 data, sooner's better than later. We would
19 certainly like to see, if someone has some data
20 that we can fit into this model, as soon as we can
21 get it, the sooner we can sort of decide how we can
22 use it or if we can use it. So we'd just
23 appreciate, if you have something that's ready and
24 available, not to wait until the 14th. You know,

1 we set the deadline. I mean, I think there's a lot
2 of people understand that a risk assessment model
3 is a living tool. It's -- this is the current
4 version of the risk assessment model. In 2010,
5 we'll have a different, hopefully improved, more
6 expanded version, and we'll probably still, you
7 know, be developing more sophisticated and better
8 models even in 2020 and beyond. But we have set the
9 deadline of the comments because we do have to plan
10 internally, and we've sort of set a timeframe for
11 when we want to get, you know, information to the
12 risk managers. We've shared what's available now
13 with the risk management staff within the Office of
14 Policy in the Agency, and we do want to get them
15 additional runs and additional data and other
16 information as soon as possible. So although
17 there's a timeline in commenting on the model, we
18 certainly don't want to imply that that's the end
19 of the model and that's the end of trying to
20 conduct risk assessment for Listeria. As to sort
21 of the next steps for OPHS and for the risk
22 assessment staff, there is, on the 14th, we did put
23 on the web site a draft Listeria risk assessment
24 document. There is an expanded document that

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 covers everything that's presented today. I think
2 that's correct. That is actually available in the
3 docket room as of today, along with the deli meat
4 data from NFPA, and I think some...

5 DR. MACZKA:

6 AMI.

7 MR. LANGE:

8 ...AMI data. So they are all in the FSIS docket room at
9 this point. And I think we decided yesterday,
10 we're going to try to get that expanded and updated
11 draft risk assessment with the date of February 26
12 up on the web site as soon as possible. I think
13 everybody's heard that we'll probably get two types
14 of comments. Some will be comments that can change
15 one of the inputs into the existing model. Other
16 comments may suggest a redesign of the -- some of
17 the basic assumptions and -- behind the model, such
18 as this, the generic food contact surface, such as
19 the uniform distribution on food contact surface
20 and stuff. And, obviously, you know, those would
21 take longer. And just because we intend to sort of
22 give input to the risk managers from our existing
23 model doesn't mean that we won't continue to work
24 on, well, further improvements, or as what I would

1 refer as the next generation of the risk assessment
2 model. We heard the term transparency a lot today.
3 Certainly, it was our goal, and being here is to
4 be as transparent with respect to this model as
5 possible. I guess one could almost say that, you
6 know, for the presentation here for a model
7 developer, they were baring the innermost secrets
8 of their souls. I mean this is we were -- we were
9 certainly -- we were trying to hide nothing. So --
10 and we tried to explain it as best as possible.
11 And if someone has comments and suggestions on how
12 models can be explained and presented to the
13 public, and in a forum like this of how best it is,
14 we certainly would appreciate comments on that,
15 because we did the best we could, I think, to try
16 to explain the details of the model. There's some
17 -- it's because I'm from a past history, an old
18 modeler or something Jenny said that did that
19 little hair up on my back, that term. Inaccurate
20 or misleading assumptions. No one starts out
21 developing a model and says, ah, we're going to use
22 this inaccurate assumption. You know, we're
23 planning to do this misleading model. No, modelers
24 don't work that way. I guess I'll refer that we

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 refer to all this as sort of our best available,
2 although they may be limited assumptions and, you
3 know, open to debate and affect the outcome. No
4 one does start out with a -- so I, you know, I just
5 noticed that, that when the comments come in, that
6 I don't think anyone means that these are
7 inaccurate or misleading assumptions. They were
8 just the assumptions we had to use to develop the
9 model. And I, to close, you know, it sort of
10 reminded me of this past. My first seven years in
11 the federal government. I was building a form of
12 risk model very similar to this for the Department
13 of Defense. We had programs in Fortran programming
14 and Simscript and something called basic, so the
15 world's changed a lot. And I don't remember
16 anything about how I would do it, or how I did this
17 30 -- 30 years ago. But I do remember one thing.
18 It was difficult, and it was challenging. So, I
19 mean, I, personally, other people have, but I,
20 personally, want to congratulate Eric, Dan and
21 Janell for the work they have done in developing
22 this model, because I think, you know, they've
23 really done just an outstanding effort. So
24 congratulations. And I'd like to just then close

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 and say good-bye with one comment. Is I do
2 remember one other thing. Besides it being
3 difficult is that I do remember that the task of
4 trying to simulate reality and build a model that
5 does reflect reality does really provide an
6 excellent sort of opening into what are research
7 needs, what are data needs, and what are the
8 important questions to be asked. I mean I'm a very
9 strong supporter in us trying to, you know, develop
10 models and develop improved models because it is a
11 way that you, you know, approach and attack a
12 problem like this, and I think it's very important.
13 So thank you for all coming, and with that, I
14 guess the meeting is adjourned.

1 CERTIFICATE OF REPORTER, TRANSCRIBER AND PROOFREADER

2

3

4 IN RE: FSIS DRAFT LISTERIA RISK ASSESSMENT
5 TECHNICAL MEETING

6

7 HELD AT: WASHINGTON, D.C.

8

9 DATE: FEBRUARY 26, 2003

10

11 We, the undersigned, do hereby certify that the
12 foregoing pages, numbered 1 through 249, inclusive, are
13 the true, accurate and complete transcript prepared from
14 the reporting by the reporter in attendance at the above
15 identified hearing, in accordance with applicable
16 provisions of the current USDA contract, and have
17 verified the accuracy of the transcript by (1) comparing
18 the typewritten transcript against the reporting or
19 recording accomplished at the hearings, and (2)
20 comparing the final proofed typewritten transcript
21 against the reporting or recording accomplished at the
22 hearing.

23

24 Date:

25

26 Janet R. Smeltz, Transcriber
27 York Stenographic Services, Inc.

28

29 Date:

30

31 Sarah Mowrer, Proofreader
32 York Stenographic Services, Inc.

33

34 Date:

35

36 Charles Brown, Reporter
37 York Stenographic Services, Inc.