

UNITED STATES DEPARTMENT OF AGRICULTURE

+ + + + +

NATIONAL ADVISORY COMMITTEE ON

MEAT AND POULTRY INSPECTION

+ + + + +

SUBCOMMITTEE 2

ISSUE 2: DATA ANALYSIS

+ + + + +

January 16, 2013

1:25 p.m.

Patriots Plaza III

355 E Street, S.W.

Washington, D.C

CHAIR: MS. SARAH KLEIN
Center for Science in the Public
Interest

MODERATOR: CDR JEFF TARRANT

COMMITTEE MEMBERS:

DR. FUR-CHI CHEN
MS. NANCY DONLEY
MS. VENERANDA GAPUD
MS. SHERIKA HARVEY
MS. SARAH KLEIN
DR. JOHN A. MARCY
DR. ROBERT REINHARD
DR. JOHN TILDEN

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

FSIS:

MR. CHRISTOPHER ALVARES
DR. DANAH VETTER

ALSO PARTICIPATING:

DR. BETSY BOOREN
MR. TONY CORBO
DR. JORDI SERRATOSA

I-N-D-E-X

<u>AGENDA ITEM</u>	<u>PAGE</u>
Opening Discussion	4
Question 1	37
What comments does the Committee have regarding the approach used to select the PHR list?	
Question 2	70
Does the Committee have any comments on the four criteria used to select the candidate PHR list?	
1. Establish and maintain HACCP plan and Critical Control Points (CCPs)	
2. Establish and Maintain Sanitary Conditions	
3. Prevent Adulteration	
4. Implement Effective Corrective Actions	
Question 3	92
Does the Committee have any comments on the public health outcomes (pathogen test results) analyzed to select the final list of PHRs?	
1. <i>Salmonella</i>	
2. <i>E. coli</i> O157:H7	
3. <i>Listeria monocytogenes</i>	

1 P-R-O-C-E-E-D-I-N-G-S

2 (1:25 p.m.)

3 MS. KLEIN: Do people feel good about that?

4 All right, set the timer for 20 minutes. Let's go.

5 MR. ALVARES: Okay. So I'll keep my
6 answers short, so we can get as many questions asked
7 as possible.

8 When we implemented PHIS, there were some
9 changes to how we got the mandates. One of the
10 basic ones was some of the mappings of regulations
11 to tasks changed a little bit in the system, so I
12 talked about how -- on a higher level, things like
13 that.

14 And because of that change in the data, it
15 didn't make sense to keep calculating the same way
16 because of some of the regs -- and so we had to, at
17 the very least, kind of re-map everything in terms
18 of the data analysis. But I think they're also
19 written and part of that is the process-- the
20 Committee took a Committee Review in our strategic
21 data analysis in 2010. And this is the time when we
22 should have a Committee Review, as well, or -- for

1 an expert group to come in and weigh in on this, so
2 we decided this was the right time to also meet with
3 NACMPI or whoever, and the review will be done.

4 And then the other part, I think what sort
5 of led the way to doing this is that with the data
6 we have now we feel that we can conduct better
7 analysis. The first time that we did this, when we
8 looked at the 62, we weren't very successful at
9 being able to narrow down on this to the most
10 informative ones, so we had -- what we kind of
11 relied on was correlation analysis, Quality 62, or
12 associated with *Salmonella*.

13 Now I think we've got more data and more --
14 analysis to look more at regs individually -- as
15 well as *E. coli* and *Listeria*, we can narrow it down.
16 So there were some modifications to it, but -- to
17 our process and our methods, but in a lot of ways,
18 it's an update that needs to be done driven by
19 changes in --

20 MS. DONLEY: And just as a comment to the
21 Committee and the audience is that -- and I
22 understand what you did. You know, I would just

1 offer that I would feel more confident if FSIS
2 frankly had a more robust sampling program and was
3 working from a more robust sampling program.

4 I'm a little concerned about just working
5 off of these -- PHRs that can be kind of documented
6 back, if you will, to a positive sample, because I
7 just don't think our sampling program is robust
8 enough. That's my concern.

9 DR. TILDEN: And one thing that might be
10 helpful, too, is I think all of us maybe have some
11 -- minutes of where we're at on these. They might
12 be able to get that out on the table and then we can
13 figure out where to follow up. Because it will come
14 out sooner or later, so it's like it might be more
15 efficient just to get everything done, to put it out
16 there.

17 MS. DONLEY: Each are high-level things.

18 DR. TILDEN: Yeah. What are the top three
19 things that you want to say?

20 DR. REINHARD: Okay. I think it's great
21 that FSIS is trying to use the data that they have
22 to make improvements, that's my high-level. And I

1 think the important thing is you draft out what the
2 Agency is going to do and you go forward as you're
3 going to need to use what you find to change what
4 you do continuously. That will be an ongoing
5 process.

6 And so, you know, there will come a time
7 where you don't want to be just doing it one way and
8 whether three months' data is sufficient, and when
9 you do the statistical analysis, I have some
10 concerns around that. And what you put together to
11 determine which NRS you wanted to, at this point,
12 call public health regulations that you're going to
13 monitor -- for resources. But that being said, it's
14 a great start, it's a process, and we'll get through
15 it as we go.

16 DR. TILDEN: Generally, to what Bob said --

17 MS. KLEIN: Remember to say your name.

18 DR. TILDEN: Oh. John Tilden, Michigan
19 Department of Agriculture.

20 I do think that this is a form of public
21 health surveillance, so I would recommend that we
22 can benefit and strengthen the program by using many

1 of the criteria that are used to determine the
2 usefulness and cost effectiveness of other public
3 health surveillance systems. And there are defined
4 criteria that CDC has established and updated over
5 decades of work that I think could help inform FSIS
6 as they move forward.

7 I think one of the critical things is to
8 define to what extent are we using this system to
9 improve implementation of the existing program
10 versus to prevent human illnesses. So that's why I
11 asked the question about performance-based programs
12 versus risk-based.

13 Performance-based, as I understand it, is
14 trying to make sure that the program is being
15 implemented as envisioned. And so non-compliance
16 means it's not happening the way we want the current
17 program to work.

18 Public health-based would say how do we get
19 at what's really causing unacceptable contamination
20 levels. And those two may not be the same. And the
21 same non-compliance may be better at one than the
22 other.

1 And I applaud FSIS for trying to start
2 teasing that out and as you say, failures (ph.) will
3 help over the time, but we can start that process at
4 better defining which of those NRs really helps us
5 with implementing the existing program versus which
6 of them can we say really help us prevent
7 contamination.

8 DR. MARCY: John Marcy with the University
9 of Arkansas.

10 I'm glad you've reworked, you know, from
11 the 62. I know it's a lofty goal. I hope, going
12 forward, that you have plans for validation.

13 I can see in your Step 2, where you took
14 your public health regulations and talk about how
15 they relate to control of those four steps, but it's
16 not clear from your work how it relates to the
17 pathogens of interest. Now, particularly, the
18 *Salmonella*, as it crosses all species and then the
19 O157:H7 or other pathogenic *E. colis*, you know, that
20 may be more species specific, and the *Lm*-specific
21 ready-to-eat.

22 MS. HARVEY: Sherika Harvey.

1 I would also like to say that I'm happy and
2 I'm proud of FSIS for coming together and getting
3 this done. And I think all of the scrutiny behind
4 this is really -- it's like we're here for nothing.
5 But obviously, FSIS is coming together and
6 definitely making strides, so I commend the Agency
7 on that.

8 But with doing all this, I'm hoping, as
9 well, that there is a plan in place to make sure
10 that this is followed out and this plan is
11 definitely executed because, I guess, it was big to
12 go from the 64 to the 32, but making sure that 32 is
13 where, you know, it needs to be and that the check
14 system is there, pretty much, just to put it simply.

15 MS. GAPUD: Veneranda Gapud, Process
16 Management Consulting.

17 Again, I concur with everyone, again,
18 recalling you for doing lots of stuff already for
19 us. In fact, you have -- you know, this is really a
20 lot of work for you to do and again, that's great,
21 you know. And again, we are here, we're talking
22 about this thing, but again, you laid down the

1 foundation already and how -- where we can go around
2 and that's really wonderful.

3 Again, I think there should really be a
4 follow-up. In fact, to me, I'm quite concerned when
5 you talk about modernization -- you know, the
6 proposal that you have, which I, myself, of course,
7 I used to be with a poultry company and I don't know
8 exactly what is really happening with that now, so
9 hopefully, later on, there will be more follow up,
10 or asked to be more engaged and we learn more on
11 what is really happening with the Agency.

12 But again, thank you so much for all of
13 your great work.

14 DR. CHEN: Yeah. Fur-Chi Chen from
15 Tennessee State University.

16 Yeah, I just echo what Dr. Tilden just said
17 -- in the regulation is seldom and it should be
18 performance-based or it should be public health-
19 based. You know, either way, we have -- on that.

20 And the second comment I have is,
21 basically, the criteria for the public health
22 outcome, there's definite need, I mean, more

1 specific in terms of different species or product.

2 MS. KLEIN: Okay. Yeah, I don't know that
3 there's anything new to be said, so I think we have
4 identified, actually, a couple of good jumping-off
5 points for our discussion now. We still have time
6 left on our clock for -- if there were specific
7 questions for Chris on the data, if we want to do
8 that, and then we can --

9 DR. VETTER: I just wanted to specify that
10 I have permission that --

11 MS. KLEIN: Sure.

12 DR. VETTER: Representing -- I just had a
13 couple of quick questions. The sole purpose of this
14 is to be one, and I say one, of the triggers for
15 scheduling FR typing (ph.) FSAs, right?

16 MR. ALVARES: Right.

17 DR. VETTER: Is there any other purpose
18 that they are thought about being used for the in
19 the future or is that the intent?

20 MR. ALVARES: Well, the other purpose that
21 it will be used for, when it comes online, is for
22 Hazard Analysis Verification. So that's a new task

1 at the Division for PHIS. It's not being used right
2 now as far as decision criteria informing. There
3 are some pilot tests going on with it, you know.
4 But we haven't fully implemented that task and so
5 we're not applying this criteria at the time. But I
6 think we had stated, back in 2010, when there was a
7 lot of integration on -- that was one of the other
8 tasks that was -- they're the only two we've
9 identified.

10 DR. VETTER: I only have two other -- one
11 question, one comment. You're looking at *Salmonella*
12 in raw.

13 COURT REPORTER: Can you keep your voice up
14 a little bit?

15 DR. VETTER: You're looking at *Salmonella*
16 positives in raw product samples, correct?

17 MR. ALVARES: Yes.

18 DR. VETTER: What about RTE product
19 samples?

20 MR. ALVARES: I'll double check, but I
21 think that they left it in --

22 DR. VETTER: I don't see any mention of

1 that. I see *Lm, Lm, Lm*, but --

2 MR. ALVARES: Yeah.

3 DR. VETTER: -- I don't see any mention of
4 *Salmonella*.

5 MR. ALVARES: I'll double check and I'll
6 see if I can get you the answer --

7 DR. VETTER: Because I know it happens less
8 frequently, but we do have it.

9 And then my last comment would be that you
10 definitely need to consider bringing the non-*STEC*
11 into this criteria because the current expectation
12 is that if a plant does receive a positive non-*STEC*,
13 that they reevaluate their HACCP plan, because most
14 of them are not sampling for that; they are sampling
15 for one toxin in an indicator, so to speak, and
16 that's what they're using to verify and validate
17 their HACCP plans.

18 But should they have a non-*STEC* positive,
19 they're expected to ensure that those would be
20 infected against that, as well. So I think, because
21 of those expectations, that must be definitely
22 brought into this.

1 MR. ALVARES: Anyone else?

2 MS. HARVEY: Can I respond to that?

3 I don't know how much you were speaking of
4 *Salmonella* in RTE, well, *Listeria monocytogenes* --
5 and the focus isn't on RTE products, but -- because
6 of how they have to be controlled and carried,
7 that's the reason that it is final, so I don't know
8 how much you'd be able to get from the analysis of
9 *Salmonella*. It's pretty much just --

10 MS. GAPUD: But I concur with Dr. Vetter
11 about *Salmonella* also in the fully cooked product.

12 DR. VETTER: We sample --

13 (Simultaneous speech.)

14 MS. HARVEY: I just -- they didn't get that
15 far with it.

16 MS. DONLEY: I have one question. It's an
17 easy one, I hope.

18 Chris, when you say with the four criteria,
19 establish and make a HACCP plan of critical control
20 points, is the hazard analysis included in that?
21 You don't specifically say that but, you know, it's
22 critical that the plant, the establishment, has a

1 good hazard analysis done for the HACCP plan to
2 address the hazards.

3 MR. ALVARES: I believe that it is included
4 in that part of the HACCP.

5 MS. HARVEY: Yeah.

6 MS. DONLEY: Okay, all right. I just
7 wanted to make that clear because what you said and
8 what was in the earlier materials, is you refer to
9 the hazard analysis specifically at times, but --
10 and for purposes of our discussion, when we talk
11 about HACCP related things, it means a hazard
12 analysis has been done.

13 MR. ALVARES: Yeah. And in terms of
14 regulations and non-compliance, if there's a non-
15 compliance related to hazard analyses, that could
16 inform the public health regulations -- that way a
17 trigger has called a hazard analysis verification,
18 which isn't -- we need to call it a mini-FSA, but
19 it's not the scope of an FSA, but it's -- system and
20 individual task.

21 DR. TILDEN: And since we're talking about
22 FSAs, have you guys looked at, Chris, the impact on

1 the number of FSAs that would be scheduled if you
2 move to the system?

3 MR. ALVARES: We have to some extent. So
4 once we get to a set of public health regs, we need
5 to define cut points and use that for the selection
6 process. We have a finite amount of FSAs that we
7 can schedule in a month. It's a lot more than what
8 we can trigger before -- a lot of it is routine FSA.

9 But we want to make sure that we're not
10 overwhelming the system with for-cause FSAs, so we
11 are really trying to pin the limit of our resources,
12 identify the highest priorities. We don't have a
13 quota or anything like that where we want to
14 schedule, you know, just for example, 20 FSAs for
15 this criterion -- but what we want to do is try and
16 define cut-points that identify -- in a manner that
17 allows us to actually act on that to decide --

18 DR. MARCY: John Marcy from the University
19 of Arkansas.

20 Following up on that, when these for-cause
21 FSAs are done, will you have a mechanism in place to
22 follow up to see, you know, if they correlate with

1 what you expected them to find or if there is no,
2 you know, direct relationship?

3 MR. ALVARES: In terms of corrective
4 actions or --

5 DR. MARCY: You know, you had a certain
6 reason for it to come up for a for-cause, which are
7 -- you know, because the NRs there are
8 substantiated.

9 MR. ALVARES: The analysis of FSAs is
10 pretty complicated because that's just the nature of
11 the FSAs. They're big. Text is kind of -- they're
12 sort of NRs on a much, much greater scale, as far as
13 documentation.

14 We are moving forward with converting our
15 FSA process to PHIS, with -- now would you believe
16 that that's going to allow us to do a much better
17 link, the findings in the FSA, with other outcomes
18 and plants, and monitor it on an ongoing process.

19 So I think the mechanisms are coming into
20 place to do that. I don't know that we have ability
21 -- I can't tell you that this is our process for
22 evaluating each FSA to determine whether it has the

1 intended effect in terms of correcting maybe PHRs,
2 but I think that that's something that we'd be
3 interested in analyzing.

4 DR. MARCY: Would your goal be to put the
5 FSA on a more objective versus subjective basis for
6 data analysis?

7 MR. ALVARES: We would. Well, it's still
8 -- on the one hand, my perspective is -- I would
9 like as much quantitative, categorical types of data
10 as I can.

11 DR. MARCY: Right.

12 MR. ALVARES: From an enforcement
13 regulatory perspective, they need to document, in
14 their own words, what they're observing and that's
15 hard to do in the check list, so we're trying to
16 strike that balance between documentation and
17 analytical information.

18 DR. MARCY: Okay.

19 MR. ALVARES: But yeah, to the extent that
20 we can identify things that can be translated into
21 categories, that's -- it's not, kind of, a PHR-
22 related project, but it's a more general --

1 DR. REINHARD: So Chris, you told me in
2 here, I know, but I don't remember seeing small
3 type, I think. When you did the analysis of the NRs
4 for plants that had a positive -- and let's take *Lm*
5 as an example.

6 MR. ALVARES: Okay.

7 DR. REINHARD: You used NRs and positives
8 at six months, January through June, and in that you
9 would compare NRs across establishments. The first
10 can only produce ready-to-eat products, correct? Or
11 would it be across all establishments?

12 MR. ALVARES: I believe that the analysis
13 was actually all establishments.

14 DR. REINHARD: Okay.

15 MR. ALVARES: I think where the analysis --
16 is sent in and those -- I'm seeing *Listeria* is only
17 in -- they're the ones that are likely to be
18 selected for that outcome. I think the other
19 outcomes reach the other types of operating
20 characteristics. But in the end, what we envision
21 is that the set of public health regs compasses the
22 multiple pathogens -- those are going to apply to

1 all establishments and so in some ways, it's all
2 coming back to sort of a national level of
3 comparison for analysis.

4 DR. REINHARD: Yes. But I think one
5 suggestion we probably can make is the data would be
6 more accurate in determining whether or not *Lm* is
7 likely to occur, right? If you look at the plants
8 that are kind of -- what NRs are they getting and
9 did it include the entire population? Because it
10 actually dilutes, right, potentially, the
11 significant NRs that are driving the *Lm*. Use that
12 example.

13 I want to continue on *Lm*. Off the top of
14 my head, I don't know, but the Agency pulls, like,
15 six or seven thousand samples for *Lm*, annually,
16 right, something like that? And you get about 25
17 positives annually. Those positives could be from
18 the same plant and often, they are. Multiple
19 positives, one plant.

20 So in the six-month period, the first --
21 the January to the 30th of June, do you remember how
22 many plants had an *Lm* positive? Because I'm

1 assuming the population that you're looking at as
2 cause, is very small: eight, twelve, maybe. I don't
3 know, fifteen it could be, I guess, depending. Do
4 you recall?

5 MR. ALVARES: I don't.

6 DR. REINHARD: Okay. And you might have
7 said that in here, too. I read it so fast. I
8 didn't --

9 MR. ALVARES: I don't think we have in the
10 report anything about how many plants are in this,
11 sort of, positive -- but, you know, the Committee
12 recommended the best information that we could.

13 DR. REINHARD: Okay.

14 MS. HARVEY: Yeah, I agree with him. That
15 would be very helpful.

16 DR. VETTER: Just to piggyback on what you
17 said. The other thing about *Lm*, even more so than
18 *Salmonella* in RTE, because they represent two
19 different types of product, loss of process control,
20 but are you looking at, like, *Lm* totals or are you
21 separating that out as far as environmental kinds --
22 because sometimes we just get plants that have

1 environmental positives and don't ever have any
2 product contact with --

3 MR. ALVARES: So I'll double check that. I
4 think it was just the product process.

5 DR. VETTER: Just the product process.

6 MR. ALVARES: Yeah. But I'll double check
7 that.

8 DR. REINHARD: I thought I read it, product
9 to contact positives, too.

10 MR. ALVARES: Okay.

11 DR. REINHARD: I thought I read product and
12 to contact --

13 DR. VETTER: I didn't get any of this stuff
14 beforehand, so it's in here.

15 DR. REINHARD: I don't recall exactly,
16 because there was a lot of -- but I thought that was
17 in there. But the environmentals are. You can't
18 use them because you composite them. And so I'm
19 going to stand on my soap box. It would be a lot
20 better if you didn't composite the environmental
21 samples. We would get better information, trying to
22 look for causes, et cetera, et cetera.

1 MS. HARVEY: Yeah.

2 MS. KLEIN: Okay, let me take a moment just
3 to kind of tell you what I'm hearing.

4 It sounds to me like we are identifying
5 three broad issue areas that might be a useful way
6 for us to kind of dump our buckets of information to
7 give to the Agency.

8 In no particular order, the first appears
9 to be a data bucket and that would be concerns and
10 suggestions that this Committee has with regard to
11 the way the analysis, the data analysis, was done
12 and the way that it should be done going forward.
13 And so in that discussion, we would decide where we
14 come down on issues such as the ones Bob just talked
15 about.

16 The second bucket might be kind of these --
17 the bigger issues that John identified of what is
18 your goal here, are we doing public health, you
19 know, public health-based versus performance-based
20 and what is our recommendation around that.

21 And then the third bucket would be looking
22 ahead, what are the plans for validation, what are

1 the plans for, kind of, execution and how do you
2 intend to fold in changes to the regulatory system
3 that are occurring, such as poultry? Because I
4 agree that that's going to be a huge change and we
5 need to know how do you intend to fold that in here.

6 So those are the buckets that I've kind of
7 identified, but tell me if that doesn't feel right
8 or if something significant has been left out or
9 doesn't fit neatly into one of those buckets.
10 Obviously, I wasn't -- I didn't name everything that
11 would go into each, but that was just kind of the
12 frame that I thought made sense.

13 MS. HARVEY: Can you repeat that last point
14 about folding in? How would that --

15 MS. KLEIN: Oh. You know, how they intend
16 to, once new regs are announced, for example, like
17 the poultry proposal, once that's finalized, what
18 effect will it have here and how will that be --
19 what's the word that I'm looking for? Folded in.

20 MS. GAPUD: What will be the impact?

21 MS. KLEIN: Yes, the impact of the new regs
22 on this, that's being compiled now. Does that make

1 sense?

2 DR. TILDEN: There's another way of saying
3 that. It's part of how is this part of a continuous
4 process improvement?

5 MS. KLEIN: Yes, thank you.

6 DR. TILDEN: So you have to anchor it in
7 something to make sure that your evaluating, is it
8 working as you go forward?

9 MS. KLEIN: Yes, yes.

10 DR. REINHARD: And I have someone -- who
11 wants to do that with the reviews annually, et
12 cetera, et cetera.

13 MR. ALVARES: Yeah. And I think, just to
14 use the proposed rules for an example, I think what
15 we would have to do is go through the same kind of
16 process that we laid out this morning. We'd have to
17 use our criteria, compare them to the regs, select
18 the regs that we think are good candidates based on
19 our understanding of non-compliances and then
20 analyze, in that case, probably, most likely,
21 *Salmonella* and hopefully at that point -- and then
22 use that to select the relevant regs and implement

1 that in the next --

2 MS. DONLEY: I just kind of noted and --
3 this hole through the bucket of the performance
4 standards versus public health standards. Actually,
5 your Step 1, Chris, says four criteria have been
6 identified for selection of, well, public health
7 regs.

8 But do we want to -- really, that's a big
9 issue is what is the purpose of this? Is it
10 performance standards or is it public health,
11 really? Is it for regulatory purposes or -- is the
12 goal regulatory purposes or public health purposes?

13 MR. ALVARES: So I guess maybe I'll make
14 just a brief comment and it may be something more
15 for the Committee to talk about.

16 To be able to link it directly to public
17 health outcomes, I think it's particularly -- I'm
18 not sure we know or have identified a good way to do
19 that, particularly when we're talking about -- I
20 think, at a very broad level in terms of estimates
21 -- so for example, estimates of prevalence of
22 *Salmonella* in poultry relative to illnesses in the

1 population of *Salmonella*. But how do we link it,
2 then, to which establishments do we go and do FSAs
3 at; that, I think, is something that's really hard
4 to do right now, just based on CDC data.

5 Although that's our, kind of -- our endpoint is
6 public health and preventing food borne illness, the
7 way that we're trying to get at that is to identify
8 the regs and define bacteria that define or that
9 they point to loss of process control, that we think
10 often leads to product that could result in food
11 borne illness.

12 So I think what we've tried to do is focus
13 more on what are the regs, what are the criteria
14 that are more about loss of process control.
15 That's, I think, something where we could look at in
16 the establishment and get a better of understanding
17 of when we should go there and whether we should go
18 to this plant versus that plant.

19 MS. DONLEY: Okay.

20 DR. REINHARD: NRs contain multiple
21 regulatory sites, potentially. Did you look at all
22 of those? And so that NR could have five sites, so

1 theoretically you looked at it as five NRs and
2 what's the regulated -- is that correct?

3 MR. ALVARES: Sort of. It's more like that
4 than it was in the first W3NR version. So in terms
5 of calculating W3NR regs, the old way we were doing
6 it was if the non-compliance cited any W3NRs -- and
7 it could be one or it could be multiple -- that
8 counted as a non-compliance to the new reg, one non-
9 compliant task.

10 And if it's not entered as one task
11 performed, where the public health reg was pathable
12 (ph.) to that task. So it was really counting of
13 the tasks done. If they perform a task where they
14 could verify the W3NR and was there non-compliance,
15 and the ratio of those two.

16 The problem or maybe the challenge in the
17 original is that when they performed the task, we
18 just assumed that, in verifying all of the regs that
19 were applicable to that task, therefore every task
20 where a W3 reg was applicable was counted. Now with
21 PHIS, when they go to perform a task, even if the
22 task is fully compliant, that no non-compliances

1 were identified, they still check off which regs
2 they verified.

3 And so now we know that if 310.22 is a PHR,
4 we can say okay, they verified it this many times
5 and they found a non-compliance in that specific reg
6 this many times, so we can calculate the ratio at
7 the reg level.

8 DR. MARCY: So you're saying they're
9 tracing the denominator?

10 MR. ALVARES: Yes. Well, so now, let's say
11 they do a task and they verify three W3 -- or PHR
12 regs in that task.

13 DR. MARCY: Right.

14 MR. ALVARES: And one of them is not
15 compliant.

16 DR. MARCY: But only one?

17 MR. ALVARES: One, yeah. Only one.

18 That it would be, for that reg, you count
19 once. But for the other regs there would be, sort
20 of -- I guess I'm not explaining it real well, but
21 for the other ones, it wouldn't count against the
22 plant because it was -- it will count is a zero

1 because it was verified but it was compliant.

2 DR. MARCY: But it's still going to the
3 denominator?

4 DR. REINHARD: Correct. That's the way --

5 DR. MARCY: Okay.

6 MR. ALVARES: But if they do a task and
7 there's a public health reg that's outgoing and they
8 don't verify it, that's not going to count.

9 DR. REINHARD: Does everybody know what a
10 task is, on the Committee?

11 MR. ALVARES: No. Can you do it, briefly?

12 DR. REINHARD: It's 10 seconds. So the
13 Agency -- do you want to tell them?

14 MR. ALVARES: No. Why don't you tell them?

15 DR. REINHARD: Okay. The Agency --

16 (Laughter.)

17 DR. REINHARD: The Agency gives the
18 inspectors a set of verification procedures to
19 execute on a weekly or bi-weekly, however they do
20 it, basis.

21 MS. HARVEY: Daily.

22 DR. REINHARD: Daily. It comes every day.

1 You have stuff for each day, but -- they send it
2 every day now, too?

3 MS. DONLEY: There are daily tasks.

4 MS. HARVEY: Daily tasks.

5 DR. REINHARD: And weekly tasks, okay. And
6 so they would go perform that specific regulatory
7 oversight within the establishment that it was
8 assigned to.

9 DR. MARCY: But they can do additional
10 tasks, as well.

11 MS. HARVEY: Yeah.

12 DR. REINHARD: When they do that task, they
13 then complete whether or not it was compliant, if an
14 NR was issued, and they do the NR and all the regs
15 get cited and that whole deal.

16 In addition to that, the inspector can do
17 tasks that they have determined need to occur. And
18 then they would enter that into the system, PHIS,
19 and say they did this task and this was their
20 regulatory finding. So it's almost like a specific
21 point audit check. So go see that the light is on.
22 Yes. Have they complied or not? But whatever that

1 -- it's that type -- so they would have a task to go
2 review the plant's implementation of monitoring --

3 MR. ALVARES: Yeah, I think that's a good
4 description and I think it may go to handling tasks
5 as they're doing that activity, there could be a
6 number of regs that are applicable to the handling
7 and when they come back to document it, they would
8 check off which regs they actually verified.

9 DR. REINHARD: And inspectors do hundreds
10 of tasks, right?

11 MS. HARVEY: Yeah.

12 DR. VETTER: Yeah, there are a lot.

13 MS. HARVEY: There's routine and there's
14 directed task.

15 DR. VETTER: Yes.

16 DR. REINHARD: Correct.

17 MS. GAPUD: And what are you going to do --
18 sometimes there are some NRs issued to the
19 establishment and then I think the establishment,
20 they are given the opportunity to challenge that NR,
21 so what would we do with that if the establishment
22 challenges the NR that was issued?

1 MR. ALVARES: So that's -- you're right.
2 That's a difficult -- it's a bit of a challenge
3 because on the one hand, if we were to exclude --
4 this is sort of the thought process that we went
5 through with this.

6 When it comes to appealing non-compliance
7 issues, if we were to exclude those because they're
8 under appeal, there's a concern that that could be
9 used to try and avoid it, so you appeal any NR that
10 has a public health reg cited and that keeps your
11 rates low and keeps you from getting an FSA.

12 So on one hand, we're concerned -- we want
13 to try to prevent that kind of behavior. On the
14 other hand, we recognize that if we include them and
15 the appeal is upheld, that we may be counting
16 something against the plant that -- so we don't know
17 if there is a really clear -- I think we have to
18 strike a balance between the two.

19 There's no -- to me, there isn't an obvious
20 way forward there except to say I think we're going
21 to try to count them and probably rely on the
22 district and the inspector to make a final decision

1 as to whether to do an FSA. If they really feel
2 that there's some -- NR is under appeal and let's
3 wait and see where those play out and if they're
4 upheld, they may get selected again in the next
5 month. Those are the kinds of things I think may be
6 more judgmental as we go through the process.

7 MS. HARVEY: I think it should be up to the
8 DL (ph.), as well.

9 DR. REINHARD: I think it is a tough
10 situation and unfortunately, for the establishment,
11 I believe the Agency has to assume the NR is valid
12 until the appeal is granted and use that in the
13 process.

14 And I have tracked W3NRs since Dr. Raymond
15 had his first meeting in 2005 and it doesn't have a
16 huge impact as those appeals are in and they roll
17 out. It doesn't directionally move the data. If
18 it's a regulatory non-compliance that an FSA is
19 already automatically scheduled, right, that can
20 occur and there could be an appeal. But it isn't
21 very often that that does occur in that manner.
22 It's the way I think.

1 And so people -- everybody wouldn't be
2 happy with that answer, but I think that is the
3 appropriate answer.

4 MR. ALVARES: And I don't know the exact
5 rates, but I think that the percentage of appeals
6 that get overturned is --

7 MS. GAPUD: Not much.

8 MR. ALVARES: Yeah. It's not -- I mean,
9 it's not -- it does happen, but it's certainly less
10 than 50 percent. I don't know if it's in the 10
11 percent range or what exactly, but because I think
12 the majority of them tend to be upheld, our sort of
13 inclination is you've got to go one way or the
14 other; let's include it.

15 MS. HARVEY: Well, I think -- yeah.
16 Because it would be another problem if it's not.

17 MS. KLEIN: Okay. So do we want to start
18 tackling the questions that were posed to the
19 Committee?

20 DR. TILDEN: I'd recommend we do that first
21 because we might be able to knock out a couple of
22 them and then, if we get into philosophical

1 discussions, we've at least gotten some things done,
2 yeah.

3 MS. KLEIN: Okay. So then let's just go in
4 order.

5 So the first: Does the Committee have
6 comments regarding the approach that was used to
7 select the PHR list?

8 DR. TILDEN: And we don't have to pay to
9 dump everything at once, right?

10 MS. KLEIN: Right.

11 DR. TILDEN: If we've got multiple
12 comments, we just maybe do one and give everybody a
13 chance to get one out?

14 MS. KLEIN: Yes.

15 DR. TILDEN: Because that's my case. I've
16 got multiple -- I don't want to hog the
17 conversation.

18 MS. HARVEY: Go ahead.

19 DR. TILDEN: My first one is I don't think
20 it's a reasonable assumption that all the data is
21 randomly distributed. So I don't think using it to
22 test is appropriate for all the data.

1 So for example, taking *Listeria*
2 *monocytogenes* from a firm, there's a reasonable
3 expectation that anything from that facility is
4 likely to be clustered or linked in some way. So
5 you can't -- I think we've been doing ourselves a
6 disservice by just dumping it into the greater pool
7 and analyzing it like it's not linked data.

8 So I think you almost need -- and this goes
9 back to the public health surveillance principles,
10 is if you've got multi-stage sampling, make it
11 explicit. Just say this is what we do at the
12 program level and this is what we're treating as
13 random data, and then this is data that we know, at
14 the facility level, is likely not to be random
15 compared to other facilities, so we have a second
16 stage of sampling and this is how we handle that
17 data.

18 And I've talked with a number of people. I
19 think people would feel comfortable because then you
20 say okay, that's how we're getting at that; we've
21 got a problem facility that's got an issue and how
22 do we not let go of that or lose that information.

1 DR. REINHARD: Other comments for this
2 bullet, or for this question?

3 DR. MARCY: Yeah, I've got a comment on the
4 assumption that, you know, you look at -- you know,
5 take *Salmonella*, for instance.

6 You looked at comparing these NRs in
7 plants, establishments, that had a single positive
8 *Salmonella* in that period versus plants that had
9 zero. Now, we can assume that they took a test from
10 the plants in the control group, there was a test
11 that was negative --

12 UNIDENTIFIED SPEAKER: Right.

13 DR. MARCY: -- versus they weren't tested.

14 UNIDENTIFIED SPEAKER: Correct.

15 DR. MARCY: Okay. That's not obvious.

16 The second part of that statement is that,
17 you know, what I follow from that is you're forcing
18 that assumption that there's a link between the
19 regulations and these pathogens. I know you want to
20 get there. Is that -- a correlation? You know, are
21 you forcing correlation by that criteria? I
22 understand you've got correlation and also, we all

1 know that that's not cause and effect. So I'm
2 hoping that we can get to cause and effect.

3 DR. VETTER: To add to what Dr. Marcy said,
4 I think we do have some instances of cause and
5 effect but, for example, you've got specified risk
6 materials --

7 DR. MARCY: Yeah.

8 DR. VETTER: -- that you're looking at in
9 comparison to *Salmonella*, *E. coli* and *Lm* data and
10 they have nothing with --

11 DR. MARCY: Yeah. One of your examples in
12 your PHRs was --

13 DR. VETTER: And so that's where I think
14 you've got --

15 (Simultaneous speech.)

16 MS. DONLEY: And that kind of links with
17 what I was saying, too, was some of my earlier
18 concern is that it's just relying -- is it relying
19 too heavily on a sampling program? Does the
20 sampling program identify all of the concerns and
21 the SRMs are one where it won't.

22 DR. MARCY: Correct.

1 DR. VETTER: I think it's a definitely good
2 thing to consider and would be a way to look at it,
3 but I think you've got to have maybe a subset or an
4 addition in those parts of that, possibly, and those
5 that don't relate --

6 DR. MARCY: Yeah, I have no doubt you've
7 got an object in mind, but --

8 MR. ALVARES: So I mean, certainly I think
9 I want to kind of not count it too much just because
10 I think the Committee just would like to get all the
11 feedback. With SRMs, I think one of the thoughts
12 there is not so much that SRMs in the product are
13 going to result in *E. coli*, *Salmonella*, and
14 *Listeria*, but that NRs related to SRM are indicative
15 of either a failure to follow procedures or --

16 MS. DONLEY: Loss of control.

17 MR. ALVARES: -- yeah, loss of control.
18 That, you know, if it's happening multiple times or
19 it's happening over -- it becomes more of a
20 systematic issue, then it can expand into other
21 areas that will result in *E. coli*.

22 So it isn't necessarily that -- I mean,

1 maybe one of the things we need to do is better lay
2 out why we think each reg has an association. But I
3 think that it's maybe more indirect. It isn't so
4 much that an SRM is going to cause these pathogens,
5 but that it's an issue about how the establishment
6 is processing.

7 DR. VETTER: So just to clarify, using SRMs
8 as an example. If someone had a spike in SRM NRs,
9 but they had no positive *Salmonella*, no positive
10 *E. coli*, no positive *Listeria*, how would that
11 trigger an FSA or would it?

12 MS. DONLEY: Not with what is laid out in
13 here right now.

14 DR. VETTER: Or am I misunderstanding?

15 MR. ALVARES: If they had a spike in SRM
16 NRs to the extent that your NR rate was high enough
17 to be above the cut-point and it would be -- we
18 wouldn't just look at SRMs, so it would be all EHRs
19 (ph.). There are two or three that are SRM related.
20 If the overall NR rate is high enough, they could
21 get an FSA. And it wouldn't necessarily mean that
22 they had *E. coli*, *Salmonella* and *Listeria* positive;

1 it could be negative for that. But what this is
2 saying is we want someone to go in and do an FSA to
3 make sure -- just to take a closer look at the
4 overall process.

5 DR. VETTER: I guess what I'm saying is I
6 don't understand how this works, exactly.

7 DR. MARCY: Well, good. Probably no one
8 does.

9 (Laughter.)

10 DR. VETTER: It seems like what you're
11 looking at is the rate in which they have that level
12 of non-compliance compared to the positive. So it's
13 like -- it seems to me if they're not having
14 positives, you wouldn't be looking at that rate. So
15 it's me. Am I getting that wrong?

16 DR. MARCY: I don't think they look at
17 positives other than which ones to sample from to
18 compare their comparative group and control group.
19 Do you have data relating to the actual counts past
20 that?

21 MR. ALVARES: You mean after the positive
22 occurred?

1 DR. MARCY: Yes.

2 MR. ALVARES: I'm sure we do, but I don't
3 think the -- it wasn't part of our analysis since
4 it's after the positive.

5 DR. MARCY: Yeah.

6 MR. ALVARES: Where questions come in is to
7 try and identify which regs we think are
8 informative. So we're looking at a period of time
9 and what we're saying is that if these regs are
10 higher during that period of time, that those plants
11 are at an increased chance of getting a positive of
12 one of these pathogens. It doesn't mean they will,
13 for sure. They very well may not. And the increase
14 in risk is --

15 (Simultaneous speech.)

16 DR. VETTER: That is how you are trying to
17 link performance to risk.

18 MR. ALVARES: But then when we implement
19 this, we aren't looking at pathogen testing results.
20 All we're looking at are okay, we've identified
21 these, we know -- we believe, statistically, there's
22 a link. We believe that, at least our understanding

1 of the regs, logically there's a link. And so now
2 we're going to analyze them and if they're high
3 enough, we're going to send -- an EIA has to do an
4 FSA and just make sure --

5 DR. VETTER: And that has no dependence
6 upon any positive results, at that point.

7 MR. ALVARES: Right, right.

8 Now, there are other -- you know, as I
9 mentioned, this is one of seven decision criteria
10 and some of those other decision criteria, if they
11 get an *E. coli* positive or a *Listeria* product
12 positive, those are also reasons to go in.

13 So they could have very low NR rates that
14 don't trigger them in this -- public health
15 criteria, and still get an *E. coli* positive and
16 they'll still end up on the list to go, to send an
17 EIA to take a look at what's going on.

18 MS. DONLEY: I think the thing that kind of
19 concerns me, and that's kind of getting teased out
20 here a little bit, is that -- my experience with
21 manufacturers of all different kinds. I used to be
22 in the apparel business.

1 So you don't -- your plants tend to -- you
2 don't have your management. If you don't have
3 control in one area, you probably don't have it in
4 the other area, even though you may not be seeing it
5 and especially if you're not looking for it. If
6 you've got -- a plant is not going to say okay,
7 because it's -- that's not a PHR, it's okay we go
8 slack in here but we can't have go slack here
9 because it's a different PHR. You know, one level
10 of operating, typically.

11 So I guess that's where, with some of these
12 -- and if they were to have a spike in SRMs, to me,
13 that's going to say hey, there is a systemic problem
14 here. The plant is not in control somehow. It may
15 be something that -- I guess there is the --
16 technically, you could have a very isolated group of
17 operators in one part that are just all no good but
18 typically, I just don't think that's the norm.

19 MS. KLEIN: So Chris, just to clarify, you
20 are saying that the Agency is using SRMs, for
21 example, as an indicator of overall loss of control,
22 if there are enough of them?

1 MR. ALVARES: Yes. So in the public health
2 regs there are three SRM regs, that if they
3 contribute to the rate being high enough, it could
4 trigger an FSA, for-cause FSA.

5 DR. REINHARD: So for the Committee, I
6 think it's important that this -- the way I
7 understand it, this is an enhancement tool for FSIS
8 to direct FSAs where potentially there is a process
9 control issue. It doesn't take away any current
10 inspection activities that already occur for any
11 regulatory thing going on out there.

12 So as they go through the process and they
13 get through it, you know, there will come a time
14 when they'll say well, we want to put this in there,
15 we wish it was in there, but it all doesn't have to
16 be in there to start. They'll get there, right?

17 In the meantime, the rest of the process
18 still controls SRMs, just like it always did, and an
19 FSA would come, if they deserved an FSA, for not
20 performing properly and having the process controls
21 for that specific thing. Just like if a facility
22 was misbranding products, okay -- and that's not in

1 here -- an FSA has been triggered because they're
2 having challenges branding, right. It's not
3 considered -- but it would be something FSIS would
4 take regulatory action on and still send an FSA,
5 potentially, and do those things.

6 So I think that's the one part that we have
7 to be a little bit careful not to go into too much
8 of, because I'm sure FSIS gets this, as everyone in
9 the room does, that you can add this, you can add
10 this, you can add this. Eventually you will. I
11 mean, right? You'll eventually get to where you go
12 further and analyze more, and do NRs lead to
13 potential cross-contamination from allergens? You
14 know, there are a hundred things. I think it's the
15 process. And I really want to get through having
16 them --

17 DR. TILDEN: Exactly.

18 MS. KLEIN: So initially, just so that I'm
19 not capturing things that we don't -- does the
20 Committee agree with -- initially, what I captured
21 was your statement, John, that there was kind of a
22 forced assumption that the Agency was making and

1 that the suggestion was that the Agency should
2 provide a better foundation for making that
3 assumption.

4 Are we, as a committee, comfortable with
5 that or do we feel that the assumption is valid and
6 we don't need the Agency to provide additional
7 information about why they're making that
8 assumption? I just want to make sure I'm not
9 capturing something here and -- I'm just trying to
10 keep track, but I just want to make sure we're
11 not --

12 MS. HARVEY: Well, as we agreed upon
13 earlier, there needed to be some more information.
14 And I do agree with Dr. Marcy. It is definitely
15 forced here and so I think that would be helpful.
16 What time allotted, I don't know if we can and we
17 have to continue on, but anyway.

18 DR. TILDEN: I think this is something
19 we've been discussing as long as I've been on this
20 Committee and I don't see that it's -- I mean, I
21 think we're moving forward, but I think we need to
22 fast-forward it. And I would recommend that we get

1 very explicit on saying FSIS still needs to separate
2 out process control versus public health protection
3 because let's put it in scientific methods-speak.

4 That's a hypothesis that FSIS holds dearly
5 to, that if you have process control, that's the
6 same thing as public health control, public health
7 protection. If you look through what's getting
8 written up, you know, like some of those things that
9 are on that list, I'm not convinced -- yeah, it's a
10 loss of process the way FSIS implements HACCP.

11 Is it really public health control? I
12 don't think so, you know, and there are -- if you go
13 through -- and that's why I was very appreciative of
14 the list you gave. There are some of those things
15 which are purely human beings screwing up and making
16 mistakes that human beings make when you make a
17 detailed system. Does that mean people got sick
18 because of it, every time? No.

19 And I think that's why we have to go back
20 to public health surveillance systems because public
21 health is pretty used to making limited resources
22 maximize public health protection. And you have to

1 make tradeoffs. And so one of the points I wanted
2 to make was that statistical significance does not
3 mean practical significance.

4 And you've got lots and lots of -- if you
5 go through your tables, most of your list, you've
6 got huge chunks of your observations are related to
7 things that I don't think actually gives you public
8 health bang for the buck. They help you with
9 process control and making sure that people are
10 implementing the process as intended and they'll
11 catch that, but by linking the two together, you can
12 miss the public health protection while achieving --
13 implementing the program as is.

14 And I think it's essential, what I heard
15 from Bob and others, is industry is just as
16 committed to improving the process to keep people
17 healthy. But what we're doing is; I think we have
18 opportunities over the next couple of years to
19 better define which of these things are generating
20 tons and tons of NRs. They are not resulting in
21 public health benefit. And maybe there's a simpler
22 way to control process without -- and not jeopardize

1 public health.

2 MS. DONLEY: Chris, does this list also
3 kind of control what type of tasks the inspectors
4 are asked to perform?

5 MR. ALVARES: No, it doesn't change -- it
6 doesn't do anything to adjust or prioritize any of
7 the tasks that the inspectors would do. The only
8 things that would happen is that an FSA could get
9 scheduled, which would be indentifying -- or an HAV
10 could get scheduled, which would be deducted by, I
11 think -- I forget who -- so, I mean, those are
12 really the only two things. It wouldn't change the
13 frequency of any of the other tasks.

14 DR. TILDEN: Can I give a specific example,
15 because I think it sounds so vague that it doesn't
16 work. In your book that you gave us, on page 21 of
17 the document that is from -- which one? Data driven
18 inspection processes from September 2010.

19 MR. ALVARES: Okay.

20 DR. TILDEN: If I'm in Table -- it's
21 towards the end of that path 5. Not exactly the
22 last one, I don't think, but --

1 MS. HARVEY: Table 7?

2 UNIDENTIFIED SPEAKER: Table 7.

3 DR. TILDEN: Table 7 on page 21.

4 It looks like what's driving most of these
5 FSAs is the NRs on the bottom. So by lumping in
6 120, 67, whatever it is, that's effectively making
7 the decisions on what gets looked at. And I
8 apologize if I'm overly simplifying the thing.

9 So that means if you've got a whole bunch
10 of stuff that has a lot to do with HACCP process and
11 it has questionable public health impact, then you
12 are really focusing well on implementing the program
13 as is and trying to bang people's heads to get them
14 to do it as is, as opposed to looking at how do we
15 make it better and how do we fine tune it and give
16 the Bobs of the world the data that they need to
17 make informed decisions.

18 And CDC, when I used to work for them, they
19 made the point that sometimes you're better off
20 collecting less data that's higher quality data,
21 that's actionable data, that helps drive change
22 rather than just flogging people and do more, do

1 more, do more. They're not going to do more. So
2 what you got to do is figure out how do you get to
3 the data that will drive decision making in the
4 private sector and the public sector. And so -- for
5 example, so that's one.

6 The other thing is -- and I agree with you.
7 I'm not just blowing smoke when I tell you thank you
8 for sending this stuff out. Except, like, a
9 selection for public health regulations. And I went
10 through it and I had to flip through a bunch of
11 different places, but on your Table 4.4-1 on page
12 11, one of the things, you didn't include how many
13 FSIS verifications are in that, each one of those
14 things. You got percentages, but you got to have
15 the denominators, as well.

16 So for example, the fifth one down,
17 416.16(a), I looked it up and if I got it right,
18 that's maintaining records. So if you screwed up on
19 your recordkeeping, you got kicked on that one.
20 There are one million verifications on that one.
21 One million verifications where if you screwed up on
22 your records, that's logged in together.

1 MS. HARVEY: Which one was that? Repeat
2 that.

3 DR. TILDEN: Page 4-1 on page 11 of the
4 document called Selection of Public Health
5 Regulations, January 2013. 416.16(a). And it's
6 sanitation, okay. But it's -- I know.

7 If you talked to someone in the FSIS world,
8 if you don't have those records, you've got nothing.
9 You talk to someone in the FDA world and it's like
10 the world will not end. But that's a cultural
11 belief and it's a difference in regulatory paradigm.
12 So I'm just saying you're putting a whole lot at
13 stake that is sacred and that protects public
14 health.

15 The other one is, down towards the middle
16 of that thing, there's something called, I think,
17 417.2(c)(4), which is List of Procedures within your
18 plant. And that's 400,000 observations.

19 MS. HARVEY: Was that 417?

20 DR. TILDEN: 417(c).

21 MS. HARVEY: Yeah, that's HACCP.

22 DR. TILDEN: Yeah. I'm not saying it's not

1 important, I'm just saying that those recordkeeping
2 things -- the rest of this whole thing, a couple of
3 them are a thousand observations, you know, a
4 hundred observations, and then you've got some of
5 these mammoths that boom, that's what's driving your
6 program, as I'm looking at it.

7 DR. MARCY: Probably have no public health.

8 DR. TILDEN: Well, I don't know if they do
9 or don't, but it's -- I believe it is a hypothesis
10 that we still can't answer to what degree they do
11 directly impact and maybe you can't say like you're
12 saying, Chris, you can't get to how many illnesses
13 did that cause. But it might, if we do the data
14 collection correctly, five years from now we might
15 be able to say to what extent are they correlated
16 with increased counts.

17 MR. ALVARES: Yeah. So a couple of
18 comments. I mean -- everything you got there, but I
19 think that's part of why we need Committee comments
20 and recommendations. You're right, there are huge
21 variabilities in the tasks that are being verified
22 or the regulations, I should say, that are being

1 verified. That's one of the things that we do want
2 to look at, is whether everyone is verifying tasks
3 to the same -- pretty good. Yeah, the frequencies
4 would be kind of expected.

5 They're doing a task every day and this reg
6 is applicable to that task. There may be some
7 inspectors that are verifying that every time they
8 do the task, some of them may not be, and that's one
9 of the things that is the source of variability in
10 this that we see as --

11 DR. MARCY: Are you collecting that data,
12 too?

13 MR. ALVARES: Yes.

14 DR. MARCY: Cool.

15 MR. ALVARES: Yes. So we know when they
16 verify -- we understand when they verify tasks.
17 They are required to verify every regulation in a
18 task. There are some that are mandatory and there's
19 a larger set that are optional. So to some extent,
20 they're not supposed to go through and verify every
21 reg every time they do tasks. They're supposed to
22 sort of cover the applicable regs. When you do a --

1 task, certain regs would be applied to this
2 establishment -- so there's that -- it's the
3 characteristics of the data that does, you're right,
4 complicate how you count these, how you address
5 them.

6 We think that rates are, sort of, the
7 better way because rather than, sort of, totals --
8 because you're right. A million of these tasks and
9 a thousand of these tasks, you know, Task B. Task B
10 never will become an influencer of the overall rate,
11 so we're trying to use -- grades for each reg and
12 they combine that into a rate.

13 I think that tries to address some of the
14 variability in the frequencies. There are other
15 ways to do it, too, but I feel like that's kind of
16 clear enough to follow that industry can kind of
17 help with monitoring. I think there's that --

18 DR. MARCY: It's hard for us to do it right
19 in that model. We don't know what has to -- what
20 regs are tied in when they do a task. We may know
21 they did a task, but --

22 MR. ALVARES: So I think one thing that

1 should be available now with the PHIS reports would
2 be more detail of what regs would be verified and
3 what's coming up as non-compliances or non-
4 compliant.

5 The other thing that I just wanted to
6 mention, and I don't know if we have a clear
7 procedure on this, but one of the ways I envision,
8 things like this reg maybe isn't quite as --
9 probably doesn't have the public health link
10 association that we may be applying or assuming --
11 let's take an extreme, Sarah, where say that
12 Recordkeeping 1 represented all of the NRs at the
13 plant and pushed it high enough and -- it ended up
14 getting selected purely on NRs for one type of --
15 one regulation.

16 What really happens is that they end up on
17 a priority list for FSAs and that goes to the NIOs.
18 The NIOs, they have some decision making to do
19 there, too. So what they could do -- and I know
20 some of them do this. They may look at the regs
21 that triggered that FSA and they may review them and
22 decide no, I really don't think that these are the

1 kinds of things that we meant by this process. They
2 may decide what's -- I know that's not purely
3 quantitative, it does put some subjectivity in
4 there, but I think it gives us some opportunity to
5 interpret what data is causing these -- to be
6 triggered.

7 CDR TARRANT: Just to note that we're now
8 at --

9 MR. ALVARES: And I'm not trying to either
10 influence, kind of, the comments but I'm hoping I'm
11 providing context, I think. And certainly feel free
12 to --

13 DR. VETTER: I would just say that now that
14 I understand a little bit better, what I see this as
15 the -- NRs, is those are performance based. But
16 then when you get to the point of the FSA, that
17 usually gets into public health risk. That's going
18 to hold that together. And then the EIOs go in and
19 do a full three-four week FSA and pull the whole --
20 together. That's when you get to public health risk
21 and then that determination could be an enforcement
22 action or something much greater than an NR. But

1 this is one of those things that throws up a red
2 flag: do we need to go in and do that type of
3 assessment?

4 MR. ALVARES: And to that point and to the
5 point you made about maybe doing less and getting
6 really high quality data, it can be -- in some -- it
7 can be a good way to go. The FSAs, for us, are the
8 less frequent activities that generate really
9 detailed comprehensive data.

10 And so this, in some ways, is a way to try
11 to identify where do we go and do those FSAs, then
12 that data gets up in our systems and so then we have
13 to ask questions like okay, of the FSAs that were
14 done because of PHRs, what were the findings of
15 those? Are they finding non-compliances, are they
16 resulting in NLIIEs? Sort of, are we -- is the FSA
17 confirming the indications from the inspection and
18 that's an important detail.

19 MS. KLEIN: I think that's an important
20 element that I want to capture. So let's try and
21 capture that as a phrase that we can then fold into
22 our final document and then we should break for five

1 minutes to refresh ourselves.

2 But what would be a good way to capture?
3 Do you want to just restate what you said and I'll
4 try and --

5 DR. VETTER: That the PHR monitoring is a
6 performance-based monitoring system for evaluation
7 and that the FSAs that result because of an increase
8 in those -- non-compliant regulations. That is
9 where the public health risk analysis comes in, is
10 during the FSA process.

11 MS. KLEIN: So theoretically, on a
12 continual basis, the Agency should be receiving
13 feedback on whether the FSAs are proving the theory?

14 DR. VETTER: Yes.

15 MS. KLEIN: Okay. And do we, as a
16 committee, want there to be some feedback mechanism
17 for -- or how does FSIS intend to adjust the system
18 if, for example, it turns out wow, in this
19 particular area, none of these PHRs -- the results
20 of an FSA also resulted in -- do you know what I'm
21 saying?

22 MS. DONLEY: Yeah. You're trying to say is

1 that if there were a number of PHRs that resulted in
2 an FSA, in doing -- assessments. And at the end of
3 the day, the food safety assessment was very good,
4 then were we looking at the wrong stuff that
5 instituted that FSA.

6 MS. KLEIN: Right.

7 MS. DONLEY: Yeah.

8 MS. KLEIN: So what's --

9 DR. TILDEN: Verification. Verification
10 that there was public health risk or not based on
11 that FSA outcome.

12 DR. MARCY: That might certainly be an
13 interpretation of looking at the wrong stuff. It
14 might be a local interpretation, how it's being
15 coded at the plant, what the inspection personnel
16 versus what the FSA finds.

17 MS. DONLEY: It could, but that's a
18 feedback going to FSIS, as well.

19 DR. MARCY: Yeah. I mean, I'm looking at
20 the wrong things here.

21 DR. TILDEN: But if I can make a pitch? I
22 think it's important for FSIS to make a cultural

1 change to differentiate process performance-based
2 regulation versus public health and I think we still
3 have the blending and it's not explicit how you
4 assess either one.

5 And I think to emphasize the benefit from
6 in your routine inspections and in your FSAs,
7 because I have a feeling the FSA guys -- I know a
8 couple of the folks that do them -- they're just as
9 passionate about process control as anybody else and
10 they might very well have a hypothesis that hasn't
11 been tested and they perpetuate it.

12 MS. KLEIN: Okay. Do we want to take a
13 five-minute break? Yeah? Okay, let's reconvene in
14 five minutes.

15 (Off the record.)

16 (On the record.)

17 MR. ALVARES: And then some questions about
18 who were in the comparison groups. For example, in
19 *Listeria*, the only groups that were compared were --
20 and testing for *Lm*.

21 So if it's an RTE -- there was an RTE in
22 the control group as well. We didn't include -- it

1 doesn't include a beef slaughter establishment. We
2 never sampled for RTEs. We don't have any negative
3 results to look at the 30-day prior to.

4 MS. GAPUD: So that's good, then, because
5 the data is not being grouped in the way --

6 DR. MARCY: And my question on the control
7 group was in the *Salmonella*, you know, if they were
8 negative because they were negative or negative
9 because they weren't tested.

10 MR. ALVARES: Right --

11 DR. MARCY: Because it could've been --
12 okay. But they were tested.

13 MR. ALVARES: Right. It does raise a point
14 that not every establishment that -- STECs gets, you
15 know, a pathogen sample of all it. I think in some
16 ways most of them do, but not every single one.

17 And so a data-driven basis for selecting
18 these is based on establishment sample. But then
19 the application of those regs is --

20 MS. KLEIN: Given what Chris has just said
21 and what we've just discussed, take a look at the
22 highlighted question and tell me whether that

1 question has been answered and thus, we don't need
2 to include it in our --

3 DR. TILDEN: My vote is it's -- include two
4 different issues. One is data dilution, where you
5 include a whole lot of non-public health-related
6 things with -- and it's called misclassification
7 bias in the epi world. For the first sentence.

8 MS. KLEIN: Yeah.

9 DR. TILDEN: The second sentence says it's
10 not randomly distributed. That's a different issue.

11 MS. KLEIN: You data people.

12 (Laughter.)

13 MS. KLEIN: Tell me exactly how that should
14 be, because that's clear --

15 DR. TILDEN: I'll tell you just my
16 thoughts. So data dilution is a concern. And then
17 hit return and separate bullet.

18 MS. KLEIN: Yeah.

19 DR. TILDEN: And then, instead of the data
20 is not -- it may not be reasonable to assume that
21 all data is randomly distributed.

22 MS. KLEIN: Okay.

1 DR. TILDEN: So that'll mean something to
2 the folks that do that kind of stuff.

3 MS. KLEIN: Okay. Okay, and we can
4 obviously add more. I'm just trying to make sure
5 we're not missing things.

6 DR. REINHARD: The ones you call data
7 dilution.

8 MS. KLEIN: Yeah, what are you --

9 DR. TILDEN: Data dilution is when you have
10 a whole bunch -- it's that whole thing of you have a
11 whole lot of performance-based criteria measures
12 mixed with public health measures and you're calling
13 them the same thing.

14 MS. KLEIN: Is that in bullet three --

15 DR. TILDEN: Data dilution is where I put
16 that.

17 MS. KLEIN: Okay.

18 DR. TILDEN: Yeah, mixing of performance-
19 based and public health criteria.

20 MR. ALVARES: And you're talking about in
21 the sort of the larger set of setting criteria and
22 this one seems to dominate as far as the selection

1 criteria.

2 DR. TILDEN: Right. And that goes back to
3 your specified risk materials. It has not anything
4 to do with the others, but you're assuming that
5 process control in one is a problem for the other,
6 which may or may not be true.

7 UNIDENTIFIED SPEAKER: There's a comment.

8 DR. BOOREN: Yeah, Betsy Booren from the
9 Meat Institute Foundation.

10 I think this whole discussion really
11 indicates that putting your data into context is
12 going to be critically important, even within your
13 own system. So all of these questions, I think, as
14 I'm listening to it, we need to make sure, even for
15 your use, it's very clear what every dataset is,
16 what it's being used for and what it defines,
17 because there was a lot of assumptions as to what
18 this data was and where and how it was being used,
19 and if you don't have that context, potentially
20 over-assumptions or underestimations could occur and
21 both of those could be disastrous.

22 So for what it's worth, as someone who

1 reviews your data a lot, and I always really
2 appreciate those little footnotes putting everything
3 into context, as much as you do that, over the next
4 week I'll review it. It's great.

5 MS. KLEIN: So in terms of process, how do
6 we feel about our answer to Question 1? Do we feel
7 that what I have captured here in taking notes only
8 addresses Question 1 or does it spill over into the
9 other questions?

10 And we don't have to keep to the frame that
11 the questions were asked, of course. But you know,
12 we just kind of keep generating bullets.

13 DR. REINHARD: I think it can sit in one.
14 And then if we think it spills over, we can then
15 answer.

16 I have a question for the Subcommittee. If
17 we're willing, I would like to put a data bullet
18 first to state -- because I think we said it, you
19 know, the Subcommittee, but the Committee will vote
20 on it, that we're very appreciative that FSIS is
21 trying to take a science-based and data-based
22 approach to improve, right, the system.

1 MS. DONLEY: An introductory sentence.

2 DR. REINHARD: Yeah, something just that
3 says you're doing good. Right, it's good.

4 MS. HARVEY: Data analysis.

5 MS. GAPUD: We have to give them credit.
6 They have done so much work, I think they deserve
7 it.

8 MS. HARVEY: Yes, that's what I meant.

9 DR. TILDEN: A good job. Keep going, guys.

10 MS. KLEIN: Okay, I'll just keep typing it
11 all and you continue. Why don't we start discussing
12 Question 2, and then I'll catch up to you.

13 MS. DONLEY: I just have a question. Do we
14 want to just -- well, if you say it's just implicit
15 that there's a hazard analysis included and that
16 it's just that other times that's separating out
17 from the hazard. It's understood that hazard
18 analysis is already there, and I'm fine.

19 DR. REINHARD: The question, Chris, that
20 you asked, are you asking -- I think this says, is
21 the Committee okay that we used all the regulatory
22 science associated with these four things, to then

1 narrow down, right, that's your population of
2 potential regulations that affect public health, to
3 narrow down to the 32, then that's statistically
4 getting based off the methodology. Is that
5 question?

6 MR. ALVARES: Essentially, yes. You know,
7 also there are additional criteria. And I'm not
8 trying to -- again, whatever the Committee wants to
9 include is fine. If it's not clear that hazard
10 analysis is part of this criteria, I think that the
11 Committee should say so. I mean, it may be implicit
12 just because I'm so close to it and I understand it.
13 But if it's not clear to the Committee, that's -- I
14 appreciate the kudos, but I also appreciate the
15 criticism as well.

16 DR. REINHARD: Yes.

17 MS. HARVEY: So now I think I'm confused.
18 What are we supposed to -- explain what you want us
19 to answer.

20 MR. ALVARES: I mean, I think what we're
21 looking for is do you agree that these four criteria
22 encompass essentially what the areas of public

1 health concern that were not -- or are there broad
2 areas of inspection or the regulations or the code
3 that we missed in evaluating this? Or are there
4 other ways, when we go through and read a reg, how
5 should we decide -- are there other criteria that we
6 use to decide whether it's -- and further analysis?

7 DR. MARCY: This is John Marcy from the
8 University of Arkansas.

9 I think these four -- and I'll go back, you
10 know, there again to your presentation, where you
11 said step two and you linked these four to your
12 process control. And I would say these four really
13 cover the waterfront pretty well, in terms of public
14 health. And if you accomplish all of these, then
15 you should have a safe product. I don't see an
16 issue there. You know, I think you'd have trouble
17 for this Committee to say we don't believe that you
18 need to have preventive --

19 MS. HARVEY: Yeah, these are the -- I'm
20 sorry.

21 DR. MARCY: I don't think they suffice for
22 that. But in terms of your process control part,

1 your linkage for process control to public health,
2 there's the stretch.

3 DR. TILDEN: And that's my concern. So
4 when HACCP first went in, there was a dramatic
5 decrease in the number of human illnesses. Since
6 early 2001-2002, flat line.

7 So if we keep reinforcing the existing
8 system, why do we think that's going to change
9 what's happened in the last five years? Or will we
10 just be perpetuating flat line? How do we unleash a
11 new dynamic that's going to help take it to the next
12 level?

13 DR. MARCY: All those standards have
14 been --

15 DR. TILDEN: Right, but the human illnesses
16 haven't. I'm not a meat inspection person, so I'm
17 speaking as a state regulatory official kind of a
18 person. So it's an outsider's view of the world.

19 Yeah, down to about 2000, you know, but
20 then it's been flat lined. So they say insanity is
21 doing the same thing over and over again, expecting
22 a different result. So what are we going to do

1 differently? And I think that's the challenge.

2 DR. MARCY: Yeah.

3 DR. TILDEN: If we keep looking at -- if we
4 just keep more records, if we just do more of these
5 100,000 widgets, we'll get incremental changes. And
6 it's like, is that true? What does it take to
7 change the paradigm, to unleash the next round of
8 innovations? And to me --

9 UNIDENTIFIED SPEAKER: HACCP -- is what
10 you're --

11 DR. TILDEN: Well, or just to take HACCP
12 from -- it's great. I'm not saying trash can HACCP
13 and I'm not saying trash can records. But I'm just
14 saying --

15 MS. GAPUD: What's the next level of HACCP
16 version 2.

17 DR. TILDEN: Yeah, and how do we -- this is
18 continuous process improvement. How do you get
19 industry, universities and government all measuring
20 the right stuff and having their fingers on the
21 wheel that are going to drive change rather than
22 just reinforce what we've already got?

1 MS. HARVEY: Well, as I stated earlier,
2 there's more room for enforcement, enforcing all of
3 this.

4 DR. TILDEN: We're all about enforcement in
5 my department as well. But enforcing the same old
6 same old, is that really going to drive change?

7 MS. GAPUD: We have to come up with
8 something, the next level, that we make the HACCP
9 more useful.

10 MS. DONLEY: I think that's true, but I
11 don't think the existing -- this is just my opinion,
12 but that there are some -- I think there are still
13 some holes, that if there were some additional
14 things that, in my opinion, industry should be doing
15 and I think that can be required to do, and once
16 those were in force, would we see some betterment?
17 So I think we're missing some regulations.

18 MS. KLEIN: But HACCP isn't fully gestated,
19 you know, like HACCP is still not a completed entity
20 in your mind, as opposed to a completed entity that
21 is ready to now move on to the next generation.

22 MS. DONLEY: Yeah, HACCP is just kind of,

1 you know -- and that's the framework that goes
2 around everything. But there are additional things,
3 I think, that government should be doing and that
4 industry should be doing that would then lead to the
5 public health benefits within the HACCP environment.

6 DR. TILDEN: So going back to the public
7 health paradigm, one of the things that we do real
8 hard is we try to identify the root cause for
9 contamination during outbreak investigations. And
10 we try to make the distinction between, when we go
11 into a facility that's linked with an outbreak,
12 regulatory compliance, which is usually just trying
13 to bring them to a standard which may or may not
14 control why they have the outbreak. And we say,
15 step outside and say what went on in that firm.
16 Take off the blinders of whether it was regulatory
17 compliance or not and say, from a science
18 standpoint, what were the factors, the root cause
19 analysis, that could've contributed to that? Then
20 you can step back into your regulatory paradigm and
21 figure out, you know, whether we have legal
22 authority to enforce or not.

1 But also that gives you the freedom to then
2 evaluate how do we have to change our paradigm to
3 make it better? And I think you need that freedom
4 within FSIS, when you're doing these FSAs, to say we
5 wanted to assess compliance with regulations,
6 absolutely. But we also wanted to do root cause
7 analysis and move beyond current requirements to say
8 what's the best available science.

9 And I think I'll do a hyperspace leap here.
10 The whole diffusion of innovation paradigm, you
11 don't have to get rid of HACCP. What you have to do
12 is you have to get the early adopters and the best
13 practitioners to share their toys and say, I can tell
14 you, if you really want to know how to prevent
15 contamination in our ready-to-eat facilities. My
16 facility hasn't had them for five years, because we
17 did X, Y and Z different. How do you make it so
18 those early adopters and best practice people share,
19 and then you take best practices and you make them
20 average practices through the Extension? And that's
21 where Extension could tell you what they could do
22 better if they had what?

1 You know, if we didn't spend so much time
2 running around the gerbil cage trying to just do 15
3 more iterations around the gerbil cage, but we
4 started saying this is what we could do, this is the
5 data I need to drive change, then you could get
6 industry best practices being shared to the capable
7 learner.

8 So you've got the three categories: the
9 early adopters, the ones that got the best
10 practices; the capable learners, so they're not
11 opposed to doing it if you just tell them how; and
12 then the resistant-to-change group, that's what us
13 regulators are for.

14 And you can figure out how to make this
15 whole -- the data FSIS gathers and the data that
16 industry has, how do you make that more accessible
17 and in a format that is information that can be used
18 for decision making? And I think you're heading
19 down that path. But I think being more clear in
20 distinguishing performance based versus public
21 health protection will, over time, help us and serve
22 us well.

1 MS. DONLEY: I think those are all
2 excellent points. I think FSIS's hands are a little
3 bit tied right now, in that the food safety
4 assessment is something they can only enforce to
5 standards that are already in place. And I'll give
6 you an example.

7 There is a real -- and I don't have the
8 numbers. Someone else here in the room may have
9 them. But there is a huge, huge -- something's
10 happening in poultry plants, where you get your
11 *Salmonella* numbers, where is it, just prior to the
12 chiller? I'm not exactly sure. Post-chill, post-
13 chill, post-chill. And then you go do some sampling
14 in a grocery store and there the numbers are just
15 off-the-wall different. Something's happening
16 there. And that's an area, a real good area, for
17 opportunity. And FSIS cannot now do anything past
18 that post-chiller point.

19 MS. HARVEY: Sharika Harvey.

20 I'll keep speaking my magic word,
21 enforcement. You even said legally enforce. It's
22 more room for enforcement activity.

1 When we have all of this set in place, when
2 it comes back, what are we able to do? What will we
3 do so that it won't continue to happen?

4 MS. DONLEY: And to your point, I mean, it
5 was made crystal clear this morning with the veal,
6 what's happening in veal establishments and what's
7 not getting enforced.

8 DR. TILDEN: So one thing. For example,
9 FDA. When they went out to Chamberlain Farms, the
10 cantaloupe place, or they went out to Rocky Forge,
11 the standards weren't crystal clear exactly what
12 regulations do you have to regulate a cantaloupe
13 farm. They were still able to do what they called
14 an environmental assessment.

15 And one place that I would recommend FSIS
16 consider is CDC has a group called SNET (ph.),
17 BHSNET (ph.), that has standardized -- they've
18 looked over data from years and years of outbreak
19 investigations and they are pretty close to
20 standardizing a methodology for doing environmental
21 assessments, to help you -- it's based on systems.

22 So it's an interaction of employees plus

1 equipment plus facilities plus food, and the
2 interaction of those effects, that leads to food
3 safety events -- food contamination events in a
4 complex interaction.

5 But they're helping to build the science so
6 that you can break it down into its component parts
7 and try to isolate it and say to what extent was it
8 employees, to what extent was it the facility, you
9 know, and say it's the interactions.

10 So there are models out there that would
11 help if FSIS, FDA, CDC, everybody was using the same
12 intensive investigations. Whether you call them an
13 FSA or FDA calls it an environmental assessment, you
14 know, if the science is similar, then Extension
15 people, whether they're supporting a meat-poultry
16 outbreak investigation or a fruit-veg outbreak
17 investigation, we can kind of get our heads around
18 where are we going with this and how do we get good
19 data for decision making?

20 And then, when you look at your reports,
21 you have a standardized approach, that the
22 waterfront was covered. And EIAOs, whatever they

1 are, yeah, those folks, when they're gathering
2 information, it's standardized so you can make
3 comparisons, apples-to-apples comparisons. You
4 know, I think a lot of that work is out there. So
5 in my mind, that's low-hanging fruit that would
6 help.

7 So getting back -- Sarah's giving me the
8 eye.

9 MS. KLEIN: Yeah.

10 DR. TILDEN: I like the four criteria.
11 There's nothing wrong with them. It's just the
12 specifics of how you implement them and tease out
13 the parts that we talked about.

14 MS. HARVEY: I agree, John.

15 DR. VETTER: Danah Vetter, NAFV.

16 I just have one comment or recommendation.
17 It's been a while since you did W3NRs and now you've
18 got PHRs. How often do you anticipate looking at
19 this?

20 Because I think that should be a part of
21 the process and I think part of that should be a
22 combination of recommendations, I guess, for two and

1 three, is that when you look at -- how do I say
2 this? But you look at your candidates and whether
3 you're having -- when you have enforcement actions,
4 if some of those candidates that weren't part of
5 that narrowed process are actually coming up as
6 being noncompliant in FSAs. And so then, should
7 they be considered to be brought back into that
8 process?

9 And the same as if you were looking at
10 those that triggered the FSA, but were not resulting
11 in enforcement actions, would they be looked at
12 being dropped out? So would that be part of that
13 cycling evaluation?

14 MS. GAPUD: I think you mentioned that
15 before, you know, that there will be continuous
16 improvement or review.

17 MS. HARVEY: I don't know, because that's
18 what I was thinking earlier and I'm glad she brought
19 that up, because I was thinking that. And I don't
20 know if there will be something or the trial will
21 already have run when we meet in the spring or when
22 we meet again or whenever. You know, when we can

1 look at it and crawl.

2 Thank you.

3 MS. KLEIN: I just want to capture again
4 what was just said, that the Agency should consider
5 which of the elements. I want to flip what we said
6 before, so that we capture both of those things, and
7 then I want to go to public comment or questions.

8 DR. VETTER: You have the two sets. You
9 have the initial set of the candidate --

10 MS. KLEIN: Yeah.

11 DR. VETTER: -- PHRs and then you have the
12 actual ones that were determined based on the
13 statistical analyses these are being used to trigger
14 FSAs.

15 And so not only should you look at whether
16 those in the smaller category are validated as being
17 the right ones, but you should also look at -- or
18 some of the ones that were in that candidate
19 category showing up in FSAs, who they were.

20 MS. DONLEY: If they came up when the FSA
21 conducted, should they be added to the list of the
22 -- to the 32?

1 DR. VETTER: Exactly. If it's coming up at
2 an increased frequency --

3 MS. DONLEY: Yeah.

4 DR. VETTER: -- so to speak.

5 MS. KLEIN: Okay, Tony.

6 MR. CORBO: Yeah, I have a question.

7 UNIDENTIFIED SPEAKER: That's not loud
8 enough to hear you.

9 MR. CORBO: This is Tony Corbo from Food &
10 Water Watch. I'm surprised you can't hear me.

11 DR. TILDEN: Come up to the mic, on the
12 right here.

13 MR. CORBO: The discussion here has been
14 about triggering FSAs. And in passing in your
15 presentation downstairs, you mentioned HAVs and it's
16 come up here a couple of times.

17 Number one, why hasn't the hazard analysis
18 verification process occurred yet? We were told it
19 was going to be in 2010, in 2011, 2012, and here we
20 are in 2013 and you're saying it's still not there.
21 So that's question number one. Why hasn't that
22 procedure come into existence yet?

1 And number two, when it does, how does that
2 interact with this process with the FSA? Is that
3 going to be an intermediate step?

4 MR. ALVARES: For an FSA, you mean,
5 something like that?

6 MR. CORBO: Yeah.

7 MR. ALVARES: So the division is -- and
8 what we described in the 2010 report is that this
9 could also trigger more frequent HAV tasks. So I
10 think this kind of standard frequency would be
11 quarterly. If there's a reason to do one more
12 frequently than quarterly, this is the trigger that
13 would help decide that or determine that.

14 So whether that also leads to an FSA, I
15 think, depends on whether they exceed the cut points
16 and whether there's enforcement or maybe -- because
17 I think in some ways you don't necessarily have the
18 one lead to the other. It depends on what the
19 outcome of the activities are. I'll explain that
20 later.

21 The first question about why haven't we
22 implemented, I'm not the right person to answer

1 that. I don't have an answer for you on that.

2 DR. REINHARD: Chris, do you know what is
3 in the HAV task?

4 MR. ALVARES: So I haven't seen the task
5 itself, in terms of how it shows up in PHIS. I know
6 there's a pilot going on with our field office right
7 now.

8 But essentially, the inspector is reviewing
9 a set of plant records, they're answering a series
10 of questions in the task about their hazard
11 analyses, and probably multiple hazard analyses as
12 well. And then that's kind of the basis. If there
13 are non-compliances, those would be documented.

14 DR. REINHARD: And so that had a point.
15 And so I was thinking, because this came up through
16 discussions here as I've been listening, if these
17 PHRs are the things that lead to product positives,
18 then there's a process of control or public health,
19 whatever. But you're saying it's public health.

20 I would think you'd want to look at the HAV
21 task and look at these 32 regulatory cites as being
22 the critical thing for that potential review in the

1 HAV versus I know what's in there to some extent and
2 what they do. But it is worth considering, right?
3 It's totally different thinking than we've got.

4 So here's your way of looking at it in a
5 different way. But it potentially is worth looking
6 at. So that's my comment on how HAVs play into
7 this. We could look at whether or not these regs
8 are the tasks needed for an HAV.

9 DR. MARCY: I don't understand.

10 DR. REINHARD: So if we say these 32 --

11 DR. MARCY: Yeah. Then what would you
12 have --

13 DR. REINHARD: -- regulatory cites are --

14 DR. MARCY: What would you have the
15 inspector do?

16 DR. REINHARD: Whatever task is associated
17 with verifying --

18 DR. MARCY: The hazard analyses?

19 DR. REINHARD: -- meeting those regulatory
20 requirements. So if one of the 32 is -- I don't
21 know.

22 MR. ALVARES: So rather than just simply

1 saying you got flagged because of the PHRs, if we
2 were to be able to provide information that says
3 these were the regs that caused this flag and you
4 should use that to inform where you go with this
5 task.

6 DR. REINHARD: Don't go do a records
7 review.

8 DR. MARCY: Okay.

9 DR. REINHARD: That doesn't have a public
10 health impact.

11 DR. MARCY: I don't think they do, anyway.

12 DR. REINHARD: Go perform a task that is
13 critical to public health.

14 DR. MARCY: Okay.

15 DR. REINHARD: That's the way I would
16 describe it. So I don't have the regulations.

17 MS. KLEIN: Okay, I'm not clear. Someone
18 dictate to me exactly what you want this bullet to
19 read right now -- I'll just clear that one. Okay,
20 go ahead.

21 DR. REINHARD: FSIS could consider --

22 MS. KLEIN: Um-hum.

1 DR. REINHARD: -- using the outcomes of PHR
2 regulations to determine tasks that should be
3 performed when an inspector completes an HAV.

4 MS. KLEIN: Okay.

5 DR. REINHARD: Does that make sense now?

6 DR. MARCY: Well, if you want to put in
7 light of Question 2, it has to do with these four
8 criteria, which you may want to relate it to that
9 versus just the hazard analysis.

10 DR. REINHARD: It didn't have anything to
11 do with Question 2.

12 (Laughter.)

13 DR. MARCY: Stay on point. Well, it's
14 going up there as part of Question 2.

15 UNIDENTIFIED SPEAKER: It's part of
16 Question 2.

17 MS. KLEIN: Okay. Well, we are going to
18 put it, as long as it captured the thought.

19 All right, where are we? Are we still on
20 Question 2? We have one hour left.

21 MS. HARVEY: I mean, those are all
22 critical, so should that really be considered?

1 Don't you all agree, all of those points should be
2 considered?

3 MS. KLEIN: Is there anything else we want
4 to add at this point?

5 All right, let's move on to Question 3 and
6 then see -- oh, yeah.

7 DR. CHEN: Okay, Fur-Chi Chen, Tennessee
8 State University.

9 Yeah, I do get, I mean, the PHR process. I
10 mean, is there any way we can have a flow chart to
11 make it more clear and flow on the processing,
12 development and processing, I mean, coupled to the
13 public health decision criteria related to the FSA?
14 I mean, if any relation here and the flow chart will
15 make it more clear.

16 MR. ALVARES: We could, yeah. I mean, we
17 certainly could try to put together a flow chart.

18 DR. CHEN: Because, I mean, from my
19 understanding, the PHR here is one of the components
20 only on the overall decision criteria. I mean,
21 that's the only.

22 MR. ALVARES: Yes.

1 DR. CHEN: And of course you do have
2 another six criteria listed there.

3 MS. GAPUD: So you're talking about a flow
4 chart that leads to the FSA?

5 DR. CHEN: Um-hum, because that's only one
6 of the criteria there, I mean, in the public health
7 decision.

8 MR. ALVARES: I can certainly imagine a
9 flow chart that talks about how do we get from the
10 point we're at today to scheduling FSAs? There
11 could also be in this flow chart of this feedback
12 loop about how the results of the FSAs are better
13 informing the PHRs and our data analysis there.

14 Are you talking about sort of the flow
15 chart of implementation and procedural activities or
16 more of the --

17 DR. CHEN: Yeah, and the -- related to the,
18 yeah, the FSAs.

19 MS. GAPUD: A flow chart that leads to the
20 FSAs.

21 MS. KLEIN: Okay, Question 3. We can
22 always come back. Okay, comments on the public

1 health outcomes pathogen test results that were
2 analyzed.

3 MS. GAPUD: We put some on there and now we
4 put *E. coli* here. Again, I think it was mentioned,
5 the STECs also, that we can do them. And also
6 how --

7 MR. ALVARES: Those two, the non-O157s and
8 the -- are probably at the top of our list. There
9 was a question sort of before this -- something
10 about residues and whether we would include those.
11 I don't know. Right now we decided not to, probably
12 because I think the residue tests are more about
13 what's going on at the farm rather than in the
14 plant. At least that's sort of where our thought
15 process was on the residue tests.

16 MS. DONLEY: Does FSIS do any more generic
17 testing, you know, plate counts and stuff like that,
18 or is it just strictly pathogen testing?

19 MR. ALVARES: I'd say the majority of our
20 sampling programs, it's just the pathogen in vitro.
21 So O157 and *Salmonella* and *Listeria*. What we do are
22 baseline studies. Usually they're a discreet period

1 of time and for a specific date. They tend to do
2 more a larger panel of tests, like generic *E. coli*
3 and some of the other indicator organisms. But that
4 isn't a standard practice for most of --

5 MS. DONLEY: And the reason I'm asking this
6 -- and it goes back to what I brought up the very
7 first thing when we started the meeting -- number
8 one, is I think that the FSIS's sampling program is
9 not as robust as it could be to be having so much
10 importance being placed on this test. I mean robust
11 in terms of both frequency and in terms of -- I
12 think N60 has got some very deep flaws to it.

13 So should there be another component added
14 in, which could include total plate counts and
15 things, to see that there is some sort of a process
16 control that is to kind of show that -- because the
17 pathogens, let's face it, O157 is like finding a
18 needle in a haystack, in a sense. So is there a
19 better way of looking at some non-pathogenic
20 profiles in the testing program, at the same time,
21 that could be helping to identify out-of-control
22 systems?

1 You know, the plants do this all day long,
2 all day long, but FSIS doesn't have that data. And
3 obviously FSIS would have to conduct their own data.
4 So I don't know, it's just --

5 DR. REINHARD: Why is that? Why would they
6 have to conduct their own data?

7 MS. DONLEY: Would you share it with them?

8 DR. REINHARD: Well, from a regulatory
9 standpoint, we do have access to data. They're
10 allowed to review it and they're allowed to see it.
11 The question is how would they use it? I think
12 that's the question. What weight and value do you
13 put on it?

14 DR. MARCY: Yeah, they have access to
15 *Salmonella* data from the *Salmonella* Initiative
16 Program.

17 DR. REINHARD: Right. So my environmental
18 monitoring program may have access to my finished
19 product testing and verification. My HACCP plan may
20 have access to --

21 DR. MARCY: But Chris might not have it.

22 DR. REINHARD: Right. And right down

1 through the global inspector right on the site. It
2 doesn't go anywhere, but it doesn't mean it's not
3 there.

4 MR. ALVARES: So I think all of the
5 statements are correct. The inspectors can and are
6 supposed to review testing results at the plants.
7 That data doesn't come back to headquarters and go
8 to the database. We don't use it for things like
9 those kinds of activities, scheduling.

10 But I think that the inspector, if they see
11 things in the data, certainly they can bring that up
12 to their supervisor. I think there's a process
13 within -- to address issues on that.

14 DR. REINHARD: It's an interesting
15 question, but there's no plant that takes thousands
16 of finished product samples and they're negative for
17 *Lm*, but the Agency comes and verifies them four
18 times a year. There may be someone else who's
19 taking none and the Agency's verifying once a year,
20 right?

21 MS. KLEIN: Um-hum.

22 DR. REINHARD: So there's data that could

1 help, right, with FSIS saying, where we do apply our
2 resources? Because you have a limited budget. And
3 it is an interesting question. It's never, never
4 been agreed to. For a whole list of these issues,
5 right, they would have to be teased out, but it is
6 theoretically possible.

7 MS. DONLEY: Yeah. And I certainly don't
8 mean to suggest that it should be in lieu of
9 pathogen testing.

10 DR. REINHARD: Right, yeah.

11 MS. DONLEY: Yeah, yeah, but to see if
12 there some way to see in a particular plant. And I
13 don't know how you do that, I don't know how you do
14 that, to see if it's -- you have to look at trends.

15 DR. MARCY: Yeah, a lot of companies will
16 do total plate count, you know, to look at their
17 quality --

18 DR. REINHARD: Correct.

19 DR. MARCY: -- to see if their shelf life
20 -- because total plate count will relate a whole lot
21 more to their product quality or their refrigerated
22 storage than it will in public health.

1 MS. DONLEY: Yeah, but it also does reflect
2 to, you know, process controls, sanitation controls.
3 It does, you know, present a picture.

4 DR. MARCY: Well, yeah, that was one of the
5 reasons why the generic *E. coli* Biovac 1 was used
6 and it's not proven to be very effective, but that's
7 why it's there.

8 MS. DONLEY: Just in the slaughter.

9 DR. MARCY: Right, yeah.

10 MS. HARVEY: And if it comes back positive,
11 you know, we have to kind of --

12 MS. GAPUD: But the inspectors, the USDA
13 inspectors in the establishments, they have access
14 to the that. You know, whether it's just a generic
15 *Listeria*, they have access to that. In fact, when
16 there is an issue or there's so much frequency of
17 generic *Listeria* is so high, the inspectors are
18 going to race by and say, what is going on in here?
19 Although it's not specifically -- but they raise it
20 here.

21 MS. DONLEY: So I guess we're not going to
22 put together program here today. That's not going

1 to happen. For today it's wrong. But it's just
2 something to maybe think about, and is there some
3 way that some industry data could be helpful in
4 analyzing what should be considered a PHR as one of
5 these? Is there a role there, I guess is the
6 question, is there a role?

7 MS. KLEIN: Do we want to go -- like, do we
8 want to look at the way that I just raised that now
9 or do we want to wait and go back again and look
10 through everything I've written?

11 MS. DONLEY: I think that looks good.

12 DR. TILDEN: So Chris, how many actual
13 samples is all this discussion based on? You know,
14 in a year, how many *Salmonella*, *E. coli*, and
15 *Listeria* samples do you collect?

16 MS. DONLEY: About 10,000 for *E. coli*.

17 DR. REINHARD: Ten thousand *E. coli*, 10,000
18 *Salmonella*, and maybe 12.

19 MR. ALVARES: Well, for *E. coli* in general,
20 for ground beef it's about 12,000. Then add a
21 couple thousand for a trim component. So it's
22 probably about 15,000 for *E. coli*. For *Listeria*, I

1 think it's about -- I want to say like 20,000 for
2 ready-to-eat product, for *Listeria* and *Salmonella*
3 ready-to-eat. And then for *Salmonella* in raw
4 products, I mean, I'd have to look it up. I think
5 it's like 20,000.

6 DR. REINHARD: Thirty to thirty-five.

7 MR. ALVARES: Probably, yeah.

8 DR. REINHARD: Is that what it is, 35,000?

9 UNIDENTIFIED SPEAKER: Or it has been.

10 DR. REINHARD: Yeah, that is half a unit.

11 DR. TILDEN: Okay, so that's a boatload of
12 data.

13 DR. REINHARD: Yeah.

14 DR. TILDEN: So I guess my question would
15 be, are we doing everything we can to capitalize and
16 get the most out of that data and convert that data
17 into information for action?

18 And one of the public health criteria
19 that's in those CDC guidelines I mentioned was the
20 timeliness of the information. It doesn't get to
21 the hands of decision makers in a time that they can
22 do something about it.

1 So I think that might be a helpful thing,
2 is I would recommend that FSIS look at how do they
3 look at the turnaround time of when that information
4 becomes available and who it's available to, so that
5 it can be used by risk managers in the public and
6 private sector, to make decisions to intervene.

7 You know, 10,000 samples, that's a lot of
8 samples. So how do we make sure we're not losing
9 any of the public health impact that could be
10 benefited from that?

11 And my fear is that sometimes it goes into
12 the system. By the time the laboratory comes out
13 and the FSA comes out 2 months later and the
14 inspector comes tripping into the facility two
15 months later, well, what does he see 2 months later
16 and how does that relate to what happened 2 months
17 before?

18 MS. KLEIN: Sorry. What else?

19 MS. GAPUD: It's very good.

20 DR. VETTER: Danah Vetter, NAFV.

21 This has nothing to do with those three
22 questions, but it has to do with the NRs and the

1 rate of noncompliance with those NRs. And I may get
2 this wrong because I'm currently not in the plant
3 using PHIS, but it's my understanding that it's very
4 difficult for an IIC to do an analysis and know what
5 the noncompliance rate is for these. They have to
6 request that through that currently.

7 That needs to be considered, that they're
8 able to do that analysis or pull that very easily,
9 because it will help them know where they need to
10 look at things that need to monitor in the plant.
11 And they can also relay that information to the
12 facility so that there can be a more proactive
13 approach versus waiting for these things to spike to
14 the level that they trigger an FSA.

15 So that needs to be a potential use of
16 these PHR regulations, because I believe it's a way
17 that they can be used proactively rather than
18 reactively.

19 DR. MARCY: So what could gain access is
20 the cut point.

21 DR. VETTER: No.

22 DR. MARCY: Right, yeah.

1 DR. VETTER: Right now they're not able to
2 do an analysis themselves.

3 DR. MARCY: Correct.

4 DR. VETTER: They have to request that
5 through that.

6 DR. MARCY: Right.

7 DR. VETTER: And that's a disadvantage for
8 both the input inspection and for the plants
9 themselves.

10 DR. MARCY: Yeah, they know how they're
11 trending. They just don't know how everybody else
12 is.

13 DR. VETTER: Exactly. And they don't, you
14 know, versus if they were able to say oh, we can see
15 the steady incline. Something must be starting to
16 happen. And then in the weekly meetings they can
17 have that communication and conversation and
18 possibly catch something before it gets out of
19 control.

20 MR. ALVARES: And I think just to maybe
21 clarify, I think inspectors have a pretty good
22 perspective on the tasks that they perform. I think

1 they have a little bit less visibility on the regs.
2 Within a task, it's easy to open a task and see what
3 regs are in play.

4 But we'll get the kind of scope of the last
5 month's tasks. It's a little bit more complicated.
6 We do have some reports now that summarize the non-
7 compliances and the regs that were cited and provide
8 some graphs to help prioritize. I think that's the
9 feedback --

10 DR. VETTER: Yes, I believe there's a lot
11 more than can be done there, particularly for it to
12 be used by supervisors, supervisory personnel, to
13 give feedback to their staff and then give feedback
14 to the plants as well, so it can be used more
15 proactively in that case, rather than reactively.

16 DR. REINHARD: So we should capture it.

17 MS. KLEIN: Okay, let's hear it.

18 DR. VETTER: Like I said, it doesn't really
19 have anything to do --

20 MS. KLEIN: So let's put it, we have
21 hanging out there also, that doesn't really fit
22 nicely in here, enforcement.

1 DR. REINHARD: Yeah, we can just add it.

2 MS. KLEIN: So we'll just put a little
3 category that covers it. And within that we'll at
4 some point need to capture some language on
5 enforcement. And now we are capturing Danah's
6 point, which I forgot.

7 DR. VETTER: We'll call it, I think, the
8 frontline and the IPP personnel need to be able
9 to --

10 MS. HARVEY: IPP kind of covers that.

11 DR. VETTER: Yeah, I guess IPP covers the
12 frontline, too.

13 MS. KLEIN: Give me a minute.

14 DR. VETTER: I don't want to say that
15 they're analyzing, but they need able to --

16 MS. HARVEY: Have access?

17 DR. VETTER: No, trends and noncompliance
18 trends over periods of time. So I guess analyze.

19 MS. KLEIN: Do they have access to this
20 data already?

21 DR. MARCY: Yeah. Well, what they don't
22 have is a cut point. They don't know how they're

1 tracking with the other folks, but they know how
2 they're tracking.

3 MR. ALVARES: I would say, I think maybe
4 not in a way that allows them to just kind of pull
5 something up and see it and act on it.

6 DR. MARCY: Right.

7 MR. ALVARES: Just thinking about sort of
8 what Danah's getting at, I think the question is,
9 are we presenting information in a way that's -- you
10 know, that they don't have to flip to this page and
11 then got to this page and then go that page to kind
12 of pull all of the --

13 DR. VETTER: To pull it all together where
14 they can easily determine whether noncompliance is
15 trending upward.

16 DR. REINHARD: Yeah.

17 DR. VETTER: Or in a negative manner, so to
18 speak.

19 DR. REINHARD: Yeah, some kind of process
20 indicator as to how things are running.

21 DR. VETTER: A process indicator so that
22 they -- exactly, so that they can then use PHRs.

1 This is what it comes down to, so that they can use
2 PHR analyses to be proactive rather than reactive.
3 That's what it boils down to.

4 DR. REINHARD: And you should go ahead and
5 just let that exact same information populate on the
6 facilities. The PHIS, when they log in they can see
7 their results, too, so then they can react, as that
8 indicator data is telling them something may be
9 moving.

10 MR. ALVARES: So I know I don't think I'm
11 supposed to be asking questions, but I'll sort of --
12 because we had some of that discussion internally
13 and it's kind of led to a conversation that maybe
14 the Committee could comment on it, about can that
15 introduce, maybe, a selection bias in the process
16 that actually makes the data harder to determine?
17 If the inspector sees that, if I write a couple more
18 NRs, this plant's going to get above the cut point
19 and get an FSA. Or if I write fewer, they're going
20 to stay below the cut point and not get an FSA.
21 That ends up in our discussions about how to provide
22 information, who to provide it to, when to provide

1 it, and whether that can actually work against us.

2 Kind of the idea of pharmaceuticals, they
3 do a double blinded, where you don't really know
4 what you're getting or who you're giving it to, so
5 that there's this separation.

6 DR. VETTER: I don't see that as happening.
7 I'm not saying that it might not be of use. This is
8 Danah Vetter with NAFV. But my experience has been
9 -- because it used to happen with PBIS. With PBIS
10 you had the ability to pull noncompliance for a
11 period of time and you could look at trending of
12 sanitation and of those types of things.

13 And at that point in time, if you started
14 seeing it trend upwards, you would go the plant and
15 say something's going on. This is trending upwards.
16 Do you have any people? Do you have a sanitation
17 crew? Do you have -- what is going on? And it
18 would come out. If you keep going at this rate, you
19 will have an FSA. That would definitely be said if
20 we don't find the problem and fix it and do
21 something about it.

22 So I can't say that that wouldn't be said

1 or that wouldn't be discussed or that wouldn't be a
2 plant's motivation to fix the issue. But I still
3 think -- I don't think it would be used to just fly
4 under the radar.

5 DR. TILDEN: And I think -- John Tilden --
6 if we're talking about going to a transparent
7 process that's data driven for decision making, then
8 you've got to trust people, give everybody the
9 information and let them use it. And then if
10 someone is abusing the system, that will become
11 apparent too, and then you can take corrective
12 actions. Whether it's a regulatory problem or it's
13 an industry, then you work everybody together to fix
14 where the problem is. And I think that's how you
15 unleash the next round of improvements.

16 MS. KLEIN: Is it everyone's collected
17 vision that the data that's available for IPP and
18 for industry would also be publicly available so
19 that other stakeholders could also be doing this
20 same sort of trend line analysis?

21 And that could serve as a useful kind
22 of watchdog for whether in fact there is an abuse of

1 the system, where analyzing the data, an outsider
2 might say that's fascinating. You know, these
3 people seem to be trending up and then they never
4 quite get the FSA or, you know, because they're not
5 getting enough NRs and it seems like the NRs taper
6 off as soon as the trend becomes apparent. Or
7 alternatively, wow, this is really working, this
8 system, because as soon as the NRs start trending
9 up --

10 DR. TILDEN: Action is taken --

11 MS. KLEIN: -- action is taken and the
12 problem goes away.

13 DR. TILDEN: -- or the problem goes away
14 before it becomes a problem.

15 MS. KLEIN: Right. So I mean, I would
16 propose to add that, you know, any data that is
17 shared in this way should be publicly available for
18 analysis as well.

19 MS. GAPUD: I see the benefits well in that
20 thing, because that will get them motivated to
21 really do something rather than, you know, well,
22 it's just NR. Who is seeing the NR anyway? I agree

1 with you.

2 DR. TILDEN: I think we have to be careful
3 about not providing disincentives for sharing
4 information.

5 DR. VETTER: It can be blind data. I mean,
6 it could be as far as that, but it could be -- I
7 mean, you could not specify so that you don't --

8 MS. KLEIN: It's only blind for the public,
9 though, but everybody else gets --

10 DR. TILDEN: Well, let's go back to public
11 health. So when HIV -- there was the whole thing.
12 Everybody should know everybody's HIV test, you
13 know, and they get to the point where they say, you
14 know, that is not in the public health's interest
15 because you're going to drive everything underground
16 and you're creating -- humans are humans and that
17 type of information would not be used appropriately
18 by everybody. So in the interest of public health
19 there were safeguards put in place.

20 I think that's the kind of conversation.
21 You've got to talk with industry and have those
22 honest conversations of how do you build trust and

1 how do you also acknowledge that there is
2 information that it just may not be in everybody's
3 interest to share?

4 MS. DONLEY: But if it was blinded by just
5 being Plant ABC and unidentified and the public
6 could still look at it, then it's up to them to go
7 FSIS and say hey, Plant ABC is having some real
8 issues here. I don't know where. We're watching.

9 DR. REINHARD: I think the question is a
10 good question. I think the Agency needs to walk and
11 then run and get through the process. And so if you
12 come up with a way for it be immediately used at the
13 facility, it then leads to how did this information
14 then go to the next step or not. And I think that's
15 a good question.

16 DR. BOOREN: Yeah. Betsy with AMI.

17 You know, we talk a lot about benchmarking
18 and I think that's what you're talking about.
19 You're looking at data and you're trying benchmark
20 whether it's inspectors in-plant, are they doing --
21 where are they sitting in the inspection? Or is it
22 within districts?

1 We hear all the time about differences in
2 inspection between districts and facilities, and so
3 we're benchmarking, as well as the plants get the
4 information and let's say we have more than one
5 facility and you would perhaps want to see how your
6 different facilities sit or how it sits compared to
7 your industry. Benchmarking is really important.

8 The problem we found is how that data is
9 released. And to your point, who sees it and what
10 does it mean? And what we've always struggled with
11 is putting that data into context. I can give you
12 data.

13 Let's say it's NRs and let's say it's NRs
14 -- give me something from a public health-
15 significant NR -- a non-public health-significant
16 NR, something that, let's be honest, you've got --

17 DR. REINHARD: A facility and grounds?

18 DR. BOOREN: Okay, a facility and the
19 grounds.

20 DR. REINHARD: Outside the plant there
21 was --

22 DR. BOOREN: There are implications to

1 that. But if you saw that number spike, is that
2 really -- are we seeing public health -- and
3 balancing. And that's a blurry line, but figuring
4 out how to do that and make it fit.

5 And I know we're getting into a weird area
6 here, but we struggle with that data and how to put
7 it in the right context to make sure the best
8 decisions for public health are being made. And
9 we've looked at it and we haven't found a good
10 solution. But we have found, when we start sharing
11 it equally, that's usually when change is made.

12 So I mean, for what it's worth, that
13 context, like I said earlier, the context of what
14 data and what it means and how it's being used in a
15 situation like this would never be more critical,
16 because you can make a lot of false assumptions.

17 DR. REINHARD: There is a key with non-
18 compliances. And so as I look across multiple
19 establishments, what I do with non-compliances is
20 you're not always just chasing if you've got one it
21 is bad, if you didn't, it is good. It doesn't lead
22 you to the right answers.

1 And so the rate and the regulation, as it
2 relates to, potentially, public health regulations
3 and public health and NRs, it is a place where we
4 could look and see if that sub-context level is a
5 benefit. Just chasing a huge bucket of NR rates in
6 the country to drive them down, you know, it doesn't
7 lead to the best results, I will tell you that.
8 That's not a behavior that comes out and then gets
9 what we want it to.

10 MS. HARVEY: I think should be left up to
11 administration.

12 MS. KLEIN: Okay. So just to this final
13 point, I mean, how do we like this? Except that
14 it's totally redundant. Do not provide
15 disincentives.

16 DR. TILDEN: I think we need to clarify
17 that it should be made it available to the public,
18 that walk-run -- you know, crawl-walk-run. And I
19 personally think a lot of people agree that
20 transparent means better information sharing. But I
21 think that there has to be some acknowledgement.
22 But let's start and do something successful at the

1 beginning and then build on that, I think, that
2 incremental kind of a thing, to do it right first
3 and then build, rather than just say hey, let's put
4 it out there.

5 MS. HARVEY: Yeah.

6 MS. KLEIN: Help me understand where the --
7 why other stakeholders can't be privy to the
8 learning curve. Like why, for example, consumer
9 organizations shouldn't see the data at the same
10 time that the Agency and the industry are also
11 seeing it, even if it hasn't reached its, you know,
12 summit of usefulness.

13 DR. TILDEN: And I can't speak for others.
14 I'm just speaking as a state regulator. I do agree
15 100 percent with what you're saying. In
16 practicality, I've been burned lots of times by
17 information that's gotten out and gotten into the
18 hands of someone who didn't know how to use it, and
19 it tied up weeks of my time trying to undo something
20 that was a non-issue and it became a communication
21 nightmare.

22 And so that's why I'm hesitant to just say

1 yeah, let's just put information out there without
2 the caveats of make sure it's appropriately
3 explained and a reasonable human being could use the
4 information and make good decisions with it.

5 Some of the data, it's just that it's not
6 intuitive. That sounds like patronizing and saying
7 oh, you know, people aren't smart enough. I don't
8 believe that at all. But I do believe, especially
9 when you're talking about large amounts of data, it
10 sometimes take an awful lot of work to know how to
11 use it.

12 MS. KLEIN: Well, I mean, I agree with
13 that. I guess I was just kind of thinking about
14 like, you know, in the law, we talk a lot about the
15 reasonable person standard and that's supposed to
16 capture that if a reasonable person would know that
17 it's dangerous to do X and they do it anyway, then
18 you're not negligent. But I think there are degrees
19 of reasonable person when it comes to data sharing.

20 DR. TILDEN: Right.

21 MS. KLEIN: A reasonable person on the
22 street is not going to understand the data, and if

1 we wait until a reasonable person on the street
2 would understand the data, we're never going to get
3 there. But could reasonable people who work in the
4 field of food safety, who analyze data as a matter
5 of course, who are used to seeing it, to
6 contextualizing this information, understand the
7 data much sooner than average Joe on the street?
8 Yes.

9 And so I guess, as one of the
10 organizations, for example, that belongs to the
11 latter category, I don't want to be lumped in with
12 average Joe on the street. If I have to wait for
13 him to understand it, I'm never going to see it. So
14 it seems to me that, you know -- yeah.

15 MS. DONLEY: I think, too, if it's
16 something -- particularly in this day of, you know,
17 the viral age that we're in and how things can just
18 get distorted and just blow up, that it would be
19 important that it be blinded and also that there'd
20 be enough context around it to be understood that
21 there's it can't be just grossly misrepresented out
22 in the public sphere. It's a big charge.

1 MS. HARVEY: Sharika Harvey.

2 I agree with John, and back to Sarah, if
3 you really consider it a learning curve. But as I
4 said, I think it should be left up to FSIS
5 administration. But, however, I think that if there
6 is an organization, what have you, that wants the
7 information can request it or there's a particular
8 site. Writing an e-mail, or what have you, to get
9 on a particular list, maybe. I don't know.

10 MS. KLEIN: I guess that sounds to me like
11 a FOIA. It sounds to me like, you know, the Agency
12 is going to share information back and forth with
13 the industry. But anybody else who wants to be
14 privy to it, I think, is going to have jump through
15 some hoops and that seems like I can't -- I'm not
16 comfortable with that. I think that the Committee's
17 charge generally, although this wasn't a particular
18 question, is that we should be recommending to FSIS.
19 Ultimately, FSIS will make the decision.

20 And so I think we should try and reach
21 consensus on whether we are recommending to the
22 Agency that this same data be available to everyone,

1 but perhaps in a blinded or contextualized manner so
2 as to prevent its misuse or misunderstanding. But I
3 think we should try and come up with what we think
4 is a reasonable recommendation to the Agency.

5 And so I'm still pushing for this
6 highlighted language, that it should be publicly
7 available, but it's reasonable to consider methods
8 for sharing it that don't dis-incentivize it. But
9 just because I'm typing doesn't mean I'm going to
10 win.

11 DR. BOOREN: You said, why we don't want to
12 share it? I think, to go from a walk-step-run, I
13 think one of the things to think about is, if you're
14 putting some of this data out there and you talk
15 transparency, there may be a lot of bugs in it. And
16 if you had a washing period with the industry or the
17 Agency, if they decided to share data in that way,
18 in a washing period to get some of those bugs out so
19 some of the errors weren't misconstrued and then
20 there was a washing period where it would then
21 become publicly available, you sort of got the kinks
22 out of the system.

1 Well, I think industry could get into that,
2 because they're working through this process in a
3 system and it allows it -- it's not perfect, but it
4 allows it to get better and then that data would be
5 made available.

6 I think you could do that in a way, because
7 I don't think it's going to be flawless. You know
8 there's going to be errors throughout this whole
9 process, and kinks to work out. But having sort of
10 a washing period, where perhaps the data is not --
11 at least initially not available right away, it
12 allows industry and FSIS to fine tune that system
13 and whatever that system may be, to report it. And
14 then once it's where it needs to be, once it's
15 available, then it's a smooth ride. We found in a
16 lot aspects that we can do that. That process is so
17 smoother and more accurate on a long-run period.

18 MS. KLEIN: So is it your vision that once
19 that -- is it a washing period?

20 DR. BOOREN: That's the term I'm using.

21 MS. KLEIN: That phrase. But you're just
22 going phase in.

1 DR. BOOREN: From a phase-in, yes. So what
2 you're doing is, let's say you pick an example, you
3 pick one product or whatever dataset it is and you
4 work out the kinks.

5 MS. KLEIN: Right.

6 DR. BOOREN: And you work it through and
7 let industry and FSIS, through a time period -- it
8 could be a year, it could be two -- work through all
9 of those kinks.

10 MS. KLEIN: It could be 90 days.

11 DR. BOOREN: It could be 90 days. We'd
12 like a little longer than that to be thoughtful and
13 put comments out and get them reviewed.

14 MS. KLEIN: We like that process. It's
15 like 60 days.

16 (Laughter.)

17 DR. BOOREN: When we have that process,
18 though, we get better data.

19 MS. KLEIN: Right.

20 DR. BOOREN: And we get better consistent
21 data. And I think that's where we want to be, is
22 better consistent data to make decisions.

1 And so that would be my justification, when
2 you say why aren't we doing it right away? Let us
3 figure it out. Let us work with our regulators to
4 figure it out and we want to share. I mean, I'm
5 speaking just -- that's a scenario of why we do it.
6 I don't know if our industry, where it would be.
7 But that's why when we've done many of our sharing
8 of data, we go through that process because it helps
9 us eliminate errors and we're better at it.

10 MS. KLEIN: Once the errors are eliminated,
11 would it -- like once the kinks are worked out, is
12 it your vision that then it would be shared
13 contemporaneously or that it would always be shared
14 on -- you know, like CDC, I'm getting 2010 data now.

15 DR. BOOREN: No, I think we're to an
16 agreement with data. As long as it's accurate, as
17 timely as possible is where we would be. I think
18 we've all said that getting data too late is
19 worthless. But there needs to be a process where we
20 can initiate it and do it right.

21 DR. REINHARD: So we had a presentation on
22 data sharing. Oh, sorry.

1 DR. CHEN: Go ahead.

2 DR. REINHARD: And I don't know what
3 happened last time with this same question, right,
4 with some on the Committee or whatever we did and we
5 end up writing something like that. And I don't
6 know if you want that or if we can do something
7 where we all can agree.

8 DR. CHEN: Yeah, this is the question I
9 tried to bring up. I think that's one of the long
10 questions we had for the data Committee back in
11 2010. We had a long deliberation just on what type
12 of data we should publish and in what format.

13 So yeah, it's in the previous report
14 already. Maybe we should look at it from there, I
15 mean, yeah, related to this discussion.

16 MS. KLEIN: Yeah, we don't have to reach
17 consensus, necessarily, to include it because we can
18 just say some on the Committee believe, you know,
19 which --

20 DR. REINHARD: But could we word around it?
21 So that would be my question, since we did that last
22 time. We have a couple choices that I see that

1 potentially we could word around it and that would
2 be -- one would be just state that we believe FSIS
3 needs to take the information from this system and
4 consider or just use it as they go forward with the
5 initiative they went over earlier, blah, blah, blah,
6 blah, blah, right?

7 And so that's the process that's going to
8 get data out. We tell them to take this data over
9 there, right, and then it potentially goes into that
10 process and comes out. And it comes out however
11 that process will be. Anyhow, that's how it's going
12 to be. So we could just send it there or we could
13 -- but that's a long process and I know that.

14 And so maybe that's a bit -- the other
15 option we have is we could say data should be shared
16 with all stakeholders, right, as they go through a
17 process of implementing PHRs and review whatever,
18 blah, blah, blah, and something to that effect,
19 where then it's not -- the data, because then they
20 do, right?

21 And it comes potentially in a quicker
22 learning base, what's going on here, let's all get

1 this right, it's of value, which then potentially
2 leads to an easier, automatic, rapid, fast,
3 effective, publicly released data, right? They're
4 two different terms, even though in essence --

5 MS. KLEIN: All stakeholders and publicly
6 available are the two terms that you're --

7 DR. REINHARD: Are the two terms, right,
8 right.

9 MS. KLEIN: I guess, yes, but public is --

10 DR. REINHARD: Stakeholder.

11 MS. KLEIN: The public is a very broad
12 term. Stakeholder can be defined quite narrowly,
13 depending on who's doing the defining. And so I
14 would be concerned that if we say all stakeholders,
15 that that's not going --

16 DR. VETTER: This is Danah Vetter with the
17 NAFV.

18 And just for a point of clarity as to what
19 I was kind of talking about and sort of to lead into
20 that crawl-walk-run, currently, if you're in-plant
21 and stationed at one single plant, you can only see
22 that plant's data. If you're a supervisor, then you

1 can only see your circuit's data. If you're a
2 district you can only see your district's data. And
3 so we don't even have access to that. That's not in
4 place right now. I mean, it is for the headquarter
5 staff.

6 And so when my comment was made in the
7 beginning, it's where it can be used with that
8 single in-plant level in a proactive manner. And so
9 I think that's the crawl part.

10 And then when you get to the walk part is
11 when you can start comparing it district-wide to see
12 if you're having discrepancies and so on and so
13 forth. And those things are already in place with
14 PHIS.

15 And then, when you get to the point where
16 it becomes visual to the plants themselves, I think
17 that's where you start into the run, because they
18 don't even have the ability to see that right now.
19 We can share it with them and communicate it to them
20 orally, but they don't see our system and they don't
21 see our data.

22 And so I think that's also part of that

1 crawl-walk-run type of process. And I think when
2 you get to that point where industry can see it and
3 look at it across the board, then that's probably
4 where you're at that point where you can share it
5 publicly across the board. But you have to start
6 kind of --

7 MS. KLEIN: But there's no timeline. I
8 mean, I don't know that a recommendation from the
9 Committee, that the data should be publicly
10 available, says from the get-go or whether it just
11 leaves it to that, you know, the goal is
12 transparency.

13 DR. VETTER: At the end, when you get to
14 that point.

15 DR. TILDEN: I may be hopelessly
16 optimistic, but when I listen to Betsy and you and
17 Rob talking, I mean, you say 60 days, everything,
18 Betsy says two years, some. At some point, if you
19 guys got in a room and said, what can we agree to
20 that's actually feasible? You know, because what we
21 did is we kind of talked around it and we put blah,
22 blah, blah down on the thing and two years later

1 what's changed? Nothing. I mean that's extreme. I
2 apologize.

3 But if you got the people who are actually
4 the invested parties in the room and say, what we
5 could all work together, where do we share common
6 interests, I think we could really advance public
7 health by figuring out, even if it's not everything,
8 where are the things that we could start, where we
9 could try this out and then see how hard it is.

10 And that might be a recommendation as we
11 take the implementation plans, you've already got an
12 implementation plan, and just see, do you have the
13 input of the consumers, industry, and others and
14 say, can we vet that thing and say let's actually
15 commit to do this, because I think you could.

16 MS. GAPUD: I think what Sarah was talking
17 about this time, of course, at the end, is the
18 transparency, that the public should know. And
19 again, I think the industry, there would be more
20 pressure on the industry to act on something if they
21 know that the public is also aware of what is
22 happening in their establishments.

1 MS. DONLEY: Sunshine disinfects.

2 MS. KLEIN: So I guess we're still at that
3 question, if we include this language, is everybody
4 comfortable enough that we can include this language
5 or do we need to categorize it as some members --

6 MS. DONLEY: I guarantee you, when we get
7 this to the full Committee, there's going to be a
8 whole other round of discussion.

9 (Laughter.)

10 DR. MARCY: Well, my perspective is that,
11 you know, even among the Agency, they don't even
12 have it in full context. So to spit this out in a
13 public forum without context, I think that's a train
14 wreck. No, I would not be -- you know, until it's
15 working, for it to be publicly available.

16 MS. KLEIN: Okay. So I think we should --
17 let's give ourselves a time limit, including me.
18 The computer says 3:57. So three more minutes on
19 this topic and then we're going just stick some
20 people believe. And I'm going to let Tony talk,
21 too.

22 But I guess I still maintain that public

1 forum doesn't distinguish between average Joe and
2 me, and I need there to be a recognition that I'm
3 not going to be a train wreck with the data, in the
4 same way that average Joe on the street is going to
5 be a train wreck with the data.

6 MR. CORBO: Tony Corbo, Food & Water Watch,
7 again.

8 What's been the discussion with -- I mean,
9 you made it in -- he had a couple of general
10 presentations that he's made. I mean, THIS is
11 supposed to stop me from filing FOIAs. It has not
12 worked yet and it probably never will.

13 But what's been in this sort of discussion
14 that Sarah has raised here in terms of the ECAS
15 (ph.), in terms of posting data?

16 MR. ALVARES: So I think there's a number
17 of discussions particularly around and focused on
18 PII, personally identifiable information, and some
19 of the information that FOIA would typically redact,
20 either corporate confidential or others.

21 But beyond that I'm not sure that there's
22 been a lot of discussion about anonymizing the data.

1 I think there's a real sense that if you're going to
2 put out the very most granular-level data and you
3 were going to anonymize the plant numbers, the FOIA
4 process is the key to un-anonymize.

5 So I think there's some discussion there
6 about what it would mean to really anonymize the
7 individual records of the data? I don't know. I
8 mean, we do need to -- the data posting issue, I
9 think, is sort of bigger than the PHR issue and it
10 gets to a lot of other data topics.

11 But I think one of the things, we have to
12 figure out is what data is available and what data
13 has the right context and what data I think is
14 priority. Maybe PHR-related data is a priority
15 because of some of the bigger issues. But maybe
16 it's not for others. I don't know.

17 DR. TILDEN: So does it have any direct
18 relation?

19 MR. ALVARES: Yeah.

20 DR. TILDEN: I don't think you're going to
21 get the whole thing. So what I would do is to say,
22 take part of it as a good step forward and say, the

1 Committee recommends that pull together a subgroup
2 to look at, specifically, how you can find an
3 information-sharing process that is acceptable to
4 the consumer rights, industry associations and then
5 work it out within the next -- you know, within the
6 short term, in some way that some data element, you
7 guys reach agreement on it and it's vetted and it's
8 appropriate and then get that done within six months
9 and then you can build on that and say where do we
10 go from here? Because my fear is that we'll just
11 put out platitudes and we'll go and do the same and
12 nothing happens.

13 MS. KLEIN: Okay, I'm going to try and
14 capture that thought while we continue on with
15 discussion of other matters and then we can just
16 revisit and make sure that I captured it in a way
17 that's still -- that feels better to everyone.

18 So what else do we need to -- this was a
19 little bit off of the beaten path but, I think, an
20 important discussion, very important. So are there
21 other things on Question 3 that we think need to be
22 addressed?

1 Okay. So let's talk about enforcement,
2 because that has come up several times, but I'm not
3 sure that we've captured it adequately. Just
4 remember what you say so that I can do this real
5 quick.

6 MS. HARVEY: Danah, can you write that for
7 me?

8 DR. REINHARD: What was the enforcement
9 topic about?

10 MS. HARVEY: I think you were out there.
11 Saying that it boils down to -- it all goes back to
12 enforcement, as John had brought up, what can
13 legally be enforced, and Nancy was talking about it
14 as well, and of course I brought it up even earlier.
15 And so we definitely need to have more room for
16 enforcement action. And John is going to write --
17 we feel it's important.

18 DR. TILDEN: He is, is he?

19 DR. MARCY: There's that midnight pill.

20 MS. HARVEY: He's probably got it written
21 down.

22 DR. TILDEN: I thought the issue was there

1 are ways you can do investigations to identify root
2 causes that aren't related directly with
3 enforcement. But you can't lose sight of the fact
4 of enforcing what you need to is your legal
5 compliance in the short term, while you're building
6 the scientific basis for improving your program over
7 the long term.

8 MS. HARVEY: Yeah, that was just what it
9 came down to.

10 DR. TILDEN: And I know this is something
11 we haven't talked about, but maybe we can do it in
12 the second cut, when we do it tomorrow, is the whole
13 training thing. I think we haven't really talked
14 about it. And part of the reason why some of this
15 isn't transparent is we've got different people
16 scoring things different ways and writing and
17 documenting. And I think it never hurts.

18 And this maybe under Question 1, is just go
19 back to as the lessons are learned and as your data
20 analysis, figure out how to convert it in ways so
21 that the decision makers and the frontline and
22 industry and government are getting that information

1 that helps inform their actions and it helps them
2 improve the way they're controlling risks, whether
3 they're a regulator or whether they're industry.

4 So feeding back what you're learning into
5 training programs is going to be essential.

6 CDR TARRANT: You have about 10 more
7 minutes.

8 MS. KLEIN: All right. So I've worked on
9 this language. I missed the enforcement language.
10 So did you guys figure it out?

11 So Chris, does that make sense, just prior
12 to the completion of the PHR deliberations? So what
13 I'm talking about is, before you guys finish this
14 plan, you know, that you would convene the
15 stakeholders to discuss the data sharing. It
16 wouldn't be that you complete the plan and then six
17 months later you get everybody together to talk
18 about it.

19 MS. HARVEY: The main stakeholders?

20 MR. ALVARES: Well, okay, so just for
21 clarification. When we talk about completing the
22 plan and getting stakeholders, we're talking about

1 the data posting plan. That's different than the
2 PHR regulations, right?

3 DR. MARCY: You're already doing that,
4 right?

5 MR. ALVARES: Well, we have been doing the
6 old one, which was suspended with the ECAS.

7 DR. MARCY: Correct.

8 MR. ALVARES: Now we want to start off new
9 with the PHR approach.

10 DR. MARCY: Right. But you're going to do
11 that either way.

12 MR. ALVARES: And that's if we can get some
13 cooperation. That isn't necessarily --

14 DR. MARCY: Right.

15 MR. ALVARES: I mean, to wait on that for
16 the public health, prior to the public data posting
17 part, I think I'm not sure I necessarily see the
18 need to --

19 DR. MARCY: But you're not deliberating.
20 You're getting ready to implement.

21 DR. REINHARD: So prior to, I think, we can
22 say it and we can get it. So prior to implementing

1 the data posting plan, because that has to come
2 before it even happens, anyhow. The Agency should
3 convene stakeholders.

4 MS. HARVEY: John, how did you say it?

5 DR. MARCY: I don't know.

6 MS. HARVEY: I like how you said it, that
7 was good.

8 DR. MARCY: Oh, sharing PHR data. You can
9 say, to identify methods for sharing PHR data. And
10 I would like to add that it serves the goal of rapid
11 and effective risk mitigation.

12 MR. ALVARES: Excellent.

13 DR. TILDEN: So that's the whole point, is
14 we're trying to prevent risks or control risks.

15 MS. HARVEY: And didn't you say the Agency
16 should bring together --

17 DR. MARCY: And process transparency or
18 something.

19 DR. TILDEN: I think that's what they're
20 going to do there.

21 MS. HARVEY: Oh, okay.

22 DR. TILDEN: Yeah, convene stakeholders.

1 So that include consumer groups --

2 MS. HARVEY: Yeah, uh-huh, uh-huh.

3 DR. TILDEN: -- plus industry plus
4 Extension.

5 MS. HARVEY: Okay.

6 MS. KLEIN: Do we need to say this is
7 including business or do we all know what
8 stakeholders means?

9 DR. TILDEN: As long you're okay.

10 MS. HARVEY: Yeah, because it's outlined
11 there.

12 MS. KLEIN: It is the will of the Committee
13 that stakeholders includes --

14 DR. TILDEN: You can say it and then it'll
15 be in the public record anyway.

16 MS. KLEIN: It's the will of the Committee
17 that stakeholders include consumer groups, industry,
18 and other interested parties.

19 DR. TILDEN: Agreed.

20 MS. KLEIN: So we still -- sorry, go ahead.

21 MR. SERRATOSA: I'm Jordi Serratosa and I'm
22 talking at a personal level, not from the European

1 Protection Authority.

2 My understanding is that the fear from the
3 industry is that if once you agree with all of that,
4 is that if you put the data on a publicly available
5 way, even if you have been doing the methodology and
6 you agreed how to explain, there will be a time
7 frame where things will not run as they wish. And
8 this is the conflicted view.

9 So from our experience, we have sometimes
10 run pilot programs where you have limited access of
11 limited partners on a confidential agreement for a
12 limited time. Even if you agree to the methods, you
13 agree on sharing the data, discussing how this data
14 is expressed, until this data comes in a regular way
15 in a transparent manner.

16 So I think are there are different steps
17 for the interest of everyone, and I think FSIS --
18 this I my opinion -- should be very much interested
19 and not only industry. But the consumers are there
20 because the sooner they get the criticism, they
21 better they are prepared to react in another way.

22 MS. KLEIN: Yeah, I think that's a valuable

1 point and something that should certainly be
2 discussed at the meeting of identifying these
3 methods, should be whether there's phase-in, whether
4 there's confidential sharing with partners, whether
5 there are pilot programs. I think all of that would
6 be useful to have during that discussion of data-
7 sharing methods.

8 DR. TILDEN: So we didn't really get the
9 enforcement thing. It's just acknowledging that
10 there is the need for both information gathering to
11 improve the scientific basis of the program, while
12 not tying the hands of the people who have to
13 implement existing regulations to protect public
14 health.

15 MS. KLEIN: For information sharing.

16 DR. TILDEN: Information gathering --

17 MS. KLEIN: Gathering.

18 DR. TILDEN: -- to improve the scientific
19 basis of the program without tying the hands of
20 regulators who have to implement existing -- enforce
21 existing regulations to protect public health. So
22 two different objectives for what we're doing.

1 Is that closer? Can you guys make it
2 better? Or take it out.

3 MS. DONLEY: I thought what you're
4 basically saying is enforce better.

5 MS. HARVEY: Yeah, and more enforcement,
6 more --

7 MS. DONLEY: More robust enforcement of
8 current regulations.

9 MS. HARVEY: Yeah, current regulations.

10 DR. TILDEN: I think you can put that as a
11 second bullet and say --

12 MS. HARVEY: Yeah, current and future
13 regulations.

14 DR. REINHARD: Some on the Committee.

15 MS. DONLEY: Some on the Committee?

16 DR. REINHARD: Yeah, I think that would be
17 best.

18 CDR TARRANT: There's a request for us to
19 be downstairs in five minutes.

20 DR. TILDEN: Can I put in one more plea,
21 Sarah, while you finish up that one?

22 MS. KLEIN: Yeah.

1 DR. TILDEN: That whole training thing.
2 While you're doing that, I do think we've got to
3 figure out how to feed back what you're learning
4 through your data analysis. I think you can put
5 that into training for industry and regulators.

6 DR. REINHARD: I think that's important.

7 MR. ALVARES: And inspectors and
8 policymakers.

9 DR. TILDEN: Correct.

10 DR. MARCY: I think policymakers are kind
11 of alone.

12 DR. TILDEN: They're incorrigible.

13 DR. MARCY: Yes.

14 MS. HARVEY: You can put all of the
15 regulations.

16 MS. KLEIN: Yeah. Yes, um-hum. Yeah,
17 because we can always wordsmith it again.

18 DR. TILDEN: And then under Question 1, I
19 think that's where you can put the training.

20 MS. KLEIN: Yeah, okay. So tell me what
21 you want Question 1 to say, of the data --

22 DR. TILDEN: Just say, the information

1 learned by ongoing data analysis should be used to
2 develop and update training for industry,
3 regulators, and I don't know who else. Extension,
4 you don't really train Extension, but it's used with
5 officials. I mean with university folks. I don't
6 know how you phrase it. Don't exclude you guys from
7 the loop.

8 DR. MARCY: Well, FSIS actually has a
9 multi-conference call on this.

10 DR. TILDEN: And shared. So it's shared
11 with Extension and used with -- you can say the
12 information gathered on data analysis should be
13 shared with Extension and used to update. That's a
14 start. We can clean it up.

15 MS. KLEIN: I'm not sure what they want me
16 to do with this, so I'll save it to the desktop.

17 (Whereupon, the subcommittee meeting was
18 concluded.)

19

20

21

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C-E-R-T-I-F-I-C-A-T-E

This is to certify that the attached
proceedings in the matter of:

NATIONAL ADVISORY COMMITTEE ON
MEAT AND POULTRY INSPECTION

SUBCOMMITTEE 2

DATA ANALYSIS

Washington, D.C.

January 16, 2013

were held as herein appears, and that this is the
original transcription thereof for the files of the
United States Department of Agriculture, Food Safety
and Inspection Service.

TANIA KENDALL, Reporter
FREE STATE REPORTING, INC.