





JAMES DENTON  
LEE JAN  
DANIEL LAFONTAINE  
ROBERT POST  
CAROL TUCKER FOREMAN

APPEARANCES: (cont'd.)

Attendees:

JUDITH RIGGINS  
PHILIP DERFLER  
CHERYL HALL  
MARGARET O. K. GLAVIN  
GERALDINE RANSOM  
JUDY NIEBRIEF  
MARK MINA  
STANLEY EMMERLING  
DEL HENSEL  
JENNY SCOTT

P R O C E E D I N G S

(8:39 a.m.)

1  
2  
3 MR. BILLY: Thank you very much, Mike, and good  
4 morning, everyone. I hope you had a restful night. Perhaps  
5 a little shorter than usual, but restful.

6 The morning is devoted pretty much to hearing from  
7 the subcommittees that met during the evening to address a  
8 number of issues and in particular a series of questions  
9 that we posed to the subcommittees.

10 It's also an opportunity for all the members of  
11 the committee, particularly those that didn't sit in on a  
12 subcommittee meeting, to hear the results of the  
13 subcommittees' work and their recommendations and to react  
14 and to give us a sense of whether there's a consensus among  
15 the full committee for the recommendations that are being  
16 forwarded by the subcommittee.

17 We'll start with the standing committee that is  
18 chaired by Katie Hanigan. This subcommittee focused its  
19 efforts on the industry petition, which proposes changes to  
20 the HACCP and pathogen reduction regulation, including  
21 definitional changes, the recognition of prerequisite

1 programs and other changes that the petition argues would  
2 improve the effectiveness of HACCP as it's applied to meat  
3 and poultry.

4 Let me turn the meeting over to Katie to share  
5 with us on behalf of her subcommittee the questions that  
6 were posed and then the recommendations as a result, and  
7 then we'll have a discussion on the recommendations of the  
8 subcommittee.

9 Katie?

10 MS. HANIGAN: Thank you. We had a good  
11 subcommittee meeting last night. It probably ran about two,  
12 two and a half hours. The subcommittee would like to thank  
13 Dan Engeljohn. His expertise and knowledge of this subject  
14 was essential to our conversation, and he did answer a  
15 number of questions for us.

16 Our charge was to answer the six questions put  
17 forth yesterday to us by the Agency. Why don't we just go  
18 through? I'd like to go through all six questions and our  
19 responses, and then we'll take questions and answers if  
20 that's okay.

21 The first question revolved around the industry

1 petition relies mainly on the national micro document and  
2 doesn't provide any data examples to support it. They  
3 further asked for is the micro group aware of any  
4 information that could support taking any of the actions  
5 requested in the petition.

6 Our subcommittee came up with the following on  
7 that. We recommend the Agency make available as soon as  
8 possible to the public as part of the comment process a side  
9 by side comparison of the FSIS, FDA, the national micro  
10 committee, the CODEX and any other pertinent regulations.

11 That is a spinoff, if you will, of those documents  
12 I had handed out yesterday. They had not been reviewed,  
13 approved, if you will, by the Agency, so our recommendation  
14 is maybe they would like to use that as a starting point and  
15 review them and revise, update, but those were the  
16 definitions that we had handed out yesterday.

17 We would like to see a side by side comparison.  
18 We do think it's essential that that be available to the  
19 public as soon as possible so that those definitions can be  
20 used when the public is trying to develop comments regarding  
21 the Agency's petition here.

1           Bullet Point 2. We recommend the Agency have the  
2 HACCP subcommittee of the national micro committee review  
3 the industry petition and give their opinion regarding the  
4 intent of the definitions of hazard, hazard analysis, the  
5 prerequisite programs relative to the current HACCP rule.  
6 We had quite a discussion in committee regarding the intent  
7 of the definitions and were they being implemented as how  
8 they were originally designed.

9           No. 3 under Question 2, recommend the Agency  
10 extend the comment period to allow the HACCP subcommittee to  
11 meet and to develop comments on the industry's petition. We  
12 do think the July 14 deadline for the comment period is too  
13 soon, especially when we're wanting this side by side  
14 analysis as well to be available to the public so that they  
15 can formulate comments.

16           Regarding Question 2, would amending 417.2(a) in  
17 a --

18           MR. BILLY: Katie? Katie, can I just --

19           MS. HANIGAN: Uh-huh.

20           MR. BILLY: This is just to explore this. Do you  
21 think since this is fairly complex it would be better to

1 sort of have a discussion after each question?

2 MS. HANIGAN: Okay. That's fine.

3 MR. BILLY: I mean, I don't want to break it up  
4 necessarily, but I just thought there's quite a series of  
5 questions here.

6 MS. HANIGAN: Okay. It's open. The floor is open  
7 to any questions, comments. Why don't we start with the  
8 subcommittee members that served on this? Do you have any  
9 additional comments you'd like to make?

10 MR. BURKHARDT: Terry Burkhardt. We felt that it  
11 would be up to the Petitioner to provide some examples and  
12 some data that would support their request for the petition.  
13 It was obvious to us that there was nothing like that that  
14 was presented.

15 We had discussion about that and there were some  
16 examples that were provided, but for the Agency to respond  
17 to this it would seem that they would need some specific  
18 examples of what is wrong with the present system, what does  
19 not seem to be working properly, so we suggested that as  
20 well.

21 MS. HANIGAN: Also, I think a key point there that

1 Terry brings up, without the examples the Agency would not  
2 know if they have something that could be corrected via  
3 additional instruction or if they need instruction to the  
4 field, that is, or if they needed a revision in the  
5 regulation itself, so having examples submitted with  
6 comments would be essential so the Agency could make a  
7 decision as to what needed to be done.

8 Yes?

9 MR. ABADIR: Can you tell us about this, the  
10 definition of hazard and where your discussions lead to in  
11 this area and more details about it?

12 MS. HANIGAN: Yes, and I'll look for subcommittee  
13 support as well. What the subcommittee was feeling, and we  
14 talked about examples, was that the definition of hazard as  
15 being implemented in the field does not take into  
16 consideration risk and severity.

17 It seems like a lot of things are being thrown in  
18 under hazard now with disregard to risk and severity, and  
19 that's where we wanted the HACCP subcommittee from the micro  
20 group to go back and to tell us what the intent of the  
21 definitions were so that everybody fully understands.

1 Does that answer --

2 MR. ABADIR: Yes.

3 MR. BILLY: Yes. Cathy?

4 MS. WOTECKI: Katie, I have I guess more a  
5 procedural question. On the second bullet here, the  
6 recommendation that the Agency request a particular  
7 subcommittee of the micro committee to review this, I think  
8 it might be more appropriate that it just go to the micro  
9 committee.

10 Clearly they're going to make the designation to  
11 that subcommittee, but we would also want to I think have  
12 the full micro committee's views on this as well, so just  
13 from a straight procedural standpoint it would seem that the  
14 recommendation should go to the full committee.

15 Then the second is on that same bullet is a  
16 question for the subcommittee. What do you expect to get  
17 back with respect to their opinion of the intent of the  
18 definition of hazard, and is it only for the HACCP rule or  
19 is it for this full spectrum of definitions that are cited  
20 in the first bullet? In essence, what are you asking them  
21 to do?

1 MS. HANIGAN: Yes. What we're looking for is the  
2 documents that were originally developed, because there's  
3 two of them from the micro committee. You know, definitely  
4 when I look at those documents I think they clearly  
5 recognize the value and importance of prerequisite programs,  
6 and that's one area where I don't believe, and I'm speaking  
7 for myself now, not as the subcommittee.

8 I don't believe that the Agency has recognized the  
9 importance of those programs, and it appears that if we  
10 could get the micro committee to tell us the intent of  
11 hazard, hazard analysis and prerequisite programs it may  
12 give us a sharper focus as to what truly is a hazard based  
13 on severity and risk and what is not a hazard and the same  
14 as what is critical to food safety and what items can be  
15 controlled in the prerequisite programs because it's getting  
16 very jumbled up in these HACCP programs.

17 It seems like everything is becoming a CCP even if  
18 it does not pertain to food safety. It seems like we are  
19 having more and more quality type items being put into a  
20 HACCP program under the term of hazard.

21 I'm not sure if that answers your question.

1 Alice?

2 MS. JOHNSON: Part of the discussion last night  
3 centered around the 1992 HACCP paper and then the changes  
4 that were made in the 1997 paper. Recognizing that the  
5 pathogen reduction HACCP rule was written based on the 1992  
6 paper, there were changes that came from the HACCP  
7 subcommittee that were evident in the 1997 paper. One of  
8 them was the definition of a hazard and what we considered  
9 to be more focus for that definition.

10 I think the committee had some discussion and  
11 thought that it would be good to hear what that working  
12 committee in the HACCP subcommittee from the micro  
13 committee, what their thought process was in making the  
14 adjustments to the definition particularly of a hazard.

15 MS. HANIGAN: Thank you.

16 MR. BILLY: Yes. That also applied, incidentally,  
17 to prerequisite programs. There was a significant change  
18 between the 1992 and the 1997 set of recommendations.

19 MR. MORSE: Dale Morse. Just a question. We  
20 originally were planning on referring it to the whole  
21 committee and then were told that the agenda was probably

1 too full. We could probably accept that to the committee or  
2 the subcommittee, but I guess we would be flexible because  
3 originally we had it worded that way and then were told it  
4 probably wouldn't come up at the August meeting. The agenda  
5 might be too full.

6           Then just a similar comment as the others have  
7 made in terms of the petition basically cited the micro  
8 committee's intent in at least a couple of places, and we  
9 thought that it would be good to turn it back to the  
10 committee to just get their opinion on what their intent was  
11 in terms of the definition and prerequisites, so that was  
12 the reason.

13           Just another point. I don't know if we meant to  
14 add it. I know we had a lot of discussion about requesting  
15 the Petitioners to submit additional data. Whether we need  
16 to add that as a bullet or we just address this to the  
17 Agency, but we had agreed that there should be data  
18 presented during the comment period. Whether we need to add  
19 that as a bullet, I'll leave that to the committee.

20           MS. HANIGAN: I guess I think, Dale, you're  
21 correct that it should be added, and somehow I think when we

1 were summarizing it got left off because that was clearly  
2 the gist of a significant part of the comment or discussion  
3 last night was the Agency could not make any decision  
4 without examples being submitted back.

5 MS. SCHULTZ KASTER: So these are real life  
6 examples of the situations where hazard has been applied  
7 differently than the micro committee we believe intended or  
8 where prerequisite programs were not allowed to do the  
9 function that we think they would normally have?

10 MS. HANIGAN: I'm going to say yes. I'm going to  
11 defer the question to Dan, but our understanding was without  
12 examples they would not know whether or not they need  
13 instructional correction to the field or if they need a  
14 change in regulation.

15 MR. ENGELJOHN: Yes. This is Dan Engeljohn with  
16 USDA. To follow up on that, I think the real issue is that  
17 in order again for us to make some assessment about the  
18 variety of issues that apparently are tied up into this  
19 single issue, we really don't have clarity as to what is the  
20 problem.

21 Again, in order to pursue rule making if it in

1 fact requires a regulatory change we would need to have a  
2 great deal of support documentation that's clear as to why  
3 the regulation as written is not as effective as what this  
4 committee believes that maybe it should be or that the  
5 Petitioners asked for.

6           It's obviously important enough to the industry to  
7 have submitted a petition that it clearly would have some  
8 type of impact. The issue at this point is that it could  
9 very well be that many of those items that are not clear  
10 could be clarified through instructional documents like an  
11 FSIS directive to our employees.

12           That would provide more clarity in the decision  
13 making process that they should be looking at in terms of  
14 what the plant has done in their hazard analysis versus  
15 actually changing a regulation because if the problem is  
16 with how it's being interpreted and implemented, changing  
17 the regulation isn't going to help that and so clearly we  
18 didn't get enough information in the petition to have an  
19 understanding of what the issue truly is.

20           MR. BILLY: I think Nancy, and then Dale.

21           MS. DONLEY: I just would like to ask or make a

1 suggestion that these prerequisite programs, it would be  
2 very helpful if they were all so there was some sort of a  
3 summary of some sort that details what goes on in these  
4 prerequisite programs and that be made available to the  
5 public and just how much is voluntary within these programs  
6 and what is, you know, maybe minimum levels of whatever so  
7 that it's more easily evaluated by the public just how much  
8 these prerequisite programs contribute to the whole HACCP  
9 system in general.

10 MR. BILLY: Dale?

11 MR. MORSE: I was just going to recommend that we  
12 had a first bullet where I think we intended to do  
13 something. Recommend the Petitioners provide FSIS with  
14 specific examples with data to support the recommendations.

15 MR. BILLY: Mike?

16 MR. MAMMINGA: Just an observation. Looking at  
17 the first bullet where the subcommittee requested a side by  
18 side comparison of FSIS, FDA, the micro committee and CODEX,  
19 I think one of the things that kind of centers around the  
20 clouding of these issues is the fact that HACCP was not  
21 developed by any of those agencies.

1           They are agencies that have mandated HACCP on  
2 industries and have then set about to decide how to regulate  
3 the HACCP programs that industry developed and so, you know,  
4 prerequisite programs are a part of HACCP. They're required  
5 by HACCP as far as what industry is taught by the academia  
6 people who developed it. You have to have GMPs and SOPs in  
7 order to have a HACCP program. It's a part of it. It's a  
8 prerequisite.

9           So when we try to compare what the industry that  
10 developed HACCP as a system of process controls on the one  
11 hand to what we as government regulators are going to expect  
12 or even require on the other hand, it would seem to me that  
13 what you're asking for here is that you really want a  
14 comparison of the expectations of FSIS, FDA, the micro  
15 committee and CODEX as far as what a HACCP plan will have  
16 versus whether or not prerequisite programs are required.  
17 They are required. If you have a HACCP plan you must.

18           I think it might be a little easier as we look at  
19 the challenges in regulating HACCP plans to keep our  
20 expectations aside from what HACCP is or what HACCP was  
21 meant to do. To me, it would be a jumbled up mess if I

1 could not keep in mind the seven principles of HACCP, the  
2 prerequisite program to go with it, versus what I should  
3 expect as a regulator to see at a minimum in any given plan.

4 MS. HANIGAN: I have a question for Cathy. Cathy,  
5 if we did request that the HACCP subcommittee look at this,  
6 is there a possibility that they can look at it soon like  
7 between now and August, or does protocol really require that  
8 it goes to the main committee and then get filtered out?  
9 You know, we're looking at timeliness when we recommend to  
10 the subcommittee.

11 MS. WOTECKI: Yes. I just don't recall that we've  
12 ever made a recommendation to a specific subcommittee. It's  
13 always gone to the micro committee.

14 I can't recall exactly what the rules are of  
15 referrals, but it generally goes from one committee to  
16 another, and certainly anything that would come from a  
17 subcommittee would have to be reviewed by the parent body  
18 before it was transmitted back, so it just seems  
19 procedurally that it's more appropriate to go to the  
20 committee.

21 MS. HANIGAN: Okay.

1 MS. WOTECKI: As far as, you know, getting work  
2 started between now and August, that would be something that  
3 we'd have to discuss with the committee.

4 MS. HANIGAN: Okay.

5 MS. WOTECKI: Our chair is not here today, so we  
6 would have to talk with her as well.

7 MR. BILLY: I can add a little more. That  
8 committee works essentially the same way as this one, so  
9 what would happen is at the August meeting we would forward  
10 the request. It would be presented by the secretariat to  
11 the chairman. The chairman would ask the subcommittee and  
12 any other members of the committee to participate in that  
13 kind of a discussion.

14 It would come back like is occurring here to the  
15 committee during a time allocated for recommendations from  
16 the subcommittee and then it would be considered, so I think  
17 it's better, and I would suggest to the committee that it  
18 just be referred to the full committee and that process is  
19 what would happen.

20 Much like this committee, the materials are sent  
21 out in advance and then considered and then addressed during

1 that similar kind of process, so I think there's a  
2 reasonable chance we can get that committee to look at this  
3 area during that August meeting.

4 MS. HANIGAN: Okay.

5 MR. BILLY: While I have the floor, I'd like to  
6 also suggest that picking up on the recommendation that Dale  
7 made, I also heard you say, Katie, that there was concern  
8 expressed about the deadline of July, and it seems relevant  
9 if we're asking the micro committee to look at this and  
10 we're further asking that examples be provided that perhaps  
11 this committee ought to make some -- include in its  
12 recommendations a recommendation that the comment period be  
13 extended to provide time for the micro committee to do what  
14 you've recommended and for the industry to gather together  
15 and submit the examples and data and so forth that you've  
16 talked about.

17 MS. HANIGAN: And we did request that. Yes. We  
18 have requested.

19 MR. BILLY: Yes.

20 MS. HANIGAN: We specifically didn't put a time  
21 frame on it, --

1 MR. BILLY: Okay.

2 MS. HANIGAN: -- but just wanted it to be extended  
3 prior or past the point where the micro committee can look  
4 at the document, the industry's petition, and have time to  
5 develop comments, so that's why we didn't specifically pick  
6 a date like September 1.

7 MR. BILLY: Okay.

8 MS. HANIGAN: Current Bullet Point No. 3?

9 MR. BILLY: Three, yes. Okay.

10 MS. MUCKLOW: Tom?

11 MR. BILLY: Yes, because the subcommittee -- my  
12 point is that the subcommittee can do this without the time  
13 period being extended, so making it more directly related to  
14 the work of the micro committee, clarifying that, makes it  
15 clearer. We could extend it a month, for example, and the  
16 committee may not have met yet. I know that's your intent,  
17 but just so it's clear in what you're recommending.

18 Yes, Rosemary?

19 MS. MUCKLOW: Tom, to accommodate Cathy's concern  
20 about the procedure could it be referred to the micro  
21 committee with a request that it be referred in advance or

1 the materials provided in advance to the subcommittee? Then  
2 you get it to the full committee to address the procedural  
3 issue, but you also get it in the hands of the people that  
4 need to look at it ahead of time.

5 MR. BILLY: Yes. I wouldn't again limit it to the  
6 subcommittee. I'd recommend that it be made available to  
7 everyone.

8 MS. MUCKLOW: Well, certainly, but the  
9 subcommittee need to see it ahead of time.

10 MS. HANIGAN: Well, and part of the extension of  
11 the comment period was we are hoping that the Agency or our  
12 request is that the Agency make to the public, you know,  
13 this first bullet point where we talk about a side by side  
14 comparison. It's going to be essential that that  
15 information be out in the public so that when the public  
16 does their comments that they have that information as well.

17 MR. BILLY: The way that would likely work is that  
18 we would publish a Federal Register notice. We would  
19 incorporate or acknowledge what is being recommended here  
20 and indicate the desire to have this additional data and  
21 information and to get this further input from the micro

1 committee. For that reason, we are extending the comment  
2 period to such and such.

3 MS. HANIGAN: Okay.

4 MR. BILLY: That's how that would work.

5 Yes, Caroline?

6 MS. SMITH DEWAAL: Thank you. I'm sorry, Madam  
7 Chairwoman, that I couldn't come to the subcommittee meeting  
8 last night, but I must say I am happy to see the  
9 recommendations that came out of the subcommittee. I think  
10 they're very good.

11 I would propose to the Agency that the concept of  
12 reentering this rule making on the HACCP regulation is one  
13 that I certainly approach with a certain amount of  
14 trepidation, having been through it the first time. I think  
15 that we can't willy-nilly amend the regulation just whenever  
16 anyone seems to have a problem with it, so what I would like  
17 to recommend is that the Agency consider the industry  
18 petition as part of the larger package of rule making, which  
19 may in fact include updating performance standards,  
20 developing new systems if we need them, clarifying  
21 prerequisite programs.

1           Whatever might need to be done to the regulations  
2 should be done as part of a larger package, and the  
3 groundwork that you're laying with this particular petition  
4 to make sure that we have all the relevant comments and we  
5 know the adequate comparisons between CODEX and FDA and the  
6 other questions that you had for us. That should all be  
7 groundwork on what is the industry petition.

8           I'm a little nervous that you're going to proceed  
9 down the road of answering this problem or that problem and  
10 then suddenly there's another petition that lands on your  
11 desk or another set of problems that arise, and so I think  
12 to the extent that you open up the rule for updating or for  
13 modification that that should be done as part of a package  
14 of corrections or amendments or updating.

15           I mean, clearly the performance standards for  
16 salmonella appear to be out of date already. The industries  
17 seem to be just doing a wonderful job of meeting them, and  
18 the whole concept behind them was that the Agency would  
19 raise the bar on occasion so I would just ask that you  
20 consider this petition within the context of whatever  
21 changes or modernization to the regulation might need to be

1 made.

2 Thank you.

3 MR. BILLY: Alice?

4 MS. JOHNSON: Nancy had asked a question about  
5 prerequisites and how the industry is handling it, and I  
6 know we've gotten a lot of paper over the last 24 hours,  
7 but, Nancy, there was the role of prerequisite programs in  
8 managing a HACCP system that was a published article that  
9 Katie handed out yesterday when we started talking about the  
10 petition. I just wanted to --

11 MS. DONLEY: Yes.

12 MS. JOHNSON: There's a lot of material to be  
13 looking over on your plane flight, but I just wanted to  
14 remind you that was there.

15 MS. DONLEY: I'm going to sleep.

16 MR. BILLY: One of the concerns I have as  
17 administrator of the Agency in looking at what we've  
18 experienced in terms of HACCP implementation and some of the  
19 related issues is that in the 6,000 or so federal plants,  
20 notwithstanding the current thinking on prerequisite  
21 programs that are recommended and taught as mentioned by

1 Mike, we see quite a number of plants, primarily the very  
2 small plants, that as far as we can tell only have one  
3 prerequisite program, which is their SOP.

4           So notwithstanding what maybe Todd recommended and  
5 what the micro committee suggested in 1997, there is not  
6 consistency throughout the industry in terms of prerequisite  
7 programs. The big plants have quite an elaborate set of  
8 prerequisite programs dealing with ingredients and raw  
9 materials and other control measures that relate to both  
10 safety and quality, and then as you move smaller and smaller  
11 in terms of operations many of those prerequisite programs  
12 disappear to the point where because we've mandated an SOP  
13 the very small plants have to have one of those, but when we  
14 look and we talk to people they have that and they have  
15 their HACCP plan, and that's what they have. Now, some very  
16 small ones do have prerequisite programs. Not consistent.

17           All I'm saying is as we go through this exercise  
18 as a regulatory agency looking at what we're talking about,  
19 we need to be cognizant that how this turns out in terms of  
20 what's expected from a regulatory perspective could have  
21 some significant impact on particularly the very small types

1 of operations. As we work through this, we need to  
2 understand sort of this spectrum of what exists and then  
3 take that into account.

4 Mike?

5 MR. MAMMINGA: That is a very excellent point you  
6 just made. I deal with it every day. I believe one of the  
7 reasons that it came that way is, you know, this paradigm  
8 shift in thinking takes a little time. Even though we  
9 worked with it for three years before we implemented it,  
10 longer than that really, there is still a certain thought  
11 process that says I'm going to do what I have to do and  
12 that's it.

13 Hopefully we'll change that attitude over time,  
14 but we still have to deal with it to start with and so when  
15 the Agency says well, you must have an SSOP, you must have  
16 generic type E. coli testing and you must have a HACCP plan  
17 for the processes that you do, we got away from what they  
18 were being taught by the people who taught industry HACCP,  
19 and we got more looking at what is the government going to  
20 require.

21 Maybe it was impossible to do this, Tom, without

1 that happening, but I would like to have industry listen to  
2 their teachers and have those prerequisite programs that  
3 they were taught, and I'd like to see our expectations as  
4 regulators -- you know, they're just not the same, and I'd  
5 like to see that delineated so that these small, very small  
6 plants that Terry, Lee, Dan and I deal with on a daily basis  
7 know just because we have expectations as government  
8 regulators that does not relieve you of your obligation to  
9 create and implement a HACCP program as it was designed by  
10 the HACCP experts.

11 That's the challenge; to get them to go on and do  
12 what they are supposed or should do versus what they have to  
13 do to meet basic.

14 MR. BILLY: Jim, and then Nancy?

15 MR. DENTON: I fully appreciate what you're  
16 saying, Tom, and I think Mike has pretty well hit the nail  
17 on the head.

18 Part of what our faculty are involved in in  
19 providing the training for HACCP, as well as sanitation SOPs  
20 and GOPs, is to try to equip these folks with the knowledge  
21 they need to develop these. As Mike says, it's a very slow

1 and painful process in order to reach that goal because many  
2 of these people have never considered this before, and the  
3 education that's required to do it takes time.

4 I think that most of these folks are trying hard  
5 to do this, but it is an educational process that they have  
6 to experience before they can achieve what's necessary to  
7 make this plan succeed.

8 MR. BILLY: I think Rosemary was next, and then  
9 Nancy. Sorry, Rosemary.

10 MS. MUCKLOW: What we come face to face with in  
11 this issue, and I don't disagree with either Mike or Jim, is  
12 the inherently different nature and structure and behavior  
13 of small businesses with very few employees who are very  
14 hands on by contrast with large companies who have people  
15 that are designated to make sure that lots of people will  
16 fit into a structured, systematic program.

17 When you've only got ten employees or less and  
18 you, the boss, you clean the toilets, you clean the  
19 packaging equipment, you sweep the driveway, you do all of  
20 the various things that are needed, he's never needed to  
21 have it written down.

1           He's usually done it because that's the way he was  
2 trained and brought up, and now we're taking that and making  
3 him put what he does by rote and instinct and hands on  
4 knowledge and transfer it into some program so that when  
5 somebody comes to his plant they can see a written program.

6       It's a huge transfer process, and he does wonder why in the  
7 deuce he's got to do that because he's always done it, and  
8 it's in here. Unfortunately, the regulator can't see what's  
9 in his head.

10           I'm sure that Tim and Mike and Dan and Lee see  
11 this or hear about it every day from the inspectors going  
12 into their small plants. It's going to take a lot of time.

13       I don't know whether we'll ever persuade these people that  
14 it is justified that they've got to write down what they've  
15 done by instinct, but that's the goal.

16           MR. BILLY: Yes. I understand. It's not just the  
17 state directors that have 2,500 of these very smalls. We  
18 have 3,500 under federal inspection, so we share the same  
19 set of issues and experiences.

20           Nancy, and then Collette, and then Lee?

21           MS. DONLEY: What you had mentioned, Tom, was what

1 I was trying to communicate that to be able to make  
2 knowledgeable and significant comments and meaningful  
3 comments it's necessary to know just what is minimum, I  
4 guess, as far as prerequisites and what is "voluntary."

5           You know, we have always -- you know, my  
6 organization has always maintained that it doesn't -- we  
7 don't care what size plant any type of food comes from. It  
8 just needs to be a consistency of safety across the board,  
9 so that is why we need to know just what is, if you will,  
10 the floor level of prerequisites.

11           MR. BILLY: Collette?

12           MS. SCHULTZ KASTER: I just want to clarify  
13 because I think I'm getting confused when we got into the  
14 discussion about the very small and small plants. Isn't the  
15 petition asking that the prerequisite programs be recognized  
16 if they are in existence rather than mandating prerequisite  
17 programs to the smalls or very smalls?

18           I think we're just saying that, you know, unlike  
19 the directive, and I don't remember the number that came out  
20 that specifically indicated that these were not to be  
21 married in with the HACCP plans.

1           I guess I always think of the example of employee  
2 hygiene, what they wear and when they wash their hands.

3 Well, that's really not appropriate to the OCCP, but yet  
4 it's a major source of potential contamination, and that's  
5 the kind of thing that as Rosemary is saying, whether it's  
6 somebody verbally teaching the other people in their small  
7 plant or whether it's something that those of us in larger  
8 plants have written down as part of GMPs needs to be  
9 acknowledged at least as part of the food safety system.

10           MR. BILLY: Lee?

11           MR. JAN: Since we're talking a good bit on small  
12 plants and very small plants that got in and I was mentioned  
13 as having some of those I deal with, I did want to make it  
14 clear or set it straight that now I would agree with what  
15 Rosemary was saying about the attitude of the very small  
16 plant operators from the beginning.

17           I have it up here. Why do I have to put it on  
18 paper? I've always done it for 50 years and nobody got sick  
19 and all those excuses that we've heard, but when we started  
20 educating, working with these very small plants to tell them  
21 what's expected beginning with SSOPs, as they have developed

1 SSOPs they almost all have agreed that it was good for their  
2 business. They've adapted to that. They do the SSOPs and  
3 have them on paper. It took that getting it on paper, but  
4 we see that now that they have it that they do use it and  
5 rely on it.

6 Our biggest problem at least in Texas is that we  
7 require SSOPs in custom exempt plants as well, and those  
8 operators are even smaller than the very small for the most  
9 part, and they really have a difficulty we saw, and even  
10 they are improving the sanitation in their plants based on  
11 that so even though the attitude has been, I think the  
12 attitude has a great deal changed in these very small  
13 operators that they do see the value in that so, you know,  
14 even though they have been always perceived as difficult for  
15 them, you know, they're coming along. I just want to bring  
16 that out.

17 MR. MAMMINGA: The last word?

18 MR. BILLY: Yes, Mike?

19 MR. MAMMINGA: My comments that started this had  
20 nothing to do with very small plants or very large plants.  
21 My comments had to do with HACCP and what HACCP is and what

1 HACCP requires. It doesn't make any difference if you're a  
2 one person plant or a 15,000 person plant. You have to  
3 write down what it is that you do, and what you do includes  
4 your GMPs and SOPs and SSOPs. I have no feeling for very  
5 small plants over very large plants in the principles of  
6 HACCP.

7           What I'd like to do is just recognize that as  
8 government agencies we have expectations that are not bound  
9 by HACCP or its principles. Whatever regulation we can  
10 write and pass we can enforce. It doesn't have to do with  
11 anything, whether it's a proper HACCP principle or not, so I  
12 would just like to not try to mix and commingle, if you  
13 will, what HACCP is with what we have to do or what we think  
14 we have to do as regulators. That's the only delineation  
15 I'm making.

16           As regulators we're charged to protect the public  
17 health, and we will do what we have to do regardless of  
18 HACCP hopefully within its principles. I just don't want to  
19 confuse that for industry of any size, what they should do  
20 from the HACCP standpoint versus what we may expect. That  
21 was the entire point of that. I didn't mean to make it a

1 size issue of plants. If I did, I certainly apologize.

2 MS. HANIGAN: Okay. Question No. 2?

3 MR. BILLY: Yes.

4 MS. HANIGAN: Okay. Ready to go. I see like 12  
5 minutes and five questions.

6 MR. BILLY: The others are easier.

7 MS. HANIGAN: Okay. Would amending 417.2(a) in a  
8 manner suggested in the petition result in regulations that  
9 provide the level of public health protection required by  
10 the FMIA and the PPIA?

11 Lots of discussion in committee, and clearly the  
12 committee did not reach a consensus on Question 2. One  
13 subcommittee member expressed concerns that protection could  
14 be diminished depending on the definitions and their  
15 interpretation and implementation. Once again we're talking  
16 specifically the definitions of hazard/hazard analysis in  
17 these prerequisite programs.

18 Four of the subcommittee members felt that the  
19 petition would allow the level of health protection required  
20 under the Act and why the four felt that was clearly they  
21 did not feel that the industry's petition was in any way

1 trying to relax, if you will, the rules. They were just  
2 looking for more clarification in a better focus under these  
3 definitions of hazard/hazard analysis in prerequisite  
4 programs, so we did not have a consensus, but that is where  
5 we ended up.

6 Questions? Okay. Moving on.

7 MR. MORSE: Just a quick comment, though I confess  
8 I'm the one. While a change may not have an effect on  
9 public health, I just thought that there should be some  
10 register note of caution. That's partly because I believe  
11 that the level of protection is to a large extent dependent  
12 on how the regulation is defined, interpreted and  
13 implemented.

14 Just as the Petitioners have a legitimate concern  
15 that the interpretation of the term unsafe is too broad, I  
16 also think there's a legitimate concern that reasonably  
17 likely to cause illness and injury could be interpreted too  
18 narrowly.

19 I think that concern has to be brought forward, so  
20 it may not affect the public health, but there's a potential  
21 if it became too narrowly defined that somebody might, as an

1 example, and this is not currently being discussed, but  
2 somebody might say well, the level of illness is too  
3 infrequent, for example, from SC in eggs or listeria or, you  
4 know, E. coli because the numbers are small.

5 I don't think that's going to happen, but I think  
6 there has to be common ground and not one extreme or the  
7 other in how this is interpreted and basically implemented.

8 MR. BILLY: Caroline?

9 MS. SMITH DEWAAL: If I had been at the committee,  
10 the lack of consensus would have been even clearer because I  
11 share Dale's concerns. I just wanted to get that on the  
12 record.

13 MR. BILLY: Okay. Go ahead.

14 MS. HANIGAN: Question No. 3. Should FSIS  
15 consider regulatory modifications that would acknowledge the  
16 prerequisite programs concept from the micro committee? Our  
17 subcommittee said yes, regulatory modification should  
18 acknowledge the prerequisite programs as outlined by the  
19 micro committee.

20 And then the other two bullet points. We talked  
21 about too much is being placed into the HACCP plan. We need

1 to focus on the food safety issues. Problems arise when  
2 deviations occur in the HACCP programs as all deviations  
3 must be addressed with corrective actions, and this becomes  
4 difficult when HACCP programs contain items that are not  
5 food safety related. Again, if we're missing quality issues  
6 in with HACCP programs when you have to write corrective  
7 actions, it just gets to be difficult.

8 We also recommended that the Agency conduct a  
9 workshop, and this had previously been discussed in August  
10 of 1999 at the technical conference that was held in Omaha,  
11 Nebraska. We're specifically wanting a workshop to discuss  
12 the prerequisite programs and the roles that they play.

13 MS. DONLEY: Katie, I guess I'm a little confused  
14 by what you said that there's too much in the HACCP plan.  
15 The companies write the plans themselves, and if there's too  
16 much in it and if it's quality issues it's the companies --  
17 the companies have put that in their own plans. It sounds  
18 like an industry or company problem.

19 MS. HANIGAN: Nancy, speaking from experience  
20 because we have 12 plants, we have individual inspectors at  
21 various plants that will absolutely insist that we put

1 things in HACCP programs, and we don't believe they should  
2 be there. You know, you can argue and fight, but there  
3 comes a point if you're going to have a working relationship  
4 with these people you just about throw in the towel and put  
5 the darn thing in.

6 MS. DONLEY: Well, then it sounds like it's an  
7 issue within FSIS to do better training with the inspectors.

8 MS. HANIGAN: And it a lot of times does come down  
9 to training issues because when we try to talk with them  
10 about risk and severity they do not understand. They  
11 clearly do not understand.

12 MR. BILLY: Mike?

13 MR. MAMMINGA: I have a question about this where  
14 you say all deviations must be addressed with corrective  
15 action. Deviations from a critical control point or a  
16 critical limit must be addressed, but if you have deviations  
17 from something besides a critical limit at a critical  
18 control point that isn't -- that does not require corrective  
19 action; not documented corrective action anyway.

20 MS. HANIGAN: And we were referring to deviations  
21 from critical limits, et cetera.

1 MR. MAMMINGA: Okay. Thank you.

2 MS. JOHNSON: We also, Mike, to go on with the  
3 fact that we feel like some of the information in the HACCP  
4 plan should be prerequisite because once it's in the HACCP  
5 plan if it's a deviation then you do have to go through the  
6 corrective action, and it takes the focus away when you have  
7 a lot of this other we kept referring to it as stuff last  
8 night, whether that was appropriate or not; all the other  
9 information that we generally keep in prerequisite programs.

10 When they become part of a HACCP plan then it  
11 takes our focus away from what the true HACCP plan should be  
12 centered around.

13 MS. HANIGAN: Okay. If no other questions, we'll  
14 move on.

15 MR. BILLY: I was just thinking that this is  
16 another area where examples and data from industry would be  
17 very helpful in terms of informing the Agency and the public  
18 about what the issues are specifically, you know, so then it  
19 gets into the same point that was made earlier and picks up  
20 on Nancy's point about how is this dealt with because  
21 regulatory modifications in terms of prerequisite programs

1 sweeps us back into the earlier discussion about different  
2 sized plants, expectations, Mike's point about regulatory  
3 requirements versus what HACCP is about, and we would be  
4 better informed I think if there were examples.

5 MS. HANIGAN: By prerequisite programs you're --

6 MR. BILLY: Well, not just prerequisites, but too  
7 much is being placed in HACCP plans. What's the too much?  
8 Examples.

9 MS. HANIGAN: Okay.

10 MR. BILLY: I think that would be helpful in terms  
11 of providing, picking up on Nancy's point, what it is we're  
12 trying to address and how best to do it.

13 MR. MAMMINGA: We have an appeals process now, and  
14 that's one issue, but what you're asking for is for some  
15 pencil and paper examples of what you're dealing with versus  
16 simply putting out fires every time somebody doesn't agree  
17 about an NR, and I think that's a very, very excellent point  
18 that everybody ought to leave here with is let's give you  
19 some feedback.

20 Of course, in my program it's quite the same, but,  
21 still, you don't know unless what's going on specifically.

1 The appeal process for a specific NR isn't necessarily a  
2 vehicle that could help you the most here.

3 MR. BILLY: Right. Lee, and then Caroline?

4 MR. JAN: The concern or the question or the  
5 comment stated -- I think Alice said that if it's in the  
6 HACCP program then you have to answer deviation for critical  
7 limit, and we need to move it out of the HACCP program.

8 If it's a deviation of critical limit, even if  
9 it's an SSOP and specifically if it's an SSOP, it still has  
10 to be addressed. The corrective action has to be documented  
11 and taken and all that, so moving it doesn't really take the  
12 responsibility from the plant to do the corrective action  
13 and document the corrective action that was taken.

14 I think the question comes in whether or not it  
15 needs to be in the HACCP program, but if you look at the  
16 prerequisite as part, even though it's not maybe even in the  
17 seven principles, but it's part of the whole system, then it  
18 doesn't matter where it is. The plant still has to do the  
19 same amount of stuff.

20 I think one of the Agency positions has been that  
21 if a critical control point is required, and it's required

1 if a hazard is reasonably likely to occur, once you identify  
2 that the Agency's position has been and I think still is  
3 that you can't say that it's taken care of in a prerequisite  
4 program because the question then becomes what if that  
5 program was not there and so they want to see it in the  
6 HACCP program.

7 Now, that might need to be, and I would agree and  
8 say why can't we recognize that part of that system, but we  
9 need to understand that wherever it is the deviation  
10 occurred, the plant is still responsible for taking and  
11 documenting corrective action and taking steps to prevent  
12 future occurrence.

13 MR. BILLY: Caroline?

14 MS. SMITH DEWAAL: I was just thinking that I  
15 think it is an excellent idea to try to gather some actual  
16 examples and to clarify the problem. I think that the trade  
17 associations that authored this petition should be enlisted  
18 to do that, and that will help depersonalize the data so  
19 it's not dealing with specific plants and specific  
20 inspectors, but that they can help to blind the information  
21 and maybe will identify some of the trends in inspector/

1 plant interactions that might need additional work.

2 Also, if this is something that can be fixed  
3 without changing the regulation, then let's fix it.

4 MS. HANIGAN: I think Dan had a comment.

5 MR. ENGELJOHN: I've sort of forgotten what I was  
6 going to say, to be perfectly honest.

7 MR. BILLY: Sorry, Dan.

8 MR. ENGELJOHN: I would say it goes back to the  
9 issue that clarity here would really help the Agency an  
10 enormous amount. We have made attempts to provide generic  
11 models, and the industry has done its own efforts at  
12 providing guidance to industry so we get into the tricky  
13 area of when you start being specific about providing  
14 examples of when something should be and when something  
15 shouldn't be, should be and shouldn't be in the plan, we  
16 still go around in terms of it appears the Agency is  
17 dictating something, but clearly there's a problem.

18 We just need to have some specifics put on paper,  
19 and I would think that that, if anything, would help in the  
20 instructional materials that we could provide our own  
21 employees.

1 MR. BILLY: Collette?

2 MS. SCHULTZ KASTER: Just to clarify this a little  
3 bit, I don't think this is done maliciously by the  
4 inspectors. Some of your best trained HACCP inspectors are  
5 just -- I think they're a little bit confused, and they see  
6 it's either the old way, sort of the command and control, or  
7 the new way, HACCP.

8 If it doesn't fit into the little box of the old  
9 way, then obviously it has to go into HACCP and so they just  
10 try to push everything over there, but a lot of times the  
11 ones that have, you know, encouraged us to put kind of  
12 oddball things into our HACCP plans, it wasn't done  
13 maliciously. It was just done because there was no in  
14 between area that they saw or were directed that it could  
15 go.

16 MS. HANIGAN: I think that's a very good point.  
17 Clearly the inspectors we dealt with, they are not, you  
18 know, being malicious about it. They just clearly don't  
19 understand.

20 MR. BILLY: Dan?

21 MR. LAFONTAINE: Dan LaFontaine, South Carolina.

1 There's one point that hasn't been made that is an important  
2 part of this equation, and that is, and correct me if I'm  
3 wrong, that the USDA inspection force -- I'm talking about  
4 the field inspection force, the circuit supervisors, the  
5 IICs and the inspectors that evaluate HACCP -- have never  
6 been, to my knowledge, formally trained in HACCP.

7           They've been trained in the regulatory  
8 implementation of HACCP. They've been exposed to it as a  
9 part of their two-week training, but they've never had a  
10 full, solid, three day walking through the prerequisite and  
11 principles. I offer that as constructive criticism because  
12 it is difficult to regulate a program that you've never had  
13 the full background knowledge of.

14           In South Carolina we did that, and it has helped  
15 tremendously, although I will admit that my inspectors get  
16 very confused also. It's not the panacea, but it's  
17 something that FSIS -- the time may be right to take a step  
18 back and integrate that somehow into your future training.

19           MR. BILLY: I can indicate that that is currently  
20 underway nationwide. We started with the supervisors, the  
21 circuit supervisors and supervisory inspectors in charge.

1 MR. LAFONTAINE: Okay.

2 MR. BILLY: It's currently underway.

3 MR. LAFONTAINE: All right. I wasn't aware of  
4 that.

5 MR. BILLY: Alice? Rosemary?

6 MS. MUCKLOW: Thank you, Dan. I remember several  
7 years ago the very strong efforts that we made as an  
8 industry to try to persuade the Agency that as we entered  
9 this entirely new era that we have joint training and that  
10 the inspection personnel meet the same kind of training  
11 requirements as was provided in the final rule, the two and  
12 a half or three day training.

13 I would strongly encourage the Agency at this  
14 point to make sure that as it is now entering its new wisdom  
15 on training that it meet the requirements set forth for the  
16 accredited training program of the International HACCP  
17 Alliance where you do have representation. A lot of thought  
18 and a lot of wisdom has gone into that training scheme and  
19 that predicate training system, and we'd certainly welcome  
20 the Agency.

21 I'm the vice-president of the HACCP Alliance.

1 We'd certainly welcome the Agency to embrace that accredited  
2 training program as it enters what I'd like to call its new  
3 wisdom on this subject.

4 Thank you.

5 MS. HANIGAN: Okay. Question 4.

6 MS. WOTECKI: Before we get there, I was going to  
7 ask is the committee then, based on this discussion, going  
8 to change your response to Question 3 because the question  
9 is should FSIS consider regulatory modifications, and on the  
10 basis of this discussion there was certainly a lot of  
11 alternatives to regulatory modifications that were  
12 discussed, so do you want to change that to a maybe or  
13 further elaborate the first bullet there?

14 MS. HANIGAN: I mean, it's open to the committee.  
15 I had made a reference or Dale had done a reference here  
16 regarding recommending the Petitioners, you know, include  
17 examples.

18 MS. SMITH DEWAAL: I would like to recommend to  
19 the committee that we do change our response here because I  
20 think the goal here is to fix the problem, and we should fix  
21 it as quickly as possible.

1           Modification of this regulation, whenever it  
2 occurs, will take years probably to go through all this  
3 analysis and the process, and if FSIS can fix it through  
4 better training of their employees, I think that would be  
5 the goal here would be to get it as fixed as quickly as  
6 possible.

7           MS. HANIGAN: I guess I wonder about a technical  
8 amendment. We've had a technical amendment one time before  
9 to this regulation, a technical amendment that clearly says  
10 that the prerequisite programs as outlined by the national  
11 micro committee. I mean, what harm, if you would, what  
12 clarification?

13           It seems like that would help us because right now  
14 in the regulatory language it's just so gray. I'm not sure.

15           If they did an instructional correction, I think you're  
16 still going to have district by district, plant by plant  
17 interpretation of what is a GMP then.

18           MS. SMITH DEWAAL: Yes, and every once in a while  
19 the horns I have for being a lawyer poke up, but you get  
20 into this issue of constantly trying to amend something to  
21 deal with very specific situations.

1           I think if there -- I mean, the entire HACCP  
2 regulation was based on the concept of prerequisite programs  
3 with HACCP layered on top of those programs, and if a  
4 clarification to the inspectors is what's needed, I think we  
5 will just get a much faster result.

6           We risk going in there and trying to tinker with  
7 every, you know, well, is sanitation -- is this element of  
8 sanitation part of HACCP, or is it part of -- you know, you  
9 get into these gray areas where suddenly you're ending up  
10 with a very specific regulation that needs to be amended  
11 every six months to deal with the latest crisis in the  
12 industry.

13           MR. BILLY: Rosemary?

14           MS. MUCKLOW: With all due respect, the parties to  
15 the petition came in after considerable thought, and I would  
16 tell this committee there was significant compromise between  
17 the organizations that met to submit this.

18           This is not tinkering with the regulation. This  
19 is a very serious effort to try to correct some things after  
20 several years of HACCP that has become apparent at the  
21 operating level of the program to make it really work in

1 plants.

2 I appreciate the work of the subcommittee and the  
3 distinguished service of people who understand what HACCP is  
4 both from a science based and from an operating base in the  
5 industry, and the industry has not done this before. I  
6 don't think it's probably planning to do it again in another  
7 six months.

8 This is a very serious, serious effort, and I  
9 think that the people who met last night are probably  
10 bringing some very important recommendations to the table  
11 this morning. I don't think we'll be doing this again in  
12 any great hurry. There are no promises, but it isn't  
13 tinkering with this regulation every six months.

14 MR. BILLY: It sounds like there's not a consensus  
15 in the full committee, so --

16 MS. HANIGAN: Can I just make one --

17 MR. BILLY: -- if you want to -- I mean, another  
18 way of modifying it is saying that there was not a consensus  
19 in the committee, the full committee.

20 MS. HANIGAN: And I am not opposed to showing that  
21 there is not a consensus in the full committee. I think a

1 lot of good information is going to come back when the micro  
2 committee gives us their interpretation and intent of these  
3 definitions, but I think it would be fairer to say there was  
4 not a consensus in the full committee on it because clearly  
5 there is not.

6 MS. WOTECKI: Tom, part of my reason for posing  
7 that question was based on the discussion that had gone on  
8 here and also by the fact that the way that that first  
9 bullet is worded is very definitive, yet back on the first  
10 question where you're making a recommendation that we seek  
11 further clarification from the micro committee as to their  
12 intent in the definitions, this first bullet under No. 3  
13 seems to presuppose the outcome of that, so it seems much  
14 more definitive in the context of the answers to the other  
15 questions that are posed than is warranted.

16 MS. HANIGAN: Could I recommend these changes to  
17 these bullet points? If I could just read them?

18 Okay. The first bullet point then would say there  
19 was not a consensus in the full committee. Bullet Point No.  
20 2 would have to be changed to say some committee members  
21 feel too much is being placed in the HACCP plan. That would

1 be the only change to Bullet Point 2.

2           Three would stand as written, and then the fourth  
3 bullet point that would be added would be recommend the  
4 Petitioners provide specific examples where Agency's  
5 inspectors' recommendations for writing HACCP plans have  
6 been too inclusive. We need examples.

7           Would that be acceptable to the committee?

8           MR. BILLY: Dale?

9           MR. MORSE: I was just looking at how we got to  
10 the way the question was asked, should FSIS consider  
11 regulatory modification, so that the yes was sort of to  
12 consider.

13           I think we had a lot of debate whether there would  
14 be regulatory changes or whether it would just be  
15 interpretation, you know. There's this debate over whether  
16 you really need to go to regulations or your interpretation,  
17 your better definitions, would handle this. The reason it  
18 looked like it was a clear response was the way the question  
19 was asked, but I --

20           MS. HANIGAN: Yes.

21           MR. MORSE: That was probably not the intent to be

1 that strong for all the committee.

2 MR. BILLY: Well, I think the yes by itself, given  
3 the language of the question, is okay, but the sentence --

4 FEMALE VOICE: The second sentence.

5 MR. BILLY: -- is declarative.

6 MR. MORSE: Should it be modified to read that  
7 FSIS should consider, which is basically rephrasing the  
8 answer to be consistent with the question?

9 MR. BILLY: How about something, Dale, more FSIS  
10 should consider regulatory modifications or interpretations  
11 that would acknowledge prerequisite programs?

12 MR. MORSE: That's fine.

13 MS. HANIGAN: Well, get it fine tuned, and then  
14 we'll submit it back to Mike.

15 MR. MAMMINGA: Yes.

16 MS. HANIGAN: Is that okay with you? All right.

17 Okay. No. 4, do FDA regulations such as GMP  
18 regulations offer an approach that FSIS should consider?  
19 How would such an approach fit within the HACCP concept, and  
20 how would FSIS implement such an approach?

21 We could not answer this question because we did

1 not have the technical knowledge of the FDA regulations.  
2 Our only response was we recommend that the Agency provide  
3 the FDA GMP document and what is in the FDA HACCP  
4 regulations, and then we'd like to see this discussed again  
5 at our next advisory committee meeting.

6 MR. BILLY: In fact, you need to change it to it's  
7 not a document, the FDA GMPs plural, because there's a whole  
8 series that relate to foods.

9 MS. HANIGAN: Okay.

10 MR. BILLY: I think in the context you're talking  
11 about it's relevant to look at all of them.

12 MS. HANIGAN: Okay.

13 MR. BILLY: There's the umbrella GMP, but then  
14 there is a specific one, for example, for fish that's very  
15 specific --

16 MS. HANIGAN: Okay.

17 MR. BILLY: -- and a whole series of others for  
18 different foods.

19 MS. HANIGAN: Questions on 4? No? Okay.

20 No. 5. What will the effects of making FSIS and  
21 FDA HACCP regulatory requirements dissimilar? What will be

1 the effect?

2 That kind of stumped us, this question. Our  
3 response is just basically FSIS and FDA regulations have  
4 similarities. Currently there's some differences. They  
5 exist in interpretation, implementation and enforcement.  
6 The differences also occur because of FSIS and FDA statutory  
7 authority and regulatory approaches.

8 We did not answer that question, but they were  
9 already similar and dissimilar, so we did not answer.

10 MR. BILLY: Caroline?

11 MS. SMITH DEWAAL: No. Go ahead.

12 MR. BILLY: The only point I would make here is  
13 that there are at least 700 establishments where both FDA  
14 and USDA HACCP regulations apply to them, and those  
15 establishments do care about differences.

16 MS. SMITH DEWAAL: The other point I would make is  
17 the definition of hazard analysis is so central to HACCP  
18 that I think we need to be very cautious in making huge  
19 differences, in making changes that would result in big  
20 differences between FDA and USDA's HACCP regulation.

21 I criticize the fact that the two regulations are

1 so different, and I will provide for the committee an  
2 article I wrote that describes the differences between FDA's  
3 HACCP regulation for low acid canned food, for seafood with  
4 the meat and poultry regulation, and that's a public article  
5 in the *Food and Drug Law Journal* that I wrote a couple years  
6 ago, so I'll give part of the analysis that I did, but I do  
7 think we need to be very cautious with respect to the  
8 definition of hazard analysis because it is so central to  
9 HACCP.

10 MS. HANIGAN: Okay. Question 6. Should the  
11 changes suggested in the industry's petition be considered  
12 in light of their views expressed on HACCP by CODEX and the  
13 other countries?

14 We clearly felt yes, and that was evident by our  
15 previous recommendation to include CODEX in that side by  
16 side comparison.

17 MR. BILLY: This is significant because, and  
18 someone can correct me if I'm wrong because I haven't looked  
19 at it in awhile, but I believe the CODEX HACCP  
20 recommendations include both quality and safety in HACCP, so  
21 the side by side I think --

1 I'm not disagreeing with you. I'm just observing  
2 that as you broaden this out internationally you get some  
3 significant different views about what is included in HACCP.  
4 It will make for an interesting comparison.

5 Lee?

6 MR. JAN: I'd like to if I may go back just one  
7 back to No. 5 because that question is a little confusing to  
8 me, I guess.

9 I'm not able to really comment about the  
10 dissimilar parts of the HACCP regulations except for one  
11 area that I think is very dissimilar, and maybe the Agency  
12 can work with FDA to make it a little more consistent, and  
13 that's that HACCP is mandatory in meat and poultry and is  
14 not mandatory in similar processes at retail, grinding  
15 operations and all the meat processing at retail. There's  
16 no mandatory HACCP requirement.

17 I think that it's kind of related to this question  
18 except, I mean, you know, you're saying here making them  
19 dissimilar. Well, they're already dissimilar in that area.

20 I think we should -- some effort should be made to make  
21 them more similar.

1 MALE VOICE: I'll vote in favor of that.

2 MS. SMITH DEWAAL: Can I just ask a question for  
3 clarification? I believe the states regulate grinding  
4 operations occurring at least like in the back of a grocery  
5 store or in the retail operations, so I guess I'm wondering  
6 how we could -- I mean, FDA can't mandate stuff at retail  
7 because the states regulate it, but correct me if I'm wrong.

8 MR. BILLY: Dan?

9 MR. LAFONTAINE: We're off on a tangent I realize,  
10 but what Lee is speaking about, and I'll speak for myself,  
11 is those meat markets that are in fact regulated by the  
12 state health department now, but are doing a significant  
13 amount of wholesale product, up to 42,000 pounds a year to  
14 wholesale customers that are in direct competition with  
15 either state or federal plants with a grant of inspection.

16 So what we're talking about, Caroline, is those  
17 plants, not your average meat market, but those meat markets  
18 that are doing a significant amount of business beyond their  
19 counter to hotels, restaurants, feeding institutions.

20 MS. SMITH DEWAAL: And you're saying those are  
21 being regulated by the Food and Drug Administrative, because

1 that's kind of scary given the fact that FDA really doesn't  
2 have inspectors to do even many seafood plants and other  
3 plants that they have major responsibilities for, so there  
4 are major meat plants or grinding operations regulated by  
5 FDA today? Is that what you're telling us?

6 MR. LAFONTAINE: We have the -- you know, as you  
7 well know, anyone, we've got the two umbrellas, you might  
8 say, the USDA and the state meat inspection programs if they  
9 exist, and the FDA and the state health departments, in  
10 whatever guidance they may take from the FDA to implement,  
11 so what I'm saying is we have meat markets that are putting  
12 up to 20 ton of ground beef out to the general public that  
13 are not under the same HACCP, SSOP and salmonella testing as  
14 those plants that happen to be producing enough to be under  
15 a state or federal program.

16 MS. SMITH DEWAAL: That is an example of a gap in  
17 food safety protections which I think is very significant,  
18 and the root cause of that is the fact that we have divided  
19 federal agencies, and we have inconsistent implementation of  
20 the food code by the state and county and local health  
21 departments that regulate.

1           That is truly disturbing to me that you're saying  
2   that that much meat is being produced essentially with no  
3   regulation.

4           MR. LAFONTAINE: One more comment, and I'll break  
5   it off. Even if the states adopted -- every state adopted  
6   the most recent food code verbatim, that would not solve  
7   this problem because they would still not be required to  
8   have SSOPs, HACCP and salmonella testing as a part of their  
9   program.

10          MR. BILLY: Lee?

11          MR. JAN: I'd like to clarify that, you know, what  
12   Dan was talking about, the retail example, and that's a  
13   certain consideration, but I was really saying retail  
14   because there's many customers that buy directly from these  
15   processors that are not afforded the same protection.

16          You know, certainly what Dan brought out is of  
17   consideration. I think we're going to address that later  
18   today. I think it's on the agenda about the retail  
19   exemption for HRI, and to your question the states do  
20   regulate, but they get their guidance or their direction,  
21   and I'm not sure to what extent and how the contracts are

1 read, but they are basically implementing the FDA, the  
2 federal regulations and requirements.

3           If the federal has no requirements for mandatory  
4 HACCP, then how can the states? Most states are not going  
5 to be able to take that. They don't have the staff either  
6 to put them in there, but that doesn't mean that a HACCP  
7 can't be -- shouldn't be required.

8           The records are there. Let that system at least  
9 be better than what's there now, which is nothing except  
10 once a year or however often they can get in there.

11           MS. SMITH DEWAAL: This would be an opportune  
12 moment, I think, to mention to the committee members. We  
13 were asked to review a draft report from the Health and  
14 Human Services Inspector General on FDA's food program.

15           Apparently about 61 percent of food safety  
16 inspections done by FDA right now are being done by the  
17 states, and increasingly they're being done under something  
18 called partnership agreements, which are unaudited  
19 inspections by state government, which are essentially  
20 adopted by FDA. This is a very troubling new development.

21           What it indicates is that increasingly on the FDA

1 side there is no federal inspection. It's all being done by  
2 state government. It raises questions in terms of  
3 uniformity, of standards, which we've already seen in the  
4 retail sector with restaurants, for example, when CSPI did a  
5 report on that topic several years ago, but it also raises  
6 issues about the trade implications are huge because how can  
7 we guarantee equivalent of food safety inspections with our  
8 foreign trading partners when we can't guarantee equivalence  
9 state to state.

10 This report I think is going to raise many  
11 troubling questions for people concerned about food safety  
12 and the regulatory oversight of the sister agency on food  
13 safety.

14 MR. BILLY: Okay, Katie.

15 MS. HANIGAN: I just had a question for Mike. Can  
16 we get these revised and then back to the committee today?

17 MR. MAMMINGA: Uh-huh.

18 MS. HANIGAN: Okay. Thanks.

19 MR. BILLY: Lee, or Terry, I mean?

20 MR. BURKHARDT: I just want to make a suggestion.  
21 It seems to me in looking at this whole issue that there

1 obviously is some concerns with the application and  
2 implementation of HACCP. It was obvious by their concern  
3 that the trade organizations put together.

4 HACCP is supposed to have been based on a system  
5 of cooperation and communication between both. It's obvious  
6 something has broken down there. I'm suggesting, and I'm  
7 going to be doing it in my state, sitting down with the  
8 industry and talking about what are the problems, both with  
9 our inspectors in implementation because this is something  
10 so new and for the industry because it's new for them as  
11 well, and figure out what are the problems. Maybe it's just  
12 some growing pains.

13 I would suggest maybe the industry has done that  
14 already or the Agency, but there's got to be some better  
15 cooperation and communication between the two. That's my  
16 thought.

17 MR. BILLY: All set, Katie?

18 MS. HANIGAN: Yes, sir.

19 MR. BILLY: Let's take a 15 minute break.

20 (Whereupon, a short recess was taken.)

21 MR. BILLY: Okay. The next report is from the

1 subcommittee that addressed the issue of extending USDA's  
2 meat and poultry inspection program to additional species.  
3 Included in that was the issue of the use of nitrites and  
4 nitrates and non-amenable species. Dan?

5 MR. LAFONTAINE: Thank you. We had a good  
6 discussion. We had a good, healthy discussion. We had in  
7 addition to the three committee members that were present we  
8 had representation from the industry involved in this  
9 particular issue in the audience.

10 The first comment I'd like to make is that Dr.  
11 Post and his colleagues put a lot of effort together, as we  
12 asked, to do a good literature research, a bibliography of  
13 known diseases that could possibly be food borne diseases.  
14 To recognize that and to make it a matter of record, we came  
15 up with this background statement to acknowledge that and  
16 what we felt it substantiates. If you'll just bear with me  
17 a minute, I'll read these couple sentences for the record.

18 "Based on the current USDA FSIS literature review,  
19 the summary of diseases known to exist in non-amenable  
20 species substantiates consumption of these species could be  
21 a source of food borne hazards. Poultry species examples

1 are salmonellosis, campylobacteriosis and pesticide  
2 residues. Examples from meat species are salmonellosis, E.  
3 coli 0157:H7, brucellosis, tuberculosis, listeriosis,  
4 urcineosis and pesticide residues."

5 To repeat myself, we felt for the record we needed  
6 to acknowledge the work done, and that information clearly  
7 shows there's a significant number of food borne hazards in  
8 these non-amenable species.

9 Are there any questions or comments on that  
10 statement before we go on?

11 (Pause.)

12 We did develop two recommendations. First an  
13 introductory comment. We have been -- we, the committee,  
14 have been dealing with this topic for several meetings now.

15 Once again, Robert and his colleagues have done quite a bit  
16 of good research and thought process of all the issues, and  
17 it was a consensus of the three committee members that were  
18 present that it was time to move on to what we called an  
19 action plan.

20 Our recommendation is that at the next meeting,  
21 the November, 2000, NAC MPI meeting, present a concept paper

1 that includes an action plan with the following four  
2 elements. Those four elements are the species to be added  
3 as amenable to the Federal Meat Inspection Act and the  
4 Poultry Products Inspection Act; number two, the changes  
5 that would actually be required to the FMIA, the PPIA; and  
6 then the other two big issues, of course, are what is the  
7 financial impact of providing inspection and the staffing  
8 impact of providing inspection.

9 I want to add before I stop that on the financial  
10 and staffing impact that is a work in progress by Dr. Post  
11 gathering what actually is the financial I don't want to say  
12 issues, but the financial analysis or cost analysis of this  
13 type of a change, so although it's a tasking it's not a  
14 brand new tasking.

15 Let me stop right there and see if there are any  
16 comments or questions from my colleagues on the committee.

17 MR. BILLY: Yes, Rosemary?

18 MS. MUCKLOW: Has legislation actually been  
19 presented up on the Hill?

20 MR. LAFONTAINE: Yes. There are I had mentioned  
21 four bills that are --

1 MS. MUCKLOW: Four bills?

2 MR. LAFONTAINE: Right.

3 MS. MUCKLOW: Are they all in one body or the  
4 other?

5 MR. LAFONTAINE: There are three in the House and  
6 one companion on ratites. There is ratites, pigeons, and  
7 rabbits, I think, and --

8 MS. MUCKLOW: Okay. Are they all separate bills,  
9 or are they all potential for being blended together or  
10 what?

11 MR. LAFONTAINE: I can't talk to whether they  
12 could be blended, but they appear to be all separate.

13 MS. MUCKLOW: They're all separate?

14 MR. LAFONTAINE: Well, there's a companion for the  
15 ratites, but the pigeon, rabbits and ratites are separately  
16 -- were separately introduced.

17 MS. MUCKLOW: Okay. So all those Congress people  
18 may need to talk together and get them sort of blended  
19 together maybe?

20 MR. LAFONTAINE: Well, I think also they've only  
21 been introduced. To the best of my knowledge, there haven't

1     been any hearings.

2                   MS. MUCKLOW:   No.   No.

3                   MR. LAFONTAINE:   No.

4                   MS. MUCKLOW:   I'm sure you hear about hearings  
5     through the administration.

6                   MR. LAFONTAINE:   Tom?

7                   MR. BILLY:    Yes.   Dan?

8                   MR. LAFONTAINE:   Rosemary, this issue of these  
9     bills being introduced, and actually I believe one, ratites,  
10    was attached as an amendment to the House Appropriation  
11    Committee.  You know, these type of bills have been  
12    introduced year after year and so far have not been enacted,  
13    so we felt that notwithstanding what might be happening in  
14    Congress that FSIS, in conjunction with the committee,  
15    needed to methodically work up a package, for lack of a  
16    better word, of what was required as far as legislative  
17    changes similar to what we did for the interstate bills.

18                   If they happen to get overtaken by events in  
19    Congress, then so be it.  That's a different issue, even  
20    though it's a collateral issue.  So we're not ignoring it,  
21    but we're recognizing it's a different issue we can't

1 control.

2 MR. BILLY: Katie, and then Lee?

3 MS. HANIGAN: Question to you, Dan, or perhaps  
4 Robert. I was part of this committee last time. How are we  
5 going to make sure that FSIS requests money in the budget  
6 this time because I was surprised yesterday to hear that  
7 money had not been allocated or had been allocated and then  
8 had been removed because it would seem a shame to come into  
9 November with a concept paper and action plan and then be  
10 told no money, so how do we make sure that the money is  
11 clearly put in the budget this time and remains there?

12 MR. LAFONTAINE: Let me start, and then I have to  
13 turn it over to Mr. Billy, I think.

14 It's kind of a chicken and the egg thing, you  
15 know. Do you get the legislative process along far enough  
16 that you need to be serious about putting the money in, or  
17 do you start the money process in anticipation of, you know,  
18 so I'm not -- I guess we have to defer to the Agency how to  
19 work these things when they anticipate a new requirement.

20 MR. BILLY: Probably the best way to address that  
21 area is to use the example of the interstate shipment bill

1 that resulted from the work of this committee and the  
2 concept paper that was developed here.

3           Once we arrived at a consensus here in terms of a  
4 concept paper, that triggered both the drafting of the  
5 administration bill and incorporating into future budget  
6 funds that would see the implementation of those changes and  
7 so it would be fair for this community to assume that that  
8 similar approach would occur.

9           We need to arrive at a consensus of what we're  
10 talking about and have a sense that there's broad support  
11 from all the interested parties as represented by this  
12 committee, and then there will be a response in terms of  
13 dealing with whatever legislative changes might be needed,  
14 as well as the resources to carry it out.

15           MS. HANIGAN: Can I ask you a follow up on that?

16           MR. BILLY: But I assume part of what this  
17 recommendation is about is pinning down what those  
18 resources, resource needs, are. That, as I understand, is  
19 work underway.

20           MS. HANIGAN: So as a follow up, clearly there's  
21 no funds available for this inspection in the next fiscal

1 year, correct?

2 MR. BILLY: Correct.

3 MS. HANIGAN: So then if we come into November and  
4 we have a full committee consensus that we want to move  
5 forward with this, when is your best guess that the first  
6 fiscal year will come when this inspection is actually going  
7 to occur? Would that be 2002?

8 MR. BILLY: I would be -- yes. Well, you're  
9 asking me to predict what Congress might do.

10 MS. HANIGAN: I guess I would just --

11 MR. BILLY: You know, clearly Congress is going to  
12 decide what actions it chooses to take for the remainder of  
13 the session, keeping in mind that this is an election year,  
14 so there have been pretty clear signals that there is not  
15 much that's going to be in the table and work its way  
16 through Congress in the remaining time.

17 MS. HANIGAN: Okay, but --

18 MR. BILLY: So the likelihood is that the next  
19 Congress in January of next year would be in a position to  
20 consider an issue like this.

21 If we arrive at a consensus and have a concept

1 paper in November and then proceed to move forward and it's  
2 supported by the new administration, then you could expect  
3 that action is possible sometime next spring at the  
4 earliest, but that time frame, and the soonest that you  
5 could have it included in the budget would be a year from  
6 October.

7 MS. HANIGAN: So you're not --

8 MR. BILLY: Fiscal 2002.

9 MS. HANIGAN: So you're not permitted to put funds  
10 in the budget ahead of --

11 MR. BILLY: Well, actually --

12 MS. HANIGAN: Ahead of this process?

13 MR. BILLY: Actually, that would be happening.  
14 The budget goes from the President to Congress in the early  
15 part of the year, early 2001, is considered by Congress and  
16 then enacted to begin October 1, 2001, so it would be --  
17 it's possible to have this occur in the right sequence is  
18 what I'm saying. Possible.

19 MS. DONLEY: Let me just kind of follow up with  
20 Katie's train of thought here, if I'm grasping the train of  
21 thought.

1           Are you kind of coming from the position that we  
2 wanted to make sure, and maybe that needs to be specified  
3 here, that this must be a fully funded program and that it  
4 cannot be done at the expense of taking away from the  
5 current level of FSIS inspection, meaning that we're just  
6 not going to add a program without additional funding for  
7 it? Is that kind of where you're coming from on this?

8           MS. HANIGAN: Yes, it is, and I was very surprised  
9 yesterday to see where the status of this was.

10          MS. DONLEY: Well, I would make a recommendation  
11 then that it be clearly spelled out in these recommendations  
12 that we are expecting funding for this, not just adding  
13 another thing for FSIS to do, but it must be fully funded as  
14 well.

15          MR. BILLY: Okay. Lee, and then Rosemary?

16          MR. JAN: One area that I would like to see added  
17 to the recommendations in the concept paper would be a  
18 provision or some explanation on how product that is  
19 currently state inspected can remain to be state inspected  
20 and shipped in interstate commerce so that we don't hurt  
21 existing industry.

1           The biggest -- I think the majority of the  
2 inspected product today is done under state inspection, and  
3 if the interstate commerce shipment bill fails and this  
4 passes, then the markets are going to be closed unless some  
5 provision is made here for state inspected products.

6           MR. BILLY: Okay. Rosemary, and then Nancy?

7           MS. MUCKLOW: I just looked back at our earlier  
8 recommendations, and apparently there was a recommendation  
9 for a concept paper for the mandatory inspection of any  
10 commercial slaughtered birds or mammals for human  
11 consumption unless exempted, and then it goes on and on.  
12 That's back under Tab No. 4.

13           Because of my advanced age my memory fails me some  
14 days, and I would just like to try to be clear, and if we  
15 haven't been clear with the recommendation from this  
16 committee then maybe this is a good time for Dan to  
17 articulate that recommendation out of this committee.

18           Is it the will of this committee that we are  
19 recommending to the Secretary that he seek authority from  
20 the Congress for the inclusion of these other species under  
21 the statutory authority to inspect under mandatory statutory

1 authority?

2           The dilemma that some of the people that are  
3 members of the organization that I have are that if they're  
4 making deer sausage, they usually commingle it with some  
5 meat in order to get the market inspection on the sausage.  
6 If it's X amount of meat and X amount of deer, as long as it  
7 meets the X amount of meat they get it under inspection.  
8 These are games, and we shouldn't be having these kinds of  
9 games.

10           Is it the will of this committee that we are  
11 recommending to the Secretary that he seek the authority as  
12 an amendment to the Meat and Poultry Inspection Act that he  
13 inspect these other species? I don't know whether we  
14 covered that point previously, Dan, or not, whether we've  
15 made it that clear.

16           We can't even begin to talk about money unless we  
17 have the basic authority under the law. That's the way the  
18 Congress works. They appropriate money for that which they  
19 have laws. We don't have this as a clear recommendation, at  
20 least not according to this sheet, this summary sheet.

21           My memory may be failing me on that. I'd like to

1 go back and see if there's a clear articulation out of this  
2 committee as to what we would expect to happen.

3 MR. BILLY: Dan?

4 MR. LAFONTAINE: Let me try to answer that. I'll  
5 give you my opinion, and we'll see what the full committee  
6 says.

7 First of all, as this thing has evolved I believe  
8 it's fair to say there has been a consensus that these  
9 non-amenable species be added as an animal. This  
10 recommendation, although we maybe don't see it crystal  
11 clear, we see an action to do the following.

12 What species should be amenable and what changes  
13 are required to the Acts, so that at least indirectly is  
14 saying let's take some actions to head in that direction, so  
15 that to me if we vote on this and approve these  
16 recommendations we're clearly saying FSIS continue or the  
17 committee supports your efforts to amend the two laws.

18 MR. BILLY: Nancy?

19 MS. DONLEY: I'd just like to respond to something  
20 that Lee had brought up and that is the protection of trade.  
21 This came up in the last advisory meeting, as I recall. I

1 think I weighed it then, as I'm going to weigh it now, is  
2 that that is two separate issues.

3           You can't -- you just cannot ask to have  
4 inspection and then be granted as well an immediate  
5 exemption to current law, so you just have to take your --  
6 the industry has to -- these industries have to decide what  
7 it is that they want most, and that is do they want federal  
8 inspection, or do they want to continue doing business as  
9 usual.

10           We cannot just create a caveat or ask for a --  
11 I'll just say this. I'm vehemently opposed to a caveat that  
12 would automatically exempt them from having to follow the  
13 laws of the current -- the current laws.

14           MR. BILLY: Okay. Do you want to respond to that?

15           MR. LAFONTAINE: Adding on to what Nancy said and,  
16 Lee, your question, although it's not guaranteed by the time  
17 we meet again the first part of November we'll probably have  
18 a pretty clear picture if the interstate shipment bill is  
19 going to fly or not.

20           That's not guaranteed, but with an election year  
21 there's not going to be much activity, you know, after the

1 next few months, so it's an indirect way of saying that that  
2 question may be answered or cleared up before we meet the  
3 next time and look at this issue.

4 MR. JAN: I'd like to at least reserve the right  
5 for the committee that if we go forward with this and the  
6 interstate shipment bill at that time has not been  
7 successful and it's apparent that it will be not be  
8 successful, that the committee does not rely on the decision  
9 made today and have opportunity to reconsider its  
10 recommendations regarding this issue at that time.

11 MR. BILLY: Carol?

12 MS. TUCKER FOREMAN: You know, we may have the  
13 interesting thing that a bill that requires the inspection  
14 of ratites moves faster than interstate inspection.

15 Robert, is there -- I've been told that the bill  
16 reported by the Senate Agriculture -- by the full Senate  
17 Appropriations Committee includes a specific requirement  
18 that you expand mandatory inspection to ratites. Do you  
19 have any knowledge of that?

20 MR. POST: I'm aware of that, yes.

21 MS. TUCKER FOREMAN: So that's in there?

1 MR. POST: Yes.

2 MS. TUCKER FOREMAN: That bill is not ordinarily  
3 amended on the floor of the House. No action has been taken  
4 yet in the Senate, but that's substantially further than  
5 we've moved with interstate commerce.

6 It's also my understanding that there were no  
7 extra funds appropriated to cover ratite inspection. Is  
8 that the case; that the budget is approved basically as  
9 submitted by the Agency so that if there is a mandatory  
10 inspection of ratites it would have to be covered out of  
11 funds that are appropriated for the Agency and, therefore,  
12 in place of activities currently being undertaken?

13 MR. BILLY: I might be able to address that  
14 better. We are troubled by the fact that there is an  
15 amendment to the appropriation bill that would require us to  
16 mandate the inspection of ratites, but there is no provision  
17 of resources to carry that out.

18 That's done in the context of the inspector  
19 shortages and the other issues that we've been trying to  
20 address that were described here yesterday, so we are  
21 concerned about the point that Katie made. It's an issue

1 that hopefully as the legislative process continues it will  
2 be addressed and straightened out appropriately by Congress.

3 MS. TUCKER FOREMAN: I just want to say that, you  
4 know, ordinarily this sort of thing is done by writing the  
5 language in the report. This is an actual amendment, making  
6 it substantially realer.

7 Has the administration conveyed to the Congress or  
8 will the administration convey to the Congress that this  
9 isn't appropriate without some funds, or will you just  
10 oppose the provision?

11 MS. WOTECKI: Well, Carol, it's a little bit early  
12 yet since it still is under consideration within the  
13 committee, but clearly it's an issue.

14 MS. TUCKER FOREMAN: It's my understanding it's  
15 been reported by the full committee.

16 MS. WOTECKI: Oh, has it?

17 MS. TUCKER FOREMAN: Yes.

18 MS. WOTECKI: I'm sorry. Having been out of town  
19 for several days, I'm a little bit behind.

20 MS. TUCKER FOREMAN: Yes. I know you've been out  
21 there where there are no phones.

1 MS. WOTECKI: Exactly. Clearly it's something  
2 that, as Tom has indicated, we were tracking. We were  
3 certainly also very concerned about the lack of additional  
4 funds to support it, and we will be working in our responses  
5 to the appropriators to convey to them our concerns about  
6 it.

7 MS. TUCKER FOREMAN: I think the committee then is  
8 faced with an issue of whether we want to say that Congress  
9 should not move forward on this without appropriating  
10 specific funds or that Congress should not move forward with  
11 it without including other amenable species or that Congress  
12 should not move forward.

13 Those are options available that we can say to the  
14 administration we don't advise the Congress, God knows, that  
15 we would like you to make that known to the Congress.

16 MR. BILLY: Mike?

17 MR. MAMMINGA: The strategy behind the  
18 recommendations is found in lessons that we've learned in  
19 dealing with the Agency, and the folks that have bills in  
20 the Congress right now will probably learn those lessons as  
21 well.

1           In other words, when you raise concerns that there  
2 will not be sufficient monies appropriated to carry it out  
3 and you have concerns that monies might be taken from some  
4 other essential part of the program to pay for that, which  
5 is what's been articulated by all the concerns or, as my  
6 friend from Texas indicates, concerns that if we make them  
7 amenable and interstate commerce doesn't pass then you're  
8 going to pull a lot of plants out, all of those things are  
9 real --

10           Thus the USDA studies the points and perhaps  
11 raises others that the first thing we need to know is A,  
12 what are we going to expect; two, what changes does it  
13 require in the law; three, what's it going to cost; and,  
14 four, do we have the people to cover it.

15           These are the four most basic issues that we could  
16 say to USDA all right, we're going to have to have you look  
17 at this because you're the ones that are going to be most  
18 comfortable in writing the proposed legislation, so by  
19 asking the Agency to do it they can come back to the  
20 committee and through this laborious and yet deliberative  
21 process address these issues instead of trying to run around

1 and stop something on the Hill or support or not support  
2 something.

3           So we're not asking here for legislation word by  
4 word at this time. We're asking that the four most basic  
5 issues be addressed, and there will be others, depending on  
6 interstate commerce, depending on many other things, many  
7 things we can't even think of, but this is a place to start  
8 kind of like we started with other issues, including  
9 interstate commerce.

10           Let's lay out the groundwork. The legislation  
11 will come. The bill will come, and it has its best chance  
12 if FSIS drafts it, goes out to their constituents and sits  
13 here at this table. We simply ask them to define the first  
14 and foremost basic parameters of this legislation. The same  
15 thing goes for the national micro committees to come. We  
16 cannot resolve that today.

17           MR. BILLY: Cathy?

18           MS. WOTECKI: I do think it's appropriate, though,  
19 for the committee as part of your background statement to  
20 express concerns in view of the discussion that you heard  
21 yesterday of resources and staff and also the briefing at

1 the previous meeting about resource issues within the Agency  
2 to express concerns about implementation without, as Mike  
3 pointed out, having done the thorough analysis on the impact  
4 as far as resources, funding as well as personnel.

5 MS. TUCKER FOREMAN: I think it would be useful.

6 MR. BILLY: Dan?

7 MR. LAFONTAINE: I have quickly drafted a sentence  
8 that captures some of this, what Carol mentioned and the  
9 other issue of -- the whole issue of funding and somehow  
10 integrate this into this document that no changes be made to  
11 the FMIA PPIA without concurrent funding for implementation.

12 In other words, that would be a recommendation of  
13 this committee that there not be any changes without  
14 concurrent funding to implement that changes.

15 MS. TUCKER FOREMAN: I'm really quite taken with  
16 the recommendations. I think they're such an orderly  
17 process. As long as the recommendation makes clear that we  
18 think that the department needs to go through this orderly  
19 process, as well as having adequate funding available, then  
20 I think that would be terrific, Dan.

21 MR. BILLY: Okay. Caroline?

1 MS. SMITH DEWAAL: I just can't resist raising the  
2 fact that there are many segments of our food supply that  
3 aren't adequately covered, and in fact this year in the  
4 appropriations process we are fighting right now to get  
5 funding for the President's egg safety initiative.

6 Now, that's a product that causes 600,000  
7 illnesses a year and about 300 deaths, so I just -- as we  
8 look at the resource issues of amenable species I really  
9 think the committee just needs to be very sensitive to the  
10 fact that there -- I, for one, will not support putting  
11 money towards this until we get full funding for the egg  
12 safety plan and some other things which actually are a  
13 higher priority in terms of food safety funding, so I just  
14 can't resist adding that point about the rest of the food.

15 MR. BILLY: Nancy?

16 MS. DONLEY: Just a brief -- perhaps in the  
17 recommendations if we change the word impact to the word  
18 needs so the financial needs of providing inspection and the  
19 staffing needs of providing inspection makes it a little  
20 clearer that it's not at the expense of something else.

21 MR. BILLY: Dan?

1 MR. LAFONTAINE: Yes. I want to try to bring this  
2 to fruition if committee members have had adequate time to  
3 air their concerns. I have no -- first of all, on Nancy's  
4 suggestion that would be fine with me to change it to needs  
5 if she and other members feel that's more definitive.

6 What I need to know from the committee is do we  
7 need to add any additional words such as what I read a few  
8 moments ago, and if we do then I'll do so as I read. What  
9 is the pleasure of the full committee to add a sentence  
10 along these lines that no changes be made to the FMI PPI  
11 without concurrent funding for implementation?

12 MALE VOICE: Resources for implementation.

13 MR. LAFONTAINE: Without current resources --

14 MALE VOICE: Concurrent resources.

15 MR. LAFONTAINE: -- instead of funding? Okay.

16 MS. SMITH DEWAAL: Could we make it a little more  
17 specific that no changes to the FMI or PPIA until -- on the  
18 issue of amenable special or something that just makes it a  
19 little more limited because it's a very broad statement this  
20 way.

21 MR. LAFONTAINE: Yes. I mean, I hear what you're

1 saying, but we're talking about the issue of amenable  
2 species as part of this document, so I don't think that's  
3 necessary.

4 MS. HANIGAN: I agree with your statement, Dan.

5 MR. LAFONTAINE: All right.

6 MR. BILLY: Good.

7 MS. JOHNSON: Let's add the statement.

8 MR. LAFONTAINE: Let me, if it's appropriate as  
9 the chairman of this subcommittee. I don't know. Maybe I  
10 should defer to you, Mr. Billy. Do we have a consensus?

11 MR. BILLY: I think you have a consensus.

12 FEMALE VOICE: Yes. Yes.

13 MR. BILLY: You have a consensus, yes.

14 MR. LAFONTAINE: All right. Okay.

15 Let me go on to the last point then. The other  
16 issue was the whole business of nitrites. We spent a fair  
17 amount of time but came back full circle that the whole  
18 issue is in the hands of the FDA and the national toxicology  
19 program, which will report out at least one study tomorrow,  
20 so realizing that we're not going to get anywhere on this  
21 issue until we hear the latest from FDA, we felt that at the

1 next meeting a copy of what was said about this issue at  
2 tomorrow's meeting and then what action, if any -- well,  
3 actually, a briefing on what subsequent FDA action has been  
4 taken.

5 I guess I'll paraphrase that by I would like to  
6 suggest that FDA actually come talk to us about that rather  
7 than getting it secondhand. If they have very little to say  
8 then so be it, but this could be a show stopper on this  
9 whole issue. That is our recommendation that a copy of the  
10 report be provided and a briefing on the FDA subsequent  
11 action.

12 MR. BILLY: You might want to change the language  
13 then. A briefing by FDA on subsequent planned actions.

14 MR. LAFONTAINE: Yes. Okay. I'll do that.

15 Any comments or questions on that from the  
16 committee? Okay. I think we're finished then. I'll add  
17 this sentence in here for everyone.

18 MR. BILLY: Let's move on to the third  
19 subcommittee. It was chaired by Carol Foreman, and it had  
20 two issues. One was E. coli 0157:H7 developments, and the  
21 second was listeria monocytogenes development.

1 Carol?

2 MS. TUCKER FOREMAN: I think the documents are  
3 being passed out right now. The Agency asked us to comment  
4 on the Agency's current thinking on measures to control E.  
5 coli 0157:H7 in a HACCP environment and what additional  
6 measures the Agency should take to address E. coli 0157:H7.

7 We commented on each of the five points of the  
8 action plan. Let me get over here. If everybody just  
9 refers to their document on E. coli and the page 2 on the  
10 action plan, you can read along.

11 Oh, I'm sorry. We handled listeria first, but I  
12 was embarrassed that we didn't finish our listeria  
13 discussion, so I was going to act like we ran out of time.

14 I'll give you a minute to look at the  
15 subcommittee's recommendations or comments on the action  
16 plan if you want to take just a second to look at those.

17 MS. MUCKLOW: Which one are we doing first, Carol?

18 MS. TUCKER FOREMAN: E. coli. Our comments, one  
19 through four, were based on the points in the action plan.

20 (Pause.)

21 MS. TUCKER FOREMAN: Okay. The first point was

1 based on available data presented at the recent public  
2 meeting, FSIS believes that E. coli 0157:H7 may be a food  
3 hazard that is reasonably likely to occur in beef  
4 production. The subcommittee was in agreement that in fact  
5 it is a hazard to be addressed in the slaughter hazard  
6 analysis.

7           No. 2, following publication of this notice FSIS  
8 would expect all establishments engaged in beef production  
9 and processing, so on and so forth. We were generally in  
10 agreement with that statement, but rather than FSIS would  
11 expect all establishments to reassess their HACCP plans, we  
12 suggested it read all establishments must reassess the HACCP  
13 plans.

14           On No. 3, redesigning FSIS' redesign of its  
15 testing program so that it can operate as a HACCP  
16 verification activity, we were pretty much in agreement with  
17 that proposal as it was written.

18           Four, FSIS would revise Directive 10010.1 to  
19 reflect the revised testing program. We had some  
20 disagreement on that. Some of us thought that the word  
21 verification ought to be added in the third line so it said

1 included verified controls should be verified instead of  
2 verification, but some folks thought that that was redundant  
3 in the HACCP concept.

4           On Statement 5, I guess I was sleepy last night.  
5 I didn't put that one in. We were basically in agreement  
6 with the action plan statement, and then on the question  
7 raised up above that FSIS is open to excluding certain  
8 non-intact products, the subcommittee had a fairly good  
9 discussion there and really decided that FSIS should ask ARS  
10 to study the safety of non-intact beef cuts and how they can  
11 be served safely.

12           In other words, we didn't think there was enough  
13 data to understand whether or not it was necessary to  
14 subject those products to mandatory E. coli verification,  
15 but thought that we needed some data. We were particularly  
16 concerned.

17           Actually, Lee, I think you're the one that  
18 commented on that. Either now or when the time comes, why  
19 don't you --

20           MR. JAN: My issue was that we have the Kansas  
21 study that says that basically if a steak has been needle

1 tenderized or considered non-intact is cooked to rare it's  
2 safe, but I'm not sure that that's a final study and that  
3 there's a lot a confidence in that study. It hasn't really  
4 been peer reviewed or whatever to make it legitimate.

5           The Agency has a research service, ARS, and FSIS  
6 should ask them to do some independent studies on that. The  
7 concern even goes beyond meat and poultry processing, but  
8 restaurants, particularly those restaurants, steak houses  
9 such as Bonanza or Best Western or Sizzler or those that  
10 have some of the less expensive cuts often use these type  
11 steaks, and people order them rare or medium rare.

12           If that's a risk, then that need to be addressed  
13 in some manner. Through education would be one way. The  
14 other would be, you know, possibly a labeling or whatever.  
15 Obviously included in the HACCP system about specifically  
16 addressing E. coli it was determined that it is a hazard  
17 reasonably likely to occur, so that was kind of where we was  
18 coming from on that one.

19           MR. BILLY: Katie?

20           MS. HANIGAN: Carol, could you tell me one more  
21 time No. 4? I'm not sure. Tell me again how that works.

1 MS. TUCKER FOREMAN: I'm sorry. The subcommittee  
2 was in agreement with the overall action plan proposal, and  
3 some members of the committee thought that in the third line  
4 there where it says just -- I'll read you the second  
5 sentence. "Current FSIS thinking is to provide for reduced  
6 Agency sampling in establishments that have included  
7 verified controls for the pathogens in their HACCP plans."

8 Some of the members wanted to insert the word  
9 verified there. Others thought that it was redundant, that  
10 it was inherent in that HACCP activity.

11 MS. HANIGAN: I don't think it would be redundant.

12 MS. TUCKER FOREMAN: Okay.

13 MR. BILLY: Carol, or Caroline, I mean?

14 MS. SMITH DEWAAL: Just to speak on that briefly,  
15 the language with access to records of plant test results  
16 and corrective actions doesn't give me full confidence that  
17 what we're talking about is a microbial testing verification  
18 program, which seems to be implied in the language, but  
19 isn't specific, so that's really what the discussion was  
20 about. Thank you for your opinion.

21 MR. BILLY: Nancy?

1 MS. DONLEY: I'd like to ask a specific question  
2 of the Agency on this to kind of more fully understand what  
3 you're thinking, how directly the 10010.1 will be changed.

4 Let me give you a scenario. Slaughterhouse A does  
5 have some sort of a carcass, let's say a carcass sampling  
6 program for 0157:H7. Processing Plant B -- I'm going to  
7 give you two scenarios. Processing Plant B buys its raw  
8 product from Slaughter Plant A. Retailer C buys all its  
9 ground product from Processor B. Who would be subject to  
10 testing under FSIS' random sampling program?

11 MR. BILLY: Judy?

12 MS. RIGGINS: We would collect some set of  
13 verification samples at all three sites. We would expect  
14 that when the slaughter plant does its hazard analysis that  
15 there would be interventions in place to address 0157:H7.

16 At Plant B, which is processing, we would expect  
17 in their hazard analysis to make some determinations about  
18 the likelihood of 0157:H7, and some of the things that they  
19 might employ would be agreements with their supplier, which  
20 is Plant A, that would give them assurance that they are in  
21 fact using interventions, lactic acid sprays, steam

1 pasteurization, hot water pasteurization, other  
2 interventions that would minimize the 0157:H7 so that they  
3 would have some idea of the microbial load coming into the  
4 plant.

5           They could then account for that and make some  
6 decisions based on what they know about their own process to  
7 determine what additional steps they would need to put in  
8 place at processing.

9           With respect to C, which I'm assuming is  
10 retail, --

11           MS. DONLEY: Retail.

12           MS. RIGGINS: -- we would expect that there would  
13 be again acknowledgement of 0157:H7 as a likely hazard and  
14 that again there would be some serious communication between  
15 the processing plant and the retailer to make certain that  
16 there are hurdles in place in the processing plant so that  
17 when incoming product is received at the retailer they have  
18 some assurances about the microbial load that they are  
19 receiving in that product.

20           MS. DONLEY: So how does that differ from what  
21 10010.1 is today?

1 MS. RIGGINS: Right now it is not explicit about  
2 the expectation of the Agency with respect to those  
3 communications that need to take place about the hazard,  
4 that there is acknowledgement that there is a hazard  
5 reasonably likely to occur, first of all, and that there are  
6 proper or appropriate communications in the ongoing activity  
7 every day, ongoing daily operation of all three components,  
8 A, B and C, that account for and address that particular  
9 hazard from 0157:H7.

10 Right now our sense is that some companies are  
11 operating independently, are not looking at the whole  
12 system, which would be if you're looking at a risk analysis  
13 system would be -- it would include all A, B and C  
14 activities, and that's what we are trying to encourage  
15 through this directive, which would result hopefully in a  
16 much more comprehensive approach to 0157:H7 given the  
17 difficulty that we have because it's present in low numbers.

18 It's difficult to detect. There needs to be some  
19 systematic approach in the industry, understanding that each  
20 component in the industry has a role in addressing this  
21 particular hazard. That's what we're trying to --

1           MR. BILLY: Let me add something. As stated here  
2 in four, we would also intend to acknowledge when those  
3 kinds of interactions and verification, testing and so forth  
4 occurs through providing opportunity for reduced sampling as  
5 stated here so that if in fact there are good controls and  
6 there's that kind of interaction there would be an  
7 opportunity for us as regulators to take advantage of that  
8 and focus our sampling activities appropriately.

9           MS. DONLEY: I guess, Tom --

10          MR. BILLY: Let me make one other point. Our  
11 current sampling directive doesn't include slaughter. This  
12 would. You know, given the study that the industry did and  
13 another study ARS did and other information that is  
14 available to us, it's very clear that more sampling and  
15 testing at slaughter can have a very significant impact.

16                 We want to as part of this encourage that because  
17 we think that can have some very big ramifications in terms  
18 of what happens subsequently in decisions that could be made  
19 by large and small grinders and retailers and so forth.

20                 I just -- you know, some of the largest fast food  
21 chains have already taken steps in the private sector to

1 deal with this kind of strategy, and we've seen and they've  
2 provided to us information to show us the very positive  
3 impact this kind of approach can have. We think that we'd  
4 like to see that across the board, so that's the sense of  
5 this.

6 Nancy?

7 MS. DONLEY: I guess my concern is that there's  
8 sampling programs, and there's sampling programs or  
9 intervention programs, and there's good intervention  
10 programs.

11 The industry's original plan came up with testing  
12 one in every 300 carcasses, and then they wanted to have  
13 that exemption -- for lack of a better term, I'm going to  
14 use the word exemption -- or non-targeting, if you will,  
15 passed along from plants that test, do some sort of a one  
16 every 300 carcass swab. Product produced from that plant  
17 was non-targeted or exempt all the way through retail.

18 I find that very problematic, very, very  
19 problematic. I don't know if every one in 300 -- I can  
20 devise a sampling plant, and I guarantee you it won't be  
21 good. One out of every 300. I never knew where that came

1 from for the generic E. coli, and I don't know where it  
2 comes -- I know where it comes from from here. They're  
3 mirroring what's going on in generic E. coli testing.

4           There has to be -- for consumer confidence, there  
5 has to be some sort of validation built into these programs  
6 that companies are wanting to do, and FSIS has to recognize  
7 that these are valid programs and, therefore, will not be  
8 targeted or will be "exempt." That's my real concern that  
9 we just don't have empty words here. I'm all for giving  
10 company incentives to do things, but there has to be  
11 something behind the programs.

12           MR. BILLY: Lee?

13           MR. JAN: I think, you know, we're talking about  
14 testing, and it sounds like we're saying that if we test or  
15 if the plants test for E. coli 0157:H7 then the Agency would  
16 test less often, or that's the idea here, but I'm concerned  
17 that we're saying that testing is a control or a way to  
18 control E. coli.

19           You can't test it away. You test one in 300, if  
20 that's what you're using. There's 299 that any one of which  
21 could be positive. I think we need to put the emphasis on

1 controls and have testing of part of that in their HACCP  
2 plan, but they identify that it's a hazard, and they  
3 implement a control for that hazard, but not state that they  
4 must be testing because we've gone through that argument  
5 years ago when we were developing this rule that testing for  
6 E. coli 0157:H7 is not a good way to control it, so we need  
7 to be sure that we --

8 MR. BILLY: Well, that's why you need to read this  
9 action plan in the sequence that it's presented because  
10 that's what it's doing. It's showing that, you know, it's a  
11 hazard reasonably likely to occur. It needs to be addressed  
12 in HACCP.

13 MS. TUCKER FOREMAN: We said it's a hazard that  
14 will occur.

15 MR. BILLY: Will occur. Sorry. Each of these  
16 builds on the other as a basis, so I think it's  
17 accomplishing what you're saying. If you just read No. 4  
18 out of context of the others then I can see where there  
19 might be a concern, but I think that you need to take this  
20 as a sum total, and it's intended to be read that way. I  
21 hope that helps.

1 Caroline?

2 MS. SMITH DEWAAL: Just to follow up on what Lee  
3 is saying, I am comfortable with the way validation is  
4 brought into this action plan, but I think the effort of the  
5 subcommittee in saying that the word verification really  
6 needs to be added to No. 4 because it's not clear to me that  
7 the controls that they're talking about are referencing this  
8 study, which we talked about this study.

9 This is the beef industry coalition study showing  
10 that in this plants they tested at three different points.  
11 They tested with the hide on, -- this is for E. coli 0157:H7  
12 -- prior to washing and following intervention. What the  
13 testing regime clearly showed, it was clearly an excellent  
14 validation exercise because it showed where they had 0157:H7  
15 on their carcasses their interventions were addressing it.

16 Verification testing, and the subcommittee talked  
17 about this at great length last night. Verification testing  
18 is just these zeros following intervention, and yet in  
19 plants where you didn't have zeros there you would know that  
20 your process was not in control.

21 I think it was the subcommittee's recommendation

1 following discussion on this particular excellent study by  
2 the industry that the word verification added to No. 4 or  
3 the concept of verification meaning this type of a testing  
4 regime would make it clearer for everyone involved that  
5 we're not just talking about controls, you know, that are  
6 unmonitored, but that they need to have ongoing verification  
7 that those controls could work.

8 MR. BILLY: Rosemary?

9 MS. MUCKLOW: HACCP is a prevention system. The  
10 industry has been pleased to work with the Agency to do  
11 everything that it can and wants to do to prevent any  
12 undesirable biological, chemical, physical attributes that  
13 are undesirable in its end product.

14 It also is anxious to eliminate those defects as  
15 early in the process as it possibly can. If we could get  
16 rid of it before the animal comes into a slaughter plant, we  
17 would. That is a very difficult situation. I'm sure we  
18 will be moving more and more to trying to get rid of it in  
19 its earliest possible stages.

20 Given in today's environment that we can't do  
21 that, the slaughterhouse is the next logical place. We

1 cannot test undesirable biological elements out of the  
2 product. We can set up systems to substantially reduce any  
3 such biological defects, if you will, but there's nothing  
4 that happens in a slaughter plant that can absolutely kill  
5 that microorganism.

6 We can do a great deal to reduce it, and I think  
7 that the industry survey demonstrates the fact that that can  
8 be done, and we should have every possible encouragement to  
9 do that, but we cannot reduce E. coli to an acceptable level  
10 because there is no acceptable level, and we do not have an  
11 absolute kill step in the slaughter plant.

12 My concern is to make sure that we do not set up a  
13 Catch 22 that suggests that indeed the process that we are  
14 advocating is a kill step when it is a substantial reduction  
15 step, and that needs to be kept in mind as the Agency moves  
16 forward.

17 The industry has demonstrated and is willing to be  
18 highly cooperative with the Agency to take every possible  
19 action that it can in slaughter operations to reduce this  
20 biological hazard. We don't like it any better than anybody  
21 else in this room, but we are the narrow part of the funnel

1 that is trying to make that reduction.

2           You certainly have my commitment, the commitment  
3 of my organization, and I know that the other signers of the  
4 material that was developed feel very strongly that this  
5 action is one that this industry is committed to. It has  
6 spent millions of dollars in order to try to establish these  
7 prevention systems and systems that will reduce. My great  
8 concern is that we should not set up a system that is going  
9 to systematically fail every slaughter plant in this country  
10 sooner or later, and that is something that the Agency needs  
11 to keep in mind as it moves forward.

12           I would like to offer you today a preliminary copy  
13 of the conclusions that were developed by a task force at  
14 the International Livestock Congress in Houston. They were  
15 developed by Dr. Colin Gill, who worked with microbiologists  
16 from our country, including microbiologists across the  
17 spectrum, Dr. Thino, Dr. Nickelson, Dr. Hollingsworth and  
18 others, who were all present. These were all distinguished  
19 individuals who know a great deal about HACCP systems and  
20 testing systems. The list of microbiologists is not here,  
21 but it can be provided.

1           They set up a paper, which Dr. Gill has described  
2 as microbiological testing and the safety of beef. It  
3 describes what you can and what you can't do in a  
4 microbiological testing system, and I would strongly  
5 recommend to you that we convene a session at a future  
6 advisory committee to describe what can and cannot be  
7 accomplished in microbiological testing.

8           Dr. Gill has certainly described it well in this  
9 paper. It will be published for scientific review. It has  
10 not yet been published, but if it is acceptable to you I  
11 would like to provide you his preliminary copy, and I'm sure  
12 it will be available in the scientific literature at some  
13 time in the not too distant future.

14           I think it might be helpful for people to  
15 understand what a microbiological testing system can and  
16 cannot do. Unfortunately, the microbes go to school every  
17 day to learn new ways in which to bypass the systems, the  
18 prevention systems that we design to remove them. They are  
19 very, very skillful.

20           Mother nature -- and other people have alluded to  
21 that around this table -- is very, very clever in bypassing

1 the systems that we set up, and our industry, the meat  
2 industry that I represent at this table, has been highly  
3 innovative and highly invested to make our product as safe  
4 as possible. We cannot test our way out of the system.

5 Mike, I would like to --

6 MR. BILLY: Okay. Carol?

7 MS. TUCKER FOREMAN: Going back to No. 4 or,  
8 actually, going to all of the points in the action plan, I  
9 think the point was made on No. 4 that we're talking about a  
10 verified control system that is in the context of a HACCP  
11 plan.

12 I think I'd have to quote back to the committee  
13 what I think will become the immortal words of Mike Mamminga  
14 that the industry will do what the industry has to do, and  
15 the government will do what the government has to do as long  
16 as this is a program that is set forth to meet the  
17 requirements of having sealed that says the government has  
18 inspected the product.

19 There will be requirements that are outside the  
20 narrowest definition of HACCP and those that are necessary  
21 to meet the public's assurance that the government is

1 approving a product that is as safe as it can reasonably be.

2 MR. BILLY: On that basis, the committee might  
3 want to consider then modifying No. 4. I have a sense that  
4 there is pretty wide agreement that verification clarifies  
5 what we're talking about here.

6 MS. TUCKER FOREMAN: Well, we had included  
7 verified controls. I just --

8 MR. BILLY: Okay. Yes. I wasn't clear. It seems  
9 if you're including it then I don't know if you need to say  
10 it, but some found the addition of the word redundant. I  
11 don't know if people still feel that way or not, but I would  
12 just --

13 MS. TUCKER FOREMAN: I think I would characterize  
14 the committee as not being opposed to the --

15 MR. BILLY: Okay.

16 MS. TUCKER FOREMAN: -- notion of verified  
17 controls. It was a question of whether that was already  
18 inherent in what was said there. If there was an agreement  
19 around the table that it reinforces that then we could  
20 probably -- based on our discussion, we could probably put  
21 it in.

1 MR. BILLY: Okay. Lee, and then Rosemary?

2 MR. JAN: If I can make a point? I was the one  
3 concerned about or felt that it was redundant because if we  
4 have controls under HACCP, one of the seven principles  
5 includes verification and so it would seem to me that if  
6 we're talking controls, the controls we're talking about are  
7 in the HACCP system. Then we've already got verification.

8 Then when you plug in the verified controls then  
9 that implies that someone else has verified that; at least  
10 it does to me. Who is going to verify this? FSIS already  
11 said they're not in the business of verifying or approving  
12 HACCP plans, so then are we now going to get into a business  
13 of saying -- is FSIS going to say well, we have to verify  
14 it?

15 That was my concern, and I felt that verification  
16 -- being one of the seven principles, it didn't need to be  
17 restated. I have no objection. You know, if you feel like  
18 you want it that's fine.

19 MR. BILLY: Rosemary, and then Caroline?

20 MS. MUCKLOW: I would agree with Dr. Jan, and I  
21 would suggest if there is a movement to add the word

1 verified we need to talk to the extent that is  
2 technologically feasible. I would agree with Dr. Jan. The  
3 word is redundant.

4 MR. BILLY: Caroline?

5 MS. SMITH DEWAAL: My fuzzy recollection is that  
6 verification is an entirely separate element of the  
7 principles of HACCP. I think verification is -- there is a  
8 hazard analysis, and there are critical control points and  
9 critical limits and there's verification, so in that sense  
10 everything is redundant. You know, controls implies hazard  
11 analysis. Controls implies critical. I mean, the bottom  
12 line is this is a system. This is a regulatory system.  
13 It's got to give consumers confidence that it's working.

14 We had HACCP in place in the Delmar plant. We had  
15 even environmental testing in place. Unfortunately, that  
16 wasn't enough to prevent a major outbreak from those  
17 products. On these, HACCP works best.

18 I think that the implementation of this HACCP rule  
19 has shown us over and over again that HACCP works best where  
20 it is being verified using microbial testing and the  
21 tremendous success of the poultry industry and many of the

1 other industries in reducing their salmonella rates is  
2 evidence enough for the public that this system is working  
3 and is worth supporting.

4 I think the simple clarification that we're making  
5 here is one that will certainly give the public who doesn't  
6 know all the seven principles of HACCP much greater  
7 confidence that we're talking about the same thing.

8 MR. BILLY: Perhaps we'd like to leave the  
9 language the way it is is the question.

10 FEMALE VOICE: What's that?

11 MR. BILLY: Leave this No. 4 language the way it  
12 is, which I understand does add the word verified.

13 FEMALE VOICE: The committee report's No. 4.

14 MR. BILLY: Yes. Okay.

15 MS. MUCKLOW: Excuse me. You're adding the word  
16 verified or not?

17 MR. BILLY: Yes.

18 FEMALE VOICE: We are.

19 MR. BILLY: We are, but we --

20 MS. MUCKLOW: Okay. I would be opposed to that.

21 MR. BILLY: Okay.

1 MALE VOICE: Who is going to verify it?

2 FEMALE VOICE: The plant will.

3 FEMALE VOICE: The plant.

4 MS. MUCKLOW: But if you put a system --

5 MALE VOICE: But different than that they're

6 already verifying under HACCP?

7 MS. HANIGAN: You know, I just -- this is Katie.

8 I just really think if you are a plant and you're putting a  
9 system in place to control whether it's a microbiological  
10 hazard that we're talking about here, you have to verify  
11 somehow that that system is working correctly.

12 I mean, I think it's very very clear, and I think  
13 the word verified should go in there in case there is  
14 concern that people are going to put systems in place and  
15 then never verify that it's working; just say there is the  
16 system. It's working. How do you know? They won't know  
17 unless they verify it.

18 MR. JAN: If they're meeting the requirements of  
19 the regulation, the HACCP regulation, then they are  
20 verifying, but I would be -- if you say verified in this  
21 thing then we should say plant verified so it's not implied

1 that somebody else is doing the verification and that that  
2 somebody else is going to be FSIS.

3 MS. TUCKER FOREMAN: Let me just read you the  
4 sentence because I think that's absolutely clear from the  
5 context of this sentence. Currently, FSIS thinking is to  
6 provide for reduced Agency sampling in establishments that  
7 have included verified controls for the pathogen in their  
8 HACCP plans. It's clear that it refers to the establishment  
9 doing that step. FSIS is going to say oh, you've got your  
10 verified controls. We're going to reduce sampling now.

11 MR. JAN: You know, whatever you want to do is  
12 fine. I still have a concern that you're implying -- I  
13 mean, the establishment needs to find a verified control  
14 that they're going to implement.

15 This doesn't say the establishment is going to  
16 verify their control. HACCP already says that. We're going  
17 to say that we're talking clearly here about a verified  
18 control, and I would say an establishment verified control  
19 where it's clear that the person doesn't have to go to FSIS  
20 and say would you verify this plan for me before I implement  
21 it. That's where I'm having problems.

1 MS. TUCKER FOREMAN: If you want to put  
2 establishment verified controls in front I think that's  
3 redundant, but then maybe we'll be equal, and redundancy  
4 will allow us to move forward.

5 MR. JAN: Okay. Two redundancies make --

6 FEMALE VOICE: Make a right.

7 MR. BILLY: Included establishment verified  
8 controls. Okay.

9 MS. MUCKLOW: I'm sorry. What was that?

10 MR. BILLY: We've now added included establishment  
11 verified controls, which --

12 MS. SMITH DEWAAL: Just to be clear, though, it's  
13 reduce Agency sampling in establishments that have included  
14 establishment verified controls for the pathogen. That's  
15 our redundant redundancy.

16 MR. BILLY: It sounds reasonable. I see most head  
17 shaking yes.

18 MS. TUCKER FOREMAN: In Washington, redundancy is  
19 the least of our sins.

20 MS. MUCKLOW: I'll concede. I'd like to raise a  
21 different issue.

1 MR. BILLY: All right. Hold on.

2 MS. HANIGAN: Can I ask one -- now I have one  
3 question I do have to ask on that. You know, we're talking  
4 about intervention systems here at slaughter is what I'm  
5 assuming.

6 We're not referencing, and I'm sorry to bring this  
7 up with Ms. Stolfa not here. We are not referencing  
8 somebody verifying that chilling, product temperature coming  
9 in, is reducing this hazard, are we?

10 MR. BILLY: I think that would be up -- the way  
11 I'm interpreting this is that that's up to plants. There  
12 are plants that include -- have procedures in grinding  
13 operations, as an example, to address E. coli and to prevent  
14 further growth, and they choose to include that in their  
15 HACCP plans.

16 MS. HANIGAN: But we have a zero tolerance for the  
17 organism, so controlling the growth of it is not acceptable  
18 when we're at zero tolerance.

19 MS. TUCKER FOREMAN: Since this is an  
20 establishment based system, that's your determination as I  
21 understand it.

1 MR. BILLY: Yes. They're just --

2 MS. TUCKER FOREMAN: Somebody else might want to  
3 do it some other way.

4 MR. BILLY: They're acknowledging that the  
5 methodology and the nature of the organism is such that you  
6 can't prove that it's not there, so they're taking further  
7 precautionary steps.

8 MS. HANIGAN: Yes. I just --

9 MR. BILLY: There are plants that do that kind of  
10 thing.

11 MS. HANIGAN: Yes. I just want to make sure.

12 MR. BILLY: This will provide for that, I guess.

13 MS. HANIGAN: I just want to make sure that an  
14 establishment is not going to verify their control, and if  
15 this case, based on the conversation we had yesterday, they  
16 say their control is incoming product temperature that that  
17 is controlling this organism.

18 MR. BILLY: I don't know what -- that's up to  
19 establishments. I mean, this doesn't require that.

20 MS. DONLEY: Can I?

21 MR. BILLY: Yes.

1 MS. DONLEY: Katie, we talked about this a bit  
2 last night in that, and I hope this is how FSIS would  
3 interpret it as far as targeting their sampling program in a  
4 processing plant, but that incoming temperature could be  
5 considered a -- it could be considered a critical control,  
6 but it shouldn't negate the need to also have the supplier  
7 be doing intervention strategies on the carcass. That's the  
8 beginning of where their control of the product comes in is  
9 when they receive it.

10 If they receive it and the product temperature is  
11 too high it's clearly -- it could clearly be considered  
12 dangerous product and should be turned back. It should not  
13 be the only critical -- it shouldn't be the only critical  
14 control. I think where it gets really sticky here is  
15 because I think you have such different types of operations  
16 when you're talking slaughter and you're talking processing.

17 You know, it's almost as if you're -- the critical  
18 control point becomes by nature of the process a different  
19 -- it takes on a different identify almost in that in the  
20 slaughter plants we would expect -- I would expect -- that a  
21 critical control would mean some sort of an intervention

1 strategy that has been acknowledged to be effective against  
2 0157 in reducing or eliminating it from a carcass and that  
3 critical control, once it enters into the processing stage,  
4 would be it would have to -- I would think that for this to  
5 be, and I'm going to put an idea here, just an idea that  
6 FSIS has had.

7 I would maintain that the only processing plants  
8 that would be exempt again, for lack of a better term,  
9 exempt from the sampling program, would have to have -- not  
10 only be purchasing from suppliers who have an effective  
11 intervention step against 0157, but also has a CCP stating  
12 that incoming product must arrive at thus and such a  
13 temperature. It is dicey here.

14 MR. BILLY: I think one example that we can look  
15 forward to in the future, given what's going on, is that  
16 there are grinding operations that are going to be  
17 irradiating their hammers and including that technology step  
18 as part of their HACCP program.

19 If they have a verified irradiation control  
20 measure, that will have a very significant impact on the  
21 Agency's decision regarding sampling, so there's one

1 example, looking to the future, that in my mind makes sense  
2 in the context of the processing end of this that we need to  
3 provide for.

4 Yes, Jim?

5 MR. DENTON: A quick comment about the recent  
6 discussion about the use of incoming product temperature. I  
7 can understand that a company improves their situation by an  
8 order of magnitude if they receive incoming product in which  
9 the temperature is out of compliance with regard to what  
10 they've specified from their supplier. They reduce the risk  
11 of having something detrimental happen with regard to E.  
12 coli 0157:H7.

13 On the converse side of that, simply having the  
14 product within compliance on the temperature does absolutely  
15 nothing with regard to indicating that the product does not  
16 contain the organism. It can't be a control point. I agree  
17 with what you're saying about irradiation. That probably is  
18 a control point, but the use of incoming temperature is not  
19 going to do it.

20 MR. BILLY: Okay. I'd like to wrap this up.

21 MS. TUCKER FOREMAN: Okay. I thought Rosemary had

1 another issue she wanted to raise.

2 MR. BILLY: Okay. Rosemary and Mike? On this  
3 point, Mike? Okay, Mike.

4 MS. MUCKLOW: Since we've added a couple of words  
5 to No. 4, I'd like to take out a couple of words in No. 2  
6 for balance.

7 Item No. 1 speaks to our evaluating this as a  
8 hazard in slaughter operations and exactly what we're going  
9 to be doing in slaughter operations. Item No. 2 conflicts a  
10 little bit with No. 1. We should remove the words "and  
11 processing" because the activity that is going to occur is  
12 going to happen. The reassessment is going to occur of a  
13 slaughter operation in order to be consistent with No. 1.

14 You're shaking your head, Mr. Billy. Why don't  
15 you explain why you're shaking your head?

16 MS. TUCKER FOREMAN: Let me as chair. As chair of  
17 this subcommittee, I absolutely disagree with that. It was  
18 never discussed in the subcommittee.

19 The committee clearly has the ability to overrule  
20 the subcommittee, but it was not raised by any of the  
21 committee members last night, and I would be strongly

1 opposed to excising that word.

2 MR. BILLY: All I can say is that it is the  
3 Agency's intent to have this apply to both slaughter and  
4 processing establishments. That's the Agency's intent.

5 MS. HANIGAN: I know I'm not entitled to another  
6 comment, but --

7 MR. BILLY: Hold on a minute.

8 MS. HANIGAN: Sorry.

9 MR. BILLY: Is there more discussion on Rosemary's  
10 question?

11 MS. SCHULTZ KASTER: Yes. I don't understand then  
12 what was meant by No. 1, which is I think where you started  
13 from, but we narrowed it down in No. 1 to slaughter hazard  
14 analysis and then broadened it back out. How do the two  
15 flow together?

16 I thought that was the crux of the discussion  
17 yesterday was again the fertile concept as applied at  
18 slaughter, and if you send at high levels to a processor  
19 while they will prohibit it from growing further by  
20 temperature they will not be able to address the high levels  
21 that they've already received.

1 MS. TUCKER FOREMAN: But irradiation would be one  
2 specific case where they would, and I'm sure there are  
3 others.

4 MS. SCHULTZ KASTER: But irradiation isn't going  
5 to be available to all the people that are currently -- if  
6 you want to talk about economics, not to mention the fact  
7 that this will be off facility at some of these locations.  
8 I don't know that we want to lean that heavily on that as  
9 their option.

10 MS. TUCKER FOREMAN: First of all, it says -- I  
11 think there will be others, but it says that it is a hazard  
12 to be addressed and reassess plans to determine whether  
13 additional critical control points monitoring, procedures,  
14 critical limits, verification procedures, so on and so  
15 forth. It seems clearly that that has to apply to  
16 processing, as well as to slaughter.

17 MS. SCHULTZ KASTER: But again, what was meant by  
18 the first point where you said slaughter specifically?

19 MALE VOICE: It was a subsidy.

20 MS. TUCKER FOREMAN: I think that's a good point.  
21 We should have the word slaughter taken out of Point 1

1 because our --

2 FEMALE VOICE: Wait. Wait.

3 MS. TUCKER FOREMAN: -- intention was that it was  
4 to be addressed in the hazard analysis across the board.

5 MS. SMITH DEWAAL: One of the things that changed  
6 -- I mean, the reason, part of the reason, that this policy  
7 change has been made is because of new data that's been  
8 coming out of ARS and other sources that indicates that the  
9 cattle coming into slaughter operations may be much more  
10 contaminated than we thought.

11 A bit -- something like 50 percent, depending on  
12 the season, may have 0157:H7 in their bodies or on their  
13 hide, and so I think the intent of No. 1 was to target the  
14 slaughter industry, which in the past hasn't believed this  
15 was a hazard that applied to that.

16 The issue with respect to slaughter and processing  
17 I think is appropriate. It's appropriate to broaden it out  
18 to slaughter and processing at that point because the  
19 processing industry may also not have assessed 0157:H7 as a  
20 hazard.

21 Once they do their hazard reassessment they may in

1 fact find that they want to add critical control points  
2 either at the incoming product level, which means that their  
3 suppliers have critical control points in place, or at the  
4 post processing level, which could include irradiation or  
5 something else, so I think that the way it's currently  
6 drafted does make sense given the history of why this policy  
7 change is being made.

8 MR. BILLY: Mike?

9 MR. MAMMINGA: My only comments are I keep going  
10 back to what I thought as we go through this laborious  
11 process that we have HACCP, and HACCP and raw product and  
12 zero tolerances from a scientific HACCP, what Dr. Gill down  
13 there teaches and has taught me, that's different than what  
14 the government feels it has to do.

15 I hate to see the commingling of verification and  
16 validation from a regulatory standpoint. I mean, if we want  
17 to direct them to do something, whether we want to talk  
18 command and control or not, I'm not going to enter into that  
19 argument.

20 If we're going to direct somebody to do something  
21 by regulation then let's do that and then let that stand in

1 the light of day and be exposed to comments from the free  
2 world and everyone else that has something to say, and let's  
3 bolt these regulatory matters up and down on their own  
4 merit.

5 Let's keep HACCP, what the industry and the  
6 academia types developed and prescribed, let's keep it out  
7 of things which it cannot address. It cannot address zero  
8 tolerance. Doc can tell me if I'm wrong, but you cannot  
9 guarantee a zero tolerance in a HACCP plan, so if we're  
10 trying to give the consumers confidence, and we all  
11 certainly want to do that, then let's hold it up to what it  
12 is. It is a government intervention requiring this because  
13 of this and not necessarily hold it to the seven principles  
14 of HACCP.

15 Maybe I'm all wet, Carol. I don't know.

16 MS. TUCKER FOREMAN: No, but let me just make a  
17 quick response to that if I might. That's why the  
18 government's regulation is named the pathogen reduction and  
19 HACCP plan, which clearly implies that the regulatory  
20 overlay of a scientific program is what we are about.

21 MR. MAMMINGA: I have no problem with that. That

1 is obvious, and I agree with you 100 percent.

2           What I have a problem with is maybe I'm the only  
3 one that sees it this way, but sometimes I see a commingling  
4 of what we're trying to do as the government somehow fitting  
5 into this scientific system, and I think while our efforts  
6 are all in that direction there are certain aspects about  
7 what government does that has to be held up and say we're  
8 going to do this because we think we have a moral  
9 responsibility and some science to back us up.

10           Let it stand for the light of day for what it is,  
11 not a rewrite of a system that none of us developed. It's a  
12 fine point, but it's the only way I can keep these things  
13 straight in my mind.

14           Thank you.

15           MR. BILLY: Rosemary?

16           MS. MUCKLOW: We asked very specifically Mr.  
17 Derfler yesterday what kind of CCP we might ask a processor  
18 to institute, and his best answer was checking the  
19 temperature of the arriving product. There's been plenty of  
20 speeches on that this morning.

21           Again, and I don't want to be repetitive of stuff

1 I've said earlier. We have submitted information and data  
2 to demonstrate the best possible effort within the scope of  
3 Mike's HACCP plan to reduce this biological hazard in a  
4 slaughter operation. We have no CCP that a processor can  
5 reasonably institute. Whether the government likes that or  
6 not, that's the way it is within the structure of HACCP.

7 MR. BILLY: Do you feel confident saying that in  
8 the face of the ability to irradiate hamburger?

9 MS. MUCKLOW: Irradiation at the end of the line,  
10 but I don't think that's going to be done for everybody.

11 MR. BILLY: But doesn't that make your statement  
12 invalid?

13 MS. MUCKLOW: That does. You're absolutely right.

14 MR. BILLY: Okay.

15 MS. MUCKLOW: There is irradiation, but it is the  
16 only thing, or final cooking of the product, and that has  
17 only recently become available, and I stand corrected, but  
18 that's the only CCP that could be available.

19 MR. BILLY: Well, irradiation --

20 MS. MUCKLOW: And so I stand and ask that the  
21 words "and processing" be eliminated. It won't get past

1 some people on this committee, but that's my position.

2 MR. BILLY: Nancy, Katie, Caroline and Mike?

3 MS. DONLEY: I'm going to make a suggestion that I  
4 hope might clear things up, and I'll make my suggestion, and  
5 it's going to be in the form of a 1(A) and a 1(B).

6 What this first statement of Collette's very valid  
7 question, and I think this will clear it up a little bit,  
8 too. This started out, as my recollection, as I sat in the  
9 subcommittee last night, is what we were really recognizing  
10 what Caroline had said is that, you know, slaughter plants,  
11 we have got to start recognizing it as a hazard likely to  
12 occur in a slaughter environment.

13 But what this statement as written doesn't really  
14 say is what we were trying to get that to is that they, that  
15 slaughter plants, must implement an intervention strategy, a  
16 CCP, that addresses 0157:H7 and that there would be  
17 something in there that would, and we know it's not a kill  
18 step, but let's try to get to something as close a kill step  
19 as we possibly can because there are slaughter facilities  
20 out there who are not doing any sort of intervention  
21 strategies. That was point number one.

1           Point number two is that E. coli 0157:H7 is a  
2 hazard to be addressed in all beef facilities, all beef  
3 plants, so if one was to basically say hey, listen, it has  
4 to be -- something tangible has got to start happening at  
5 the slaughter plant level that is going to significantly  
6 reduce, ideally eradicate, although I know it won't happen,  
7 0157:H7 at the carcass level. Correct me if I'm wrong, but  
8 I think that was our intention.

9           MR. BILLY: Katie?

10          MS. HANIGAN: Thank you. Just for clarification,  
11 when I was talking about a verified -- going to Bullet Point  
12 4, when we were talking about verified controls I made the  
13 assumption the whole time there we were talking about  
14 slaughter and interventions, and I want to make sure  
15 everybody clearly understands that's what I thought we were  
16 talking about.

17          Going back to comments made yesterday, if this  
18 document comes out I think the Agency will be absolutely  
19 essential that they, one, publish on the website that the 30  
20 day letter that they issue to a number of plants for using  
21 incoming product temperature as a CCP to control

1 microbiological hazards, and a number of us got those  
2 letters and were told that that is unacceptable.

3           If you are changing your mind at this time, I  
4 think you need to clearly state so because clearly there's a  
5 number of us that got 30 day letters saying that is not  
6 acceptable, and I think if you don't issue that kind of  
7 directive out, but I think it all gets back to some of the  
8 earlier conversations we've had as far as that is not going  
9 to control this hazard.

10           I think we're making a mistake because, Nancy, for  
11 us, and I don't have -- I'm a pork producer, but for us that  
12 incoming product temperature is not a CCP because the  
13 direction I got from the Agency, it's a control point. It's  
14 moved back to a GMP because of direction we got from this  
15 Agency on it, so the whole time we talked about this No. 4 I  
16 thought we were on verified interventions on the slaughter  
17 floors.

18           I would like to see if we're going to go forward  
19 with this document that this committee recommend that they  
20 publish -- the Agency publish on the website a retraction or  
21 a clarification of the 30 day letter clearly stating that

1 using incoming product temperatures as a microbiological  
2 CCP, as the only microbiological CCP, is acceptable because  
3 otherwise we're going to end up with this thing out in the  
4 field, and the first thing they're going to say is you can't  
5 use incoming product temperatures. Here's a 30 day letter.

6 We'll be right back to where we were.

7 MR. BILLY: Caroline, and then Mike?

8 MS. SMITH DEWAAL: There's a lot to respond to  
9 here, and I'm going to try to resist because I kind of  
10 disagree with the statement that was just made, but the  
11 point I really want to make goes back to what Mike said.

12 I agree that the HACCP regulation is a little bit  
13 of the Agency adopting something that the industry said was  
14 going to work really well and turning it into a regulatory  
15 program, and there is some difficulty with that approach,  
16 but what the Agency -- what the agencies did, because it was  
17 Tom Billy both at FDA and at USDA that did this, they said  
18 we're not going to approve the HACCP system. We're going to  
19 let the industry design it.

20 This is going to be hands off. We're going to let  
21 you set your critical limits, but we're going to check it at

1 the end of the line, and we're going to task to make sure  
2 that your plan measures up to all the other plans that are  
3 out there and so they put in performance standards in some  
4 segments of the industry, and they put in micro testing.

5           What we're talking about when we start looking at  
6 validation and verification, there is a role particularly in  
7 verification for both the industry to do verification and  
8 the Agency to do verification. Those are two different  
9 levels. From the standpoint of consumer groups, we have  
10 long held that HACCP without government verification is  
11 unacceptable to us. You have to show us that in fact it's  
12 working.

13           On No. 4 we're talking about verification by  
14 industry, and I agree with that. That's really what the  
15 context is there, but there's an incentive built in, and  
16 it's reduce Agency verification, so when you're making that  
17 tradeoff you're trading off government verification.

18           We need to know that we've got underlying  
19 verification that's going on by the industry, so I just want  
20 to -- it's a fine point, but I cannot resist your  
21 interesting comment about HACCP and why we're here and why

1 we're struggling so much because it is trying to marry a  
2 quality control program developed by the industry into a  
3 regulation.

4           Finally, the issue of CCPs, though, I have to  
5 really weigh in here in terms of, and I'm disagreeing with a  
6 number of people around the table. We have this hands off  
7 HACCP approach. We say to the industry you design your  
8 system. You just make sure the food is safe, and we're  
9 going to check you.

10           Now, that -- when you're looking at beef  
11 processing, there are two ways to make the food safe. It's  
12 not just irradiation. You could have further cooking,  
13 retail cooking of that product, and that is a critical  
14 control point post processing, post grinding.

15           Some of the fast food restaurants actually from my  
16 understanding were moving into having pre-cooked hamburgers  
17 because that provided them a critical control point, so we  
18 have a number -- we know of two just around this table -- of  
19 critical control points post processing.

20           There are also some critical control points in the  
21 slaughter operation. It would be ideal if we could get all

1 the slaughter operations adopting critical control points,  
2 but the bottom line is the processor needs to be sure that  
3 either they have incoming product which has been subject to  
4 a critical control point at slaughter or that they are going  
5 to implement a critical control point post processing to  
6 make sure that the products are safe.

7           Again, this all goes back to this hands off  
8 approach. If we want to mandate how to produce beef safely,  
9 I'm all for it. Let's sit down and design the perfect beef  
10 processing system and mandate it across the board, and we'll  
11 wipe out a bunch of small plants, but who cares? Consumers  
12 will be safe.

13           But that's not what we're doing. That's not the  
14 design that Tom Billy and Mike Taylor and others designed  
15 for this system. If we're going to have these incentive  
16 based systems, we need to make sure that the government's  
17 verification is in place and that the incentives are there  
18 to protect consumers.

19           MR. BILLY: Mike?

20           MR. MAMMINGA: Gee, that's kind of hard to follow.  
21 I will make mention that we have a rule, and the rule has

1 provisions for basic compliance with the rule. Then we have  
2 PBIS with procedures that lay out how we verify that the  
3 rule is being put in place the way it's supposed to be, and  
4 so we really have in fact two verifications. We have a  
5 government verification and the verification that is  
6 expected of the plants when they develop their HACCP plans,  
7 the verification and validation of the same.

8 All I'm saying is and all I've said is if we want  
9 to mandate something on ourself or somebody else, let's do  
10 it that way. Let's say this is what the government is going  
11 to do to verify, and then we'll let the public and our  
12 constituents address that.

13 On the other hand, if we choose to go into the  
14 HACCP system and say well, on top of that you're going to  
15 have to do this and this and this then let's do it that way,  
16 but again I just -- this is such a complex situation with so  
17 many opportunities for horror that I would just like to keep  
18 the two things apart, what we do as the government and what  
19 they do in their HACCP system.

20 If we want to mandate that to them, fine. Then  
21 let's try to do that and put it up to the light of day, but

1 I just have a problem commingling the HACCP system and the  
2 government responsibilities under the pathogen reduction  
3 HACCP rule. That's just the delineation that I try to keep  
4 in my own mind and in my own comments.

5 Thank you.

6 MR. BILLY: Madam Chairwoman?

7 MS. TUCKER FOREMAN: I would move that we accept  
8 the report from the subcommittee with the amendment to No.  
9 4, establishment verified controls.

10 MS. DONLEY: Madam Chairman, let me ask one more  
11 question. Do we want to insert the term scientifically?

12 MS. TUCKER FOREMAN: No. Establishment verified  
13 control. I want to leave it just the way it is.

14 MS. HANIGAN: I vote no. I don't accept that.

15 MR. BILLY: We've not going to vote here. We'll  
16 just have consensus or not.

17 MS. MUCKLOW: I'm sorry. I didn't hear you.

18 MR. BILLY: We're not going to vote. We're going  
19 to have consensus or not consensus.

20 MS. MUCKLOW: I made my recommendations on this,  
21 and clearly they have not been reflected in the

1 recommendation of the chairman.

2 MS. TUCKER FOREMAN: So how would you like to  
3 proceed?

4 MR. BILLY: I think that in the document in the  
5 two areas where there was lack of consensus we'll just note  
6 it. There were some that disagreed.

7 MS. TUCKER FOREMAN: I think there was only  
8 disagreement now on the issue of processing. Am I wrong?  
9 Do we have consensus on inserting establishment verified  
10 controls?

11 MS. SCHULTZ KASTER: Are we in a slaughter plant  
12 now, or are we in a processing plant?

13 MS. TUCKER FOREMAN: We're going to disagree, I  
14 think, about whether processing is there so you have to take  
15 the rest of the thing as I think No. 4 clearly includes  
16 processing plants. If we have no agreement about processing  
17 in No. 2, can we go on beyond that?

18 MS. SMITH DEWAAL: Can I just make a point on No.  
19 4? The reduced Agency sampling is voluntary, so if a  
20 processing plant doesn't want to get reduced Agency sampling  
21 then No. 4 will never apply to them. They would never --

1 MR. BILLY: That's right.

2 MS. SMITH DEWAAL: -- have to have verified  
3 controls. All that is is a carrot to get reduced Agency  
4 sampling. It's the Directive 10010.1 or some nonsense.

5 MS. HANIGAN: And I guess why I'm being such a  
6 stickler on that is because we're talking about verified  
7 controls.

8 The industry has already been told in past written  
9 correspondence to them that using incoming product  
10 temperature is not acceptable, yet yesterday that was the  
11 suggestion, so we're backing everybody into a corner here  
12 saying where do you go from here.

13 MS. SMITH DEWAAL: My sense was, and maybe Phil  
14 can clarify this, but that the Agency was saying you needed  
15 to have not just temperature controls. You also needed to  
16 make sure that the slaughter plants were using steam  
17 pasteurization or some other control system.

18 MS. HANIGAN: Right, but clearly when we do our  
19 HACCP models, that ground beef, and I do not make ground  
20 beef, but when you do ground beef it ends up in that raw  
21 ground processing category.

1           You know, we're going to be looking at needing a  
2 CCP here. My CCP, the way I understood what I heard  
3 yesterday, was my CCP could not be your slaughter  
4 intervention. They were asking me for that CCP as well as  
5 incoming product temperature, and if I have that as a  
6 receiving temperature I have written correspondence from the  
7 Agency saying that's not acceptable.

8           Or? Can we use or? That's the hooker because  
9 we've already gotten a written document from the Agency  
10 saying you can't use that in a model.

11           MR. BILLY: Phil?

12           MR. DERFLER: I just want to clarify because I  
13 think Pat tried to explain last night in the subcommittee  
14 meeting and even in oral conversation what exactly she said.

15           I think what she said was that the incoming would  
16 be the CCP either through some sort of assurance from the  
17 supplying slaughter establishment that the product did not  
18 contain or there was no detectible E. coli 0157 or some  
19 assurance like that, and then after the product was received  
20 the temperature control was important in order to insure  
21 that if there was the low detectible level of the pathogen

1 it would not grow out.

2 That's what she was saying. She was not saying  
3 that receiving temperature was what we considered to be the  
4 CCP, so I just want to clarify. This is your show. I  
5 didn't want to say anything, but --

6 MS. SMITH DEWAAL: May I suggest I agree with what  
7 the chairwoman said that we are in agreement, I think, on  
8 the slaughter plant. Maybe we should just capture that.

9 MS. TUCKER FOREMAN: And report no agreement on  
10 processing?

11 MS. SMITH DEWAAL: Yes.

12 MS. TUCKER FOREMAN: Or lack of consensus.

13 MS. SMITH DEWAAL: I mean, it's really --

14 MS. TUCKER FOREMAN: It's different.

15 MS. SMITH DEWAAL: It's really a carrot for the  
16 industry, and I think you're actually standing in the way of  
17 companies that might have -- like want to use irradiation or  
18 some other technique to get that particular carrot. I mean,  
19 I think there is agreement on the slaughter piece.

20 MS. TUCKER FOREMAN: Go ahead.

21 MS. SCHULTZ KASTER: This might be nitpicking, but

1 on the members list I think that should reflect the  
2 subcommittee makeup that was actually there last night  
3 because that was different than the list that shows as  
4 members.

5 MS. TUCKER FOREMAN: Okay. Gary Weber, Nancy  
6 Donley and Caroline Smith Dewaal also participated as part  
7 of the subcommittee. Was there anybody else?

8 MR. BILLY: Is there any -- I don't know if what  
9 Phil said helps you that they were not talking about  
10 receiving temperature. That is not the example. It was  
11 temperature after receipt in the plant.

12 MS. MUCKLOW: That was not what Phil told us  
13 yesterday when we asked him in the full committee, but it  
14 may be immaterial.

15 MR. DERFLER: Actually, what I think I said  
16 yesterday when I was asked the question is I said receiving  
17 would be a CCP, and then Pat expanded on what I said with  
18 the temperature, and then later she attempted to correct  
19 what she said. I think the record would show that.

20 MR. BILLY: Last chance.

21 MS. HANIGAN: I have no further comment.

1 MR. BILLY: All right.

2 MS. TUCKER FOREMAN: Do you want to go forward  
3 with our listeria recommendations before we eat? There are  
4 some of us that get ugly when we're hungry.

5 MR. BILLY: Boy, is that a strong hint. Let's  
6 take a break until about 1:15.

7 (Whereupon, at 12:10 p.m. the meeting in the  
8 above-entitled matter was recessed, to reconvene at  
9 1:15 p.m. this same day, Wednesday, May 17, 2000.)

10 //

11 //

12 //

13 //

14 //

15 //

16 //

17 //

18 //

19 //

20 //

21 //

1 //

2 //

3 //

4 //

5 //

6 //

A F T E R N O O N   S E S S I O N

1

1:18 p.m.

2

3

MR. BILLY: I'd like to turn the meeting back over to Carol Foreman, the chairman of the third committee session. Carol, my understanding is you want to briefly revisit E. coli and then move on to listeria.

4

5

6

7

MS. TUCKER FOREMAN: Yes, please. A couple of committee members at lunch said that they had unanswered questions about the Agency's position on the E. coli action plan and wanted to just get answers to those. Since Phil and Judy are still here, please do that.

10

11

12

Katie?

13

14

15

16

17

18

19

20

21

Would that, one, be considered a verified control

1 for the processor, and, two, would that reduce the sampling  
2 that FSIS will do at the processor?

3 MR. DERFLER: I think the answer is yes to both  
4 questions.

5 MS. HANIGAN: Okay. That answers my question.

6 MS. SMITH DEWAAL: Would the company --

7 MR. BILLY: Subject to verification.

8 MR. DERFLER: Yes.

9 MS. SMITH DEWAAL: Yes. Would the company have to  
10 run their own verification checks on the supplier?

11 MR. DERFLER: They have to have some sort of  
12 verification check.

13 MS. SMITH DEWAAL: Thank you.

14 MR. JAN: Can I add something --

15 MR. BILLY: Sure. Lee?

16 MR. JAN: -- or just make a comment? Based on  
17 experience, you're not going to get that letter from a  
18 slaughter plant to say that it's free.

19 MR. BILLY: Yes. I mean, that's -- I understand,  
20 but --

21 MS. SMITH DEWAAL: The concept, yes.

1           MR. BILLY: We didn't get hung up on the specific  
2 language. It was the idea.

3           MS. HALL: I have a question about the E. coli,  
4 but also listeria testing that we're putting in place. I'm  
5 Cheryl Hall.

6           What provisions are made or how does the Agency  
7 see testing going if products are irradiated? Is there  
8 going to be a pull back on the testing, or how are we going  
9 to proceed? The microbial testing won't be as important as  
10 the quality control and the process itself.

11          MR. BILLY: Let me do it. What would be relevant  
12 from the Agency's perspective is how the irradiation is  
13 being used? In what context? Is it part of HACCP, part of  
14 the critical control point?

15          Has the specific irradiation strategy been  
16 validated for the stated purpose? Are there records to show  
17 that that's what occurring? That would all be weighed as  
18 the basis then for making a judgement about reduced  
19 sampling.

20          MS. HALL: Right, and we would --

21          MR. BILLY: That's the intent.

1 MS. HALL: Yes. We would also be looking at what  
2 other steps are in place that contribute to the irradiation  
3 strategy.

4 In other words, if there are steps that are taken  
5 prior to irradiation that lower the microbial load that  
6 enable or improve the chances or improve the effectiveness  
7 of the irradiation, then we would also be looking at those  
8 because it's not just the irradiation, but it's each step  
9 that the company has in place that minimizes or reduces in  
10 this case the 0157:H7, so we would be looking at the entire  
11 system.

12 MR. BILLY: Or listeria.

13 MS. HALL: Yes. Listeria would also be another.

14 MR. DERFLER: We put out a set of Q&As on  
15 irradiation, and I think we talked about the HACCP aspects  
16 of irradiation. I'm not sure exactly in what context, but I  
17 know we addressed HACCP in that. You might want to get a  
18 copy of it.

19 MS. HALL: Okay. Thank you.

20 MS. TUCKER FOREMAN: I don't know if we want to  
21 revisit. My guess is we still don't have a consensus on

1 removing the word process from the action plan in Statement  
2 No. 2, but I suspect that we have less disagreement about  
3 that given this discussion than we had before.

4 I'd be prepared to leave it and move ahead with  
5 listeria if the committee is --

6 MS. DONLEY: Carol, can I --

7 MS. TUCKER FOREMAN: Nancy? I'm sorry.

8 MS. DONLEY: Can I get just one more thing  
9 resolved in my head? Back to A, B and C. All right. C,  
10 the retailer, bought from Processor B, who had the letter  
11 from the plant. Processor B doesn't have any other  
12 interventions in place. Is Retailer C going to be one of  
13 the targeted retailers, or are they on the off list?

14 MS. RIGGINS: I think it would depend on what  
15 Retailer C has in place in terms of specifications from its  
16 suppliers. That Component B has no interventions in place,  
17 clearly in that case the retailer would be buying from a  
18 company that it knows has not done anything beyond that  
19 which was done at the slaughter plant.

20 Therefore, in buying from that company, B, Company  
21 C would need to take that into account and to understand

1 what in fact it's getting, and then if there are additional  
2 steps that Company C, the retailer, can take to improve its  
3 -- I'm assuming these are -- are these grinding? You're  
4 speaking of Retailer B --

5 MS. DONLEY: Company C is --

6 MS. RIGGINS: -- is a retailer grinder?

7 MS. DONLEY: -- taking the stuff and putting it  
8 out on the shelf.

9 MS. RIGGINS: He's putting it out on the shelf.  
10 Okay. Then we are faced with the acknowledgement or the  
11 recognition that they are buying from a company that has not  
12 in fact put in place any interventions, and that would be a  
13 business decision on the part of the retailer. Yes.

14 MS. DONLEY: But that --

15 MR. BILLY: Let me say something. The problem  
16 with hypothetical examples is that you're talking in general  
17 terms. It can be easily misunderstood.

18 For example, it depends on what the slaughter  
19 plant is doing. Does the slaughter plant have one  
20 intervention? Three interventions? Six interventions?  
21 What kind of sampling? Are they sampling carcasses one in

1 300? One in three? One in 1,000?

2 Are they -- you see, all of these -- in situations  
3 it has to turn on the specifics, so you can have several  
4 different kinds of As.

5 MS. DONLEY: Right.

6 MR. BILLY: Do you see what I'm saying? Then that  
7 influences your judgement about B, which then influences  
8 your judgement. What the Agency is trying to signal is that  
9 we're prepared to take those specifics into account in the  
10 context of our sampling program.

11 Hopefully in doing that it creates an incentive,  
12 at least for some, in terms of their decisions about  
13 interventions and control measures and that kind of thing in  
14 a way that applies the best science and technology, and then  
15 in effect there's a reward for that in terms of the degree  
16 to which they're subject to a sampling.

17 MS. DONLEY: Let me ask a question.

18 MS. TUCKER FOREMAN: Caroline?

19 MR. BILLY: I don't think we should pursue this  
20 much further because there are too many variables at work  
21 here to reach a common understanding. That's what I'm

1 concerned about.

2 MS. SMITH DEWAAL: Can I just finish this up?

3 MS. TUCKER FOREMAN: If everybody is agreed, that  
4 will be -- I can't ask to -- let's see what you're going to  
5 say. I would like to finish it up because we have to do  
6 listeria and lots of other business.

7 MS. SMITH DEWAAL: The No. 4, which is the  
8 committee recommendation where we said establishment  
9 verified controls and that we recommended that be added.

10 MS. TUCKER FOREMAN: Yes?

11 MS. SMITH DEWAAL: Katie's objection to that had  
12 to do with the processing issue.

13 Katie, do you still have that objection to  
14 processing plants being allowed that kind of a carrot in  
15 utilizing -- just if they have establishment verified  
16 controls they might avoid such as irradiation or cooking,  
17 they might avoid, or incoming product that is certified, has  
18 that letter that you talked about and subject to  
19 verification. Do you have an objection to processing plants  
20 being given a carrot to implement this?

21 MS. HANIGAN: Based on a clarification that I

1 received this afternoon, as long as the processor can use  
2 the letter from the slaughter, I do not have an issue with  
3 that.

4 MS. SMITH DEWAAL: So I'd like to move that No. 4  
5 as you amended it be included in the committee's  
6 recommendations.

7 MS. TUCKER FOREMAN: Okay. It was my  
8 understanding that we did that before lunch; that everybody  
9 had agreed to that. We did not have a consensus on the word  
10 process, but --

11 FEMALE VOICE: In No. 2?

12 MS. TUCKER FOREMAN: In No. 2.

13 FEMALE VOICE: All right. Thank you.

14 MS. TUCKER FOREMAN: Because if you don't have an  
15 agreement on that, that obviously had an impact on what was  
16 in No. 4.

17 Can we move --

18 MR. BILLY: Rosemary?

19 MS. MUCKLOW: I'd like to follow up on what Judy  
20 just said. Judy, you said you once again inferred that a  
21 processor, a grinder of beef, might have interventions. We

1 all understand that they may have the option of irradiating.

2 What other interventions were you referring to in a  
3 processing operation?

4 MS. RIGGINS: I wasn't referring to anything  
5 specific. I think that it will turn on the technology. I  
6 think as we learn more about this bug and about listeria as  
7 Cheryl talked about earlier, there may be additional  
8 interventions that can be made.

9 I think that, you know, as we learn more we will  
10 be able to apply more effective interventions, but I didn't  
11 have any one specific intervention in mind when I said that.

12 MS. MUCKLOW: Do you know of any interventions  
13 that can be used?

14 MR. BILLY: What I'd like to do is I think this is  
15 a signal from the Agency that perhaps a policy change might  
16 create some positive incentives in this area and be moving  
17 in the right direction, so I'd like to move forward. I  
18 think we've got a closure here in terms of Nos. 2 and 4.

19 MS. TUCKER FOREMAN: We've got some direction to  
20 the Agency, which I think is understood, assuming obviously  
21 that this is the beginning of the process within the Agency,

1 not regulatory language.

2 On listeria, the Agency asked for comments on  
3 three questions. The first question was for feedback on  
4 possible additional measures for control of listeria,  
5 monocytogenes, including those in the updated action plan,  
6 as well as additional measures that the committee envisions.

7 We spent all of our time on Question 1, and we  
8 never got to Questions 2 and 3, and everybody got tired and  
9 went home. I would suggest that we perhaps as a full  
10 committee spend -- decide how much time you're going to  
11 allocate to this discussion, Mr. Chairman, and then divide  
12 it kind of equally between a discussion of the  
13 subcommittee's recommendations with regard to Question No. 1  
14 and then maybe a general discussion on Questions 2 and 3 so  
15 that the Agency gets some feedback from us on that, but I  
16 think to do that we probably have to set some time limits.

17 MR. BILLY: Yes. I think if we could limit it to  
18 about 20 minutes or something like that?

19 MS. TUCKER FOREMAN: This whole thing?

20 MR. BILLY: Yes.

21 MS. TUCKER FOREMAN: Okay. Then would you all

1 like to spend -- I will ask the committee. Would you like  
2 to spend ten of those on the committee's recommendations  
3 with regard to Question No. 1 and the other half on a  
4 general discussion on 2 and 3? Is that generally --

5 MALE VOICE: Okay.

6 MS. TUCKER FOREMAN: Okay. Somebody keep time.

7 The subcommittee's recommendations, incidentally,  
8 with regard to listeria developments, in addition to the  
9 members of the subcommittee present, as with the discussion  
10 on E. coli, there were other committee members, full  
11 committee members, who aren't members of this subcommittee  
12 who participated in the discussion; Caroline, Nancy and Gary  
13 Weber.

14 Feedback on possible additional measures for  
15 control of listeria. We had several. Explore the use of  
16 existing and the development of new methods of post  
17 packaging pasteurization for RTE products.

18 Encourage technical assistance workshops to share  
19 experiences. These would be industry driven primarily  
20 involving the government, the government providing technical  
21 assistance, industry where they have expertise in particular

1 technical areas sharing it with their colleagues.

2 Encourage the use of the most effective chemical  
3 sanitizers. That obviously has some research implications  
4 for the Agency and the industry, and then expand end product  
5 testing through an FSIS mandated standard for adequate  
6 listeria monocytogenes product as part of HACCP  
7 verification.

8 The floor is open.

9 MS. SMITH DEWAAL: I will start the discussion.  
10 We talked a lot about things we need to be encouraging the  
11 industry to do, things they should be adopting, and they  
12 should be getting information. There's clearly a lot of  
13 incentives for industry to take additional steps to control  
14 listeria monocytogenes, and there's a strong desire in  
15 industry to control this hazard.

16 I think the first three really represents, you  
17 know, kind of the subcommittee's best advice to the industry  
18 on things they can be doing or for research. I mean, post  
19 pasteurization techniques and things like that are things  
20 that will help industry to address the problem.

21 Then I think we shifted a little bit to what the

1 government should be doing to improve their HACCP system and  
2 the overall effectiveness of the HACCP systems in  
3 controlling listeria monocytogenes. There the  
4 recommendation was that the government should mandate that  
5 the industry do some product testing as part of their HACCP  
6 verification.

7           The subcommittee spent a lot of discussion on  
8 this. We were benefitted greatly by the expertise of Bruce  
9 Tompkins, who Rosemary invited to participate. I think he  
10 lent a lot of good advice to the subcommittee.

11           You know, I think at the end, you know, the issue  
12 is really one about government verification. The government  
13 has a system for checking for products that contain listeria  
14 monocytogenes using microbial testing, but that process is  
15 going on really at the retail level. It's post past  
16 product, and we're trying to get more tests. We're trying  
17 to get the industry to take some responsibility here for  
18 testing their own product, and we believe that will help  
19 prevent some contaminated products from reaching the market.

20           End product testing is not a guarantee of safety,  
21 but for the government this kind of a system will help the

1 government to identify the plants which are really high  
2 performers, the really good performing plants, from those  
3 that really need to put additional controls in place to  
4 control for listeria monocytogenes.

5 MR. BILLY: Dan?

6 MR. LAFONTAINE: Dan LaFontaine, South Carolina.

7 I have a specific recommendation on Bullet No. 3. When you  
8 look at listeria monocytogenes, the most important thing you  
9 can do is have a solid SSOP program for product contact  
10 services, preventive medicine front up, knock the listeria  
11 in the head from the beginning.

12 Just as a side note, in South Carolina we've been  
13 working with out plants actually doing environmental  
14 sampling and educating them on where they're missing if they  
15 get a positive listeria.

16 My recommendation is this. What we're finding,  
17 one of the things we're finding, and I can't prove this, but  
18 I think we're running into some situations of biofilm where  
19 you've got a hard protein crust and these listeria organisms  
20 may be hiding in that.

21 Here's my bottom line. Reword that to say

1 encourage the use of the most effective cleaning agents and  
2 chemical sanitizers because if you don't have a good  
3 cleaning agent that's effective against biofilm and protein  
4 build up that can loosen up that hiding place, the chemical  
5 sanitizers won't do you any good, and I think the heart of  
6 the matter, this whole thing, is just nitty-gritty elbow  
7 grease and cleaning and sanitizing.

8 MR. BILLY: I see a lot of heads nodding.

9 Rosemary?

10 MS. MUCKLOW: I did mention last night that we, in  
11 cooperation with several other organizations, developed some  
12 environmental sampling and testing recommendations. Those  
13 are on our website.

14 We certainly hope that plants will access those  
15 and see if they can apply those sampling and testing schemes  
16 in their facilities they fit -- small, large, medium plants  
17 -- and they are useful tools to help many people who may not  
18 have got into this.

19 MR. BILLY: Alice?

20 MS. JOHNSON: Two comments on No. 1 that I would  
21 like to see added. The first one would be we talked about

1 -- Caroline talked about industry and recommendations to the  
2 industry and recommendations to the Agency. I'd like to see  
3 something that the committee comes out with to encourage  
4 FSIS to promote as much as possible -- I know you're working  
5 with several other agencies, and you have to do a lot of  
6 these -- on the whole new technology aspects.

7           We've got a lot of things that are now available  
8 to us that haven't been in the past, but we need to keep  
9 reaching for other technologies that might improve things  
10 and to get them into the system as quick as possible, so I'd  
11 like to make that kind of recommendation.

12           I'd also like to recommend that the Agency look at  
13 getting -- we heard at the meeting on Monday about possible  
14 revisions to the listeria or the ready-to-eat directive, and  
15 I think that we should encourage the Agency to get the  
16 directive out as quickly as possible with I think they  
17 called them the interim measures, but I think that would be  
18 something the committee might want to consider.

19           MS. TUCKER FOREMAN: Is there any disagreement  
20 around the table on those? Thank you.

21           MR. BILLY: I think we might accomplish the first

1 suggestion by just adding the word encourage in front of  
2 development.

3 MS. TUCKER FOREMAN: Yes.

4 MR. BILLY: I don't know if that's quite what you  
5 had in mind.

6 MS. JOHNSON: Well, we probably need to go beyond  
7 post pasteurization.

8 MR. BILLY: Broader than that? Okay.

9 MS. TUCKER FOREMAN: You also I think want  
10 education technology transfer.

11 MS. JOHNSON: Yes. I mean, the first bullet --

12 MS. SMITH DEWAAL: It's also approvals, though,  
13 isn't it?

14 MS. JOHNSON: Yes. The first bullet would be  
15 great -- yes, I'm talking approvals, too -- if we expanded  
16 it beyond just the post packaging pasteurization because  
17 there are other --

18 MS. TUCKER FOREMAN: And other new technologies,  
19 but you also want the sense of --

20 MS. SMITH DEWAAL: Can I recommend an additional  
21 bullet that just said, and we can add some words to this,

1 Alice, but something like speed approval of new  
2 technologies? We encourage the Agency to speed approval of  
3 new technologies.

4 Alice, is there more there?

5 MS. JOHNSON: No. That's good.

6 MALE VOICE: They all don't approve technology any  
7 more?

8 MS. SMITH DEWAAL: Oh, they do.

9 MALE VOICE: Out of the business.

10 MR. DENTON: I would like to make a comment, if I  
11 could, along those lines. We have faculty that are in my  
12 program back at the University of Arkansas that are involved  
13 in the investigation of new technologies with particular  
14 emphasis on the use of single peridenium chloride, which is  
15 the active ingredient in Cepacol mouthwash. It's proven to  
16 be a very effective anti-microbial.

17 MS. TUCKER FOREMAN: In order to move ahead with  
18 the rest of the discussion, why don't you let us play a  
19 little bit? I didn't sense any disagreement, so it's just  
20 how we word this.

21 MS. JOHNSON: I'm sorry, Carol, but just to

1 clarify. There are some issues with getting this whether we  
2 call it a technology or whatever. It's not just related to  
3 FSIS. There are other agencies involved, but there are  
4 approval processes that are slow in getting some of this in  
5 place.

6 MS. TUCKER FOREMAN: So it's not inappropriate to  
7 say approvals?

8 MS. JOHNSON: Yes. Well, I don't think so.

9 MR. DENTON: That's just one other piece. That's  
10 fine.

11 MS. TUCKER FOREMAN: I think we got the sense of  
12 where you want to go.

13 The floor is open then for feedback on research,  
14 which follows very logically from where we've been. We'll  
15 do five minutes on it and five minutes on data needs.

16 MR. BILLY: Katie?

17 MS. HANIGAN: After the outstanding presentation  
18 yesterday by Roger Breeze, I would recommend that we  
19 encourage ARS to develop a similar research project similar  
20 to the 0157:H7. It looks like they're answering a lot of  
21 questions and have it related to the listeria. I mean, they

1 did a beautiful presentation for us yesterday.

2 MR. BILLY: Very good.

3 MS. MUCKLOW: Tom, is there any related activities  
4 of FDA that we could maybe save having to be repetitive in  
5 some of the work we do from a research point of view or that  
6 the government can look up?

7 You're working with your sister agencies on this,  
8 and they may have some research particularly on packaging  
9 and so on because they have as much problem as we do.

10 MR. BILLY: Yes. They are engaged in research on  
11 post processing pasteurization and some new packaging  
12 materials that are related to -- you know, that would stand  
13 up to that kind of treatment and then could be used, sent to  
14 the grocery store and that kind of thing, so cooperation.

15 MS. MUCKLOW: Maybe some of that could be made  
16 available through your website or something so that we can  
17 have some referencing.

18 MR. BILLY: Yes. One possibility would be,  
19 picking up on what Katie said, is to arrange for ARS to come  
20 back at the fall meeting and lay out a proposed research  
21 plan for listeria and also invite FDA to come and talk about

1 what they're doing.

2 MS. MUCKLOW: Great.

3 MR. BILLY: Dale?

4 MR. MORSE: Dale Morse. Post field  
5 electrophoresis or DNA fingerprinting has shown -- has  
6 become invaluable in terms of looking at the listeria  
7 problem and linking potentially sporadic cases to show that  
8 there's a clustering and then potentially linking it back to  
9 sources.

10 I'd just like to see whether research or wherever  
11 it's listed to try to support the use of that technology,  
12 and it sort of cuts across agencies so that -- I mean, it's  
13 now part of PulseNet, but it's still under utilized in terms  
14 of in human cases now increasingly tested, but there's this  
15 link with food products, and animals need to be further  
16 enhanced.

17 So we bring in FDA, USDA, and I know there's  
18 probably some reluctance to do that, have people have food  
19 sources listed in type, but that's one way to sort of look  
20 at what potential strains are really virulent and pathogenic  
21 because it's clear that there's some strains that are in

1 animals and food that are probably not pathogenic, so  
2 there's a whole area of research looking to DNA  
3 fingerprinting, which should be I think encouraged and  
4 enhanced and would bring the various agencies together as  
5 well.

6 MR. BILLY: That would be CDC that's doing a lot  
7 of that in cooperation with us and others, so maybe we ought  
8 to amend that to include CDC as well because they're using  
9 those tools and working with us to try to develop better  
10 understanding.

11 MR. DENTON: I fully agree with what Dale is  
12 saying. I think that goes back to the case with -- I've  
13 forgotten the organism in Colorado, but that's one of the  
14 ways that you establish the relationship between what you  
15 see in food borne illness outbreak with humans to actual  
16 source. DNA fingerprinting is probably the most definitive  
17 tool we have in that regard.

18 MS. MUCKLOW: Dale, would somebody -- I was trying  
19 to write down and capture the last -- the additions to the  
20 first one, so would somebody tell me where we are now in the  
21 research, kind of recapitulate for me, Dale?

1 MR. MORSE: I can write down something. Basically  
2 a bullet to encourage use of DNA fingerprint --

3 MS. MUCKLOW: Okay.

4 MR. MORSE: I'll draft something, but the concept  
5 I guess is to encourage its use and also sort of integration  
6 of the data from food, animal and using the various federal  
7 agencies or industry, too, would be great to share the  
8 technology, so research on DNA fingerprinting and also  
9 virulence and pathogenesis, but I'll write a bullet.

10 MS. MUCKLOW: Thanks.

11 MS. HANIGAN: I think we were talking about having  
12 someone at the November meeting, were we not?

13 MS. MUCKLOW: Yes.

14 MS. RIGGINS: Yes. Just a little bit more  
15 information that Dan Engeljohn just gave me that as a part  
16 of the overall effort of the National Advisory Committee on  
17 microbiological hazards to food, the FDA and FSIS are going  
18 to engage the committee in looking at the shelf life of deli  
19 products.

20 That would be across the board, meat, poultry, egg  
21 products, all those that are sold, you know, in the deli,

1 cheese, dairy. Just so you know, that is one project that  
2 will be ongoing.

3 MS. TUCKER FOREMAN: Can we spend our last couple  
4 of minutes on data needs and sources of data needed to  
5 support rule making and education?

6 MR. BILLY: Well, I think one example is what Dale  
7 is currently writing. Getting a better understanding of  
8 what's actually causing illness and, you know, the different  
9 subtypes and which ones are or aren't involved and patterns  
10 there.

11 All of these are very valuable to educate both  
12 industry and consumers. I mean, the more we can understand  
13 about this particular organism, the better position we're  
14 going to be in to make recommendations. We learned a lot  
15 just from the last large outbreak where there was more than  
16 one listeria monocytogenes subtype involved, and it took  
17 quite a while to sort all that out, but I think that type of  
18 data is key to education and even rule making.

19 MS. SMITH DEWAAL: One of the things that would be  
20 very helpful from an education standpoint is trying to get a  
21 handle on who is actually at risk. We know pregnant women

1 are. We know immune compromised adults, but both the  
2 elderly and children -- it's a little bit harder to get our  
3 hands around how to inform people appropriately because  
4 there is some data to suggest that perhaps children are not  
5 -- children over the year of one may not be as at risk as  
6 other groups like pregnant women or the immune compromised.

7           It would be helpful to get a better delineation  
8 from CDC of the actual at risk groups because having sat  
9 through many meetings with them I'm still a little fuzzy on  
10 the exact delineation.

11           MS. TUCKER FOREMAN: I raised at the listeria  
12 meeting on Monday the fact that getting information to  
13 limited groups within the population without scaring the  
14 bejesus out of everybody and yet getting it prominent enough  
15 that people know oh, they're talking about me requires some  
16 message research, communications research. Without that, I  
17 don't think the Agency is going to have an effective  
18 education program, public education program.

19           Anything else?

20           MR. BILLY: There's another one I'm recalling that  
21 Kay Waxsmith has mentioned that one of the confounding

1 pieces of information in the investigation that occurred  
2 with the large outbreak a year and a half ago was that in  
3 several instances the people that were ill had cooked the  
4 hotdogs. Several was through microwave.

5           The thinking is that the way they're doing it in  
6 the microwave they've not getting uniform heating and that  
7 it's a surface phenomenon, and the side down, if you will,  
8 is not getting the adequate heating perhaps. This is  
9 another example of where you could educate. If you had  
10 better information you could adjust, you know, what you're  
11 communicating to consumers.

12           MR. DENTON: I think you raise a very valid point  
13 there because most of what we know about control of  
14 microorganisms is time/temperature relationships, and I  
15 suspect that what -- I don't know this, but I suspect what  
16 we're facing in the microwave situation is we might be  
17 achieving the temperature, but the time element is not there  
18 in a sufficient quantity to assure the production.

19           MR. BILLY: Could be.

20           MS. TUCKER FOREMAN: Collette had a comment.

21           MS. SCHULTZ KASTER: I was thinking when we were

1 talking about No. 2, and now I'm thinking about it as part  
2 of No. 3, that the bullet point in No. 1 that talks about  
3 effective chemical sanitizers could be fed into either the  
4 research component or the education component because, Dr.  
5 Denton, you might correct me if I'm wrong, but I don't see a  
6 lot of material in the literature.

7           What we have is a lot of commercial material from  
8 suppliers and sanitizers, and it would be good, especially  
9 for these smaller operations, instead of getting the  
10 propaganda from the sanitizer and cleaner manufacturers that  
11 there was some concrete data and recommendations on that.

12           MR. BILLY: Caroline?

13           MS. SMITH DEWAAL: Just one more idea just because  
14 we're struggling with kind of what to tell consumers about  
15 this as we try to educate them. One of the things, and  
16 there may be data on it that's available, but I haven't seen  
17 it, is on the growth curves at different temperatures.

18           I know this is an issue the Agency is planning to  
19 work on, but one of the questions -- so I think a piece of  
20 information we need is at 45 degrees or 41 degrees, which is  
21 a retail temperature, the temperature at which hotdogs will

1 be held at retail or deli meats under many of the food codes  
2 now used in the states, what's the growth?

3           How long will it take for listeria to reach its  
4 maximum level, and should we be advising -- as part of that,  
5 should we be advising consumers to freeze their deli meats,  
6 or should we be advising to eat them within three days? I  
7 mean, how do we handle what may be a very short grow out  
8 phase for listeria monocytogenes, so those two pieces of  
9 information, what the grow out phase is and what concrete  
10 steps we can tell consumers to take to avoid a problem.

11           MS. HALL: I just had a question, just something  
12 I'd like to state. I think there would be a big difference  
13 between laboratory adapted strains of listeria versus wild  
14 strains, so I hope that's being taken into consideration in  
15 the testing.

16           MALE VOICE: Yes. They do that under CDC.

17           MS. TUCKER FOREMAN: My time keeper here says our  
18 time is up.

19           MR. BILLY: Okay. It seems like we have a pretty  
20 clear consensus here of the number of recommendations. We  
21 can get them on paper. I think they're pretty

1 straightforward. Are you all right?

2 MS. TUCKER FOREMAN: No, but with the aid of the  
3 transcript I'll --

4 MR. BILLY: Okay.

5 MS. TUCKER FOREMAN: And Dale.

6 MR. BILLY: Katie, you have a revised version of  
7 yours based on the work we did this morning. Do you want to  
8 hand that out? I think it pretty well captures what was  
9 discussed.

10 MS. HANIGAN: Do we need to discuss the whole  
11 thing again or --

12 MR. BILLY: I don't think so.

13 (Laughter.)

14 MR. BILLY: Unless someone has a violent reaction  
15 and can get past my skull. Anyway, we just want it in  
16 everyone's hands.

17 MS. JOHNSON: Mr. Billy?

18 MR. BILLY: Yes.

19 MS. JOHNSON: Something that -- I don't know where  
20 is the appropriate place to talk about this, but it was  
21 Katie's subcommittee last time so I'm going to bring it up

1 now.

2 MS. HANIGAN: Drag me into it again.

3 MS. JOHNSON: I'm going to drag Katie in. The  
4 in-depth verification and the recommendations that were made  
5 at the November meeting.

6 MR. BILLY: Yes?

7 MS. JOHNSON: They're not reflected. Rosemary  
8 mentioned this yesterday, so help me out, Rosemary. They're  
9 not reflected in the committee actions in the first of the  
10 book.

11 MR. BILLY: Right. As best we can recall, they  
12 were discussed at the subcommittee level and presented to  
13 the full committee, but the discussion, as recalled by  
14 staff, was that they were carried through as recommendations  
15 to the full committee.

16 MS. MUCKLOW: Could we ask the staff to go back  
17 and check that because I thought they did come forward as  
18 recommendations.

19 MS. RIGGINS: Let me say this. We did receive the  
20 subcommittee report, and we incorporated many of the  
21 recommendations that you suggested. Yesterday I think it

1 was you mentioned the interview before the in-depth review  
2 and, you know, the close out interview. Those are now --  
3 those are in our current in-depth review protocol.

4           Some of the issues that you raised were about how  
5 it would be implemented, and to respond to that what we did  
6 do was we conducted a pigtail session with our tech center  
7 experts, who are at least in most cases going to be very  
8 active members of the team or team leaders in some  
9 instances, and so we did conduct a pigtail for the tech  
10 center, and then Bill Smith did also have phone conferences  
11 with the district managers in whose areas we initiated  
12 in-depth reviews to give them more detailed information  
13 about the protocol and how it would be implemented.

14           In addition to that, as a part of the three  
15 circuit supervisors that -- we've held one in Denver, one in  
16 New Orleans, and we have another one that's scheduled for  
17 Columbus. There is a whole presentation that is devoted to  
18 the in-depth review protocol, so we are making an effort to  
19 respond to the concerns that you raised and the suggestions  
20 that you gave us, but that's the venue or the vehicle that  
21 we're using to address it because in many instances we know

1 that, you know, actual contact with the people in the field  
2 is a better way to communicate.

3 MR. BILLY: What I was going to suggest, and maybe  
4 this will help you, is that we capture this in writing,  
5 including the revised protocol, and make that available to  
6 all the committee members.

7 MS. MUCKLOW: Excellent.

8 MS. RIGGINS: That would be great.

9 MS. MUCKLOW: Yes. Excellent.

10 MR. BILLY: How's that?

11 MS. MUCKLOW: My concern was an exit interview.  
12 There was not a meaningful exit interview.

13 MS. RIGGINS: Yes.

14 MR. BILLY: We understand.

15 MS. MUCKLOW: We're going to go give our report to  
16 somebody else, and you'll hear about it some day.

17 MS. RIGGINS: Right.

18 MS. MUCKLOW: The most helpful stuff to a plant is  
19 to be able to sit down and talk with the reviewers, clarify  
20 anything at exit. That's what an exit interview is all  
21 about. We'd just like to make sure that that's meaningful.

1 MS. RIGGINS: Yes.

2 MR. BILLY: There's full agreement on that.

3 MS. JOHNSON: And I think that when we look at the  
4 recommendations that are in the committee book that if when  
5 you go back and check and it was agreement by the full  
6 committee, because it sounds like you've made a lot of the  
7 recommendations that that just be put into the books --

8 MS. RIGGINS: Okay.

9 MS. JOHNSON: -- for the record. Thank you, Judy.

10 MS. MUCKLOW: Mr. Billy, could I raise one other  
11 thing about committee assignments and responsibilities? You  
12 determined in advance which committee we're going to serve  
13 on. Last evening people didn't faithfully follow their  
14 committee assignment. There were some switches and changes.

15 I assume that you make these assignments with some  
16 thought to provide balance to the committee work in order to  
17 then reduce what we have to do at the full committee. Could  
18 you clarify the policy on that for us, please?

19 MR. BILLY: Yes. The long established policy is  
20 that we ask members of the committee to volunteer to serve  
21 on one of the subcommittees, and it's their option. At the

1 same time, we make it clear, and we have been making clear,  
2 that if on a particular issue a member of the committee  
3 wishes to sit in on another subcommittee's deliberations,  
4 they're free to do so. I think that's been happening pretty  
5 consistently.

6           If we feel that in the volunteering there is, you  
7 know, 20 on one and one or two on the other then we'll do a  
8 little arm twisting to try to get a little more balance, but  
9 other than that it's based on the interests of the committee  
10 members.

11           MS. GLAVIN: Tom, this last time we did not do  
12 that. I'm sorry. When we started we had sort of focuses  
13 for each committee, and as we moved through some of the  
14 issues and got to the issues we had this time they didn't  
15 fit very well into the committee focuses that we had, so we  
16 named them Committees 1, 2 and 3, --

17           MR. BILLY: Okay.

18           MS. GLAVIN: -- and we arbitrarily selected  
19 people, so we didn't give people this time a chance to --

20           MR. BILLY: Sorry about that.

21           MS. GLAVIN: I'm sorry.

1 MR. BILLY: That's all right.

2 MS. MUCKLOW: Some committees were lucky to have  
3 any people at all last night, whereas others it looked like  
4 it was a mini group of this group. Clearly it would be good  
5 to understand the basic policy.

6 MR. BILLY: On the other hand, it would seem like  
7 the smaller the committee the more easily their  
8 recommendations seem to be --

9 MS. MUCKLOW: You've got that right. You've got  
10 that right.

11 MR. LAFONTAINE: It's easier to control two people  
12 than ten.

13 MR. BILLY: All right. I want to move on.

14 MS. TUCKER FOREMAN: I do want to --

15 MR. BILLY: Carol?

16 MS. TUCKER FOREMAN: -- clarify that we have -- am  
17 I correct? Did I understand you that we have followed the  
18 practice that where individual members have particular  
19 interest in an issue before a given subcommittee they're  
20 free to float? That's what we've been doing --

21 MR. BILLY: Yes.

1 MS. TUCKER FOREMAN: -- for the four years that  
2 I've been on the committee.

3 MR. BILLY: That's correct. In fact, in some  
4 instances if a committee finishes its work very quickly  
5 they're free to go over to another subcommittee and join in.  
6 We want it to be very flexible so we get the maximum  
7 benefit of the advice and counsel of all the members on the  
8 various issues.

9 I hope that that kind of flexibility serves your  
10 interests well in terms of figuring out where you want to  
11 sit in. It might vary from time to time. We'll try to  
12 evaluate the approach we took this time versus the previous  
13 one, and maybe we'll talk about it some more, but I think  
14 it's good to be as flexible as possible.

15 MS. TUCKER FOREMAN: I would like to point out,  
16 Mr. Chairman, that there are only four consumer related  
17 representatives on the committee, and it has traditionally  
18 been hard for us to have our point of view adequately  
19 represented in each of the meetings and so we have, without  
20 fail, bounced from one subcommittee to another in order to  
21 make up for the fact that the committee structure has some

1 imbalance in that regard.

2 We continue to urge you to have more consumer  
3 representatives so that we can have as many in each of the  
4 subcommittees as we did in Subcommittee 3 last night.

5 MS. MUCKLOW: I'd like to volunteer to be the  
6 chairman of the subcommittee that nobody wants to come to.

7 MR. BILLY: I hope this is real important.

8 MS. DONLEY: Just as a point which you might want  
9 to consider, is there anything that says you have to keep  
10 three subcommittees at all times?

11 Perhaps you want to vary it from meeting to  
12 meeting, and then in certain cases such as the ones that  
13 were in the subcommittee last night, the listeria and E.  
14 coli, there is certainly a lot of general interest I think  
15 that should be open for everyone.

16 MR. BILLY: Okay. Next I'd like to move on to a  
17 briefing. This will be done by Maggie Glavin. Karen  
18 Hulebak is unfortunately tied up on another matter. She's  
19 going to give you a very brief briefing on the recent  
20 meeting of the National Advisory Committee on  
21 microbiological criteria for foods.

1 MS. GLAVIN: All right. You will recall that at  
2 your last meeting Karen gave you a briefing on what the  
3 micro committee has been doing. That committee has met once  
4 since your last meeting, and that was in December.

5 In December, their entire agenda for the meeting  
6 -- it was a one day public meeting and then one and a half  
7 days of committee deliberation. The entire agenda was HACCP  
8 in fresh juices. This was brought to them by FDA, who is  
9 one of the sponsors of the committee, and that's what they  
10 focused on during that meeting.

11 They have not had a meeting since then, and their  
12 next meeting is scheduled for August 10 and 11 in Atlanta.  
13 Their agenda there is being formulated now. It will include  
14 -- we will bring -- FSIS will bring to the committee some  
15 questions on handling and transportation of meat and poultry  
16 products.

17 We also will be bringing to the committee -- they  
18 are asking them to take a look at appropriate cooking  
19 temperatures for non-intact steaks specifically with respect  
20 to 0157 in mechanically tenderized steaks. We also will be  
21 taking back to them the 0157 risk assessment, which we

1 anticipated will at that point in time be open for public  
2 comment, will be available for public comment, so we'll be  
3 taking that back to the committee.

4 As someone mentioned a little while ago, the  
5 question of listeria. Both we and FDA will be asking the  
6 committee to look at questions of shelf life and product  
7 dating with respect to listeria.

8 MR. BILLY: And then also the HACCP issues, the  
9 industry petition, what we talked about this morning.

10 MS. GLAVIN: Right. That wasn't on my cheat sheet  
11 because that hadn't happened yet, but we will add that. We  
12 will ask them to look at that.

13 Thank you.

14 MS. SMITH DEWAAL: Do they have subcommittees that  
15 are meeting in between the full committee? Are you aware?

16 MS. GLAVIN: They do have subcommittees. It's my  
17 understanding that the meat and poultry subcommittee has not  
18 met other than at the full committee in December.

19 MS. SMITH DEWAAL: Thank you.

20 MR. BILLY: Okay. Any other questions? Comments?  
21 Way to go, Maggie.

1 MS. HANIGAN: Nothing like bringing us back on  
2 schedule.

3 MR. BILLY: All right.

4 MS. GLAVIN: Not quite. Only an hour off.

5 MR. BILLY: Now I'd like to move on to two more  
6 briefings. The first is a briefing on the policy issues and  
7 options related to campylobacter and, more specifically,  
8 campylobacter performance standards. I'd like to call on  
9 Phil Derfler to lead that discussion.

10 MR. DERFLER: Hi. I've got to be careful what I  
11 say so I don't cause any more trouble. With me is Dr.  
12 Geraldine Ransom from the Office of Public Health and  
13 Science so that one of us knows something about  
14 campylobacter.

15 The campylobacter briefing paper is at Tab 10.  
16 What the purpose of this briefing really is is to update  
17 you. This committee had previously asked and referred the  
18 issue of a performance standard for campylobacter to the  
19 microbiological advisory committee. The microbiological  
20 advisory committee came back and said that they really  
21 couldn't answer it, but they came back with seven

1 recommendations or seven areas that they thought needed to  
2 be explored.

3           The purpose of this briefing is to try and update  
4 you on where the progress of the Agency is on each of the  
5 points that was raised by the microbiological advisory  
6 committee.

7           The first microbiological recommendation was based  
8 on the results of the ARS on farm study, intervention should  
9 be developed for on farm practices. Dr. Stern from ARS is  
10 doing research on this area. Little work had previously  
11 been done on on farm campylobacter interventions. Much  
12 more has been done with respect to salmonella.

13           Although campylobacter and salmonella are  
14 different, they have enough similarities to warrant  
15 consideration of common intervention strategy. There are  
16 four key intervention strategies for salmonella control,  
17 antimicrobial spray for hatching cabinets, limited re-use of  
18 paper pads for chicks, competitive exclusive cultures and  
19 litter abatement to reduce the pH of underfoot traffic.

20           Dr. Stern is looking to adapt and test some or all  
21 of these methods for campylobacter. A limited recent

1 literature review conducted by FSIS revealed that some  
2 interventions found to be successful in controlling  
3 salmonella in poultry have not been successful in  
4 controlling campylobacter. Campylobacter appears to be an  
5 unusual organism with a unique niche in poultry production.

6 It appears to resist intervention strategies known to be  
7 successful with other pathogens, but we await the results of  
8 Dr. Stern's work.

9           The second recommendation was that a new method  
10 for enumerating campylobacter that was developed by ARS  
11 should be used by FSIS. This method has been used by FSIS  
12 since October of 1999. What we've done since then is try to  
13 compare the ARS direct plating method for campylobacter  
14 with FSIS' most probable number method. FSIS is running or  
15 has been running the two methods side by side.

16           FSIS statisticians are now evaluating the data  
17 from the two methods, although we haven't reached any  
18 conclusions yet. The ARS method has a significant advantage  
19 because it requires less laboratory time and expense, but  
20 the counts of campylobacter appear to be lower using the  
21 ARS method, which may be a problem. If FSIS finds that the

1 ARS method is satisfactory, it will implement it in all  
2 three of the Agency's laboratories.

3           The third recommendation. Studies should be  
4 undertaken to examine the infectious doses of  
5 campylobacter. Work on this has been done by OPHS staff.  
6 They've done a significant amount of research, and what  
7 they've found is there actually is very little data  
8 available on this issue. There's only a couple published  
9 articles. A relationship of dose to illness cannot be  
10 derived from the available work, although they're continuing  
11 to work on it.

12           Recommendation 4 was that a campylobacter risk  
13 assessment should be conducted. We've requested money to do  
14 that kind of risk assessment in our budget request for 2001,  
15 and my understanding is it's still in the request as it  
16 moves forward through Congress. If we get to do the  
17 campylobacter risk assessment, there is some possibility we  
18 may be able to work in conjunction with the Canadians, who I  
19 think we understand have been doing or looking at the  
20 possibility of doing a campylobacter risk assessment.

21           Recommendation 5. Irradiation should be

1 considered for raw meat and poultry products. You know, the  
2 Agency has approved the use of irradiation in both meat and  
3 poultry, and what we know is that campylobacter is  
4 considered to be one of the most irradiation sensitive of  
5 the pathogens. However, there's been very little use of it  
6 in poultry, so it's not clear that it's being used as  
7 effectively as it might.

8           Recommendation 6. FSIS should work with ARS to  
9 evaluate effectiveness of pathogen intervention treatments  
10 for both salmonella and campylobacter. As I stated before,  
11 the recent literature reviews have suggested that  
12 interventions that are effective on salmonella may not be as  
13 effective with campylobacter.

14           FSIS recently did a survey of 48 poultry  
15 processing plants. We surveyed our in plant personnel, just  
16 as we did with listeria, to try and determine what  
17 interventions are in place in those plants. What we intend  
18 to do is try and correlate the results of our survey with  
19 the results that we're getting from the testing to see if we  
20 can identify some of the more effective interventions that  
21 are currently in place in poultry plants and perhaps find a

1 way of dealing with campylobacter that way.

2           Finally, No. 7 is FSIS should obtain data for one  
3 full year of royal or baseline surveys so that a comparison  
4 can be made to the previous baseline survey. FSIS started  
5 doing a new national baseline using the most probable number  
6 method -- that's our traditional method -- in January of  
7 1999. As I stated before, though, we started comparing the  
8 ARS method with the MPN method in October.

9           The results of the comparative study will  
10 determine whether the ARS or the most probable number method  
11 will be used for the baseline, assuming we do a baseline.  
12 We have yet to decide on the specific data that we would use  
13 in formulating a baseline if that's in fact what we do.

14           One of the things that we're doing is we're  
15 looking at salmonella data and E. coli data. The samples  
16 that we get we're testing for both salmonella and E. coli to  
17 see if there's a correlation between either of those  
18 pathogens and campylobacter. The salmonella may be  
19 important because if there is a strong correlation then the  
20 performance standard that we have already may in fact be all  
21 that we need, but that's one of the things that we're

1 looking at.

2 That completes my update.

3 MR. BILLY: Lee?

4 MR. JAN: I'd just like on your last statement, --

5 MR. DERFLER: Yes?

6 MR. JAN: -- the correlation that you're doing  
7 between you said between two pathogens, salmonella and --

8 MR. DERFLER: Well, three.

9 MR. JAN: -- E. coli.

10 MR. DERFLER: And campylobacter.

11 MR. JAN: Are you talking about generic E. coli or  
12 pathogenic E. coli?

13 MR. DERFLER: Generic E. coli.

14 MR. JAN: Okay, sir.

15 MR. DERFLER: Yes. It's generic E. coli.

16 MR. BILLY: Okay. Caroline?

17 MS. SMITH DEWAAL: Thank you. I have a specific  
18 question and then a general question.

19 You say here that a national baseline is underway,  
20 but from your oral comments you seem to be indicating that  
21 you can't decide on which method to use to do the national

1 baseline and that that decision hasn't been made, so you  
2 don't have a national baseline underway? I'm confused.

3 MR. DERFLER: We're trying to develop a new  
4 national baseline. The question is what is the method we're  
5 ultimately going to be using? Are we going to keep with our  
6 current method, which takes longer and is more expensive, or  
7 are we going to move to the new ARS method that has some  
8 obvious advantages and perhaps some disadvantages?

9 MS. SMITH DEWAAL: And it's not as sensitive? Is  
10 that one of the disadvantages?

11 MR. DERFLER: Yes.

12 MS. RANSOM: Yes. We're getting slightly lower  
13 numbers with that method.

14 MS. SMITH DEWAAL: Okay.

15 MR. DERFLER: But whatever baseline --

16 MS. SMITH DEWAAL: So a national baseline is not  
17 underway because you can't decide on the method?

18 MR. DERFLER: No.

19 MS. RANSOM: The study is underway, but I think  
20 we're referring to publication of baseline figures.

21 MS. SMITH DEWAAL: Well, you published baseline

1 figures back in the year is --

2 MR. DERFLER: 1994 to 1995.

3 MS. SMITH DEWAAL: 1994 to 1995. Thank you.

4 There is the turkey baseline and the chicken baseline and  
5 all the other baselines, so you have baseline data that's a  
6 couple years old now. It would be helpful to have data to  
7 compare with that that's directly comparable.

8 MR. DERFLER: But if we do decide to develop a  
9 performance standard on the basis of the baseline, we need  
10 to have a baseline that we can use in conjunction with a  
11 performance standard.

12 MS. SMITH DEWAAL: So are you running two tests  
13 now, the ARS test and the old test?

14 MR. DERFLER: Yes. Yes.

15 MS. SMITH DEWAAL: Okay. That sounds good. That  
16 was my specific question.

17 MR. DERFLER: I'm sorry.

18 MS. SMITH DEWAAL: My general question is so  
19 what's happening with the performance standards? This  
20 committee, the subcommittee and this committee actually was  
21 asking the NAC MCF to talk to us about a performance

1 standard.

2           They never did that, and so I'm asking you what  
3 are we doing about a campylobacter performance standard  
4 because this is all nice, but it's not really getting where  
5 the committee was going in terms of the campylobacter  
6 issue.

7           MR. DERFLER: I think the answer to the question  
8 is we need to -- we're accepting the recommendations that  
9 they made as questions that need to be answered. Once we  
10 have the answer to those questions, then we can be in a  
11 better position to decide whether or not we're going to go  
12 in the direction of a performance standard for  
13 campylobacter or whether what we have now with salmonella  
14 is adequate.

15           MS. SMITH DEWAAL: So how many years do you think  
16 we are from like having a real public health standard in  
17 place?

18           MR. DERFLER: It depends whether we have to do  
19 rule making or not. I mean, you know, we're moving to get  
20 this answered and done as quickly as we can. I mean, if we  
21 have to --

1 MS. SMITH DEWAAL: Five years?

2 MR. DERFLER: If we decide that the best method is  
3 the ARS method, it's going to take until October of 2000  
4 before we'll have a full year's worth of data. Now, you  
5 know, that's slower up front, but ultimately if it means  
6 less cost and quicker results it's probably worth the  
7 investment.

8 MR. BILLY: Just so I'm clear, we started in  
9 January of 1999 --

10 MR. DERFLER: Right.

11 MR. BILLY: -- baseline based on our --

12 MR. DERFLER: Right.

13 MR. BILLY: -- most probable number method?

14 MR. DERFLER: Yes.

15 MR. BILLY: And then in October, after about nine  
16 months of sample collection, we started analyzing the  
17 samples by both methods, is that correct, and we're  
18 continuing to do that, so we'll end up with two sets of  
19 results, one by each method, and a lot of information about  
20 which method we should rely on?

21 MS. SMITH DEWAAL: Do you have data, though? It

1 sounds like you already have a full year of data that would  
2 be directly comparable to the 1994-1995 baseline.

3 MS. RANSOM: That's right.

4 MS. SMITH DEWAAL: When do we get to see it?

5 MS. RANSOM: We've got the statisticians working  
6 on the data right now. We're doing data edits and clean up  
7 of data, so we're --

8 MS. SMITH DEWAAL: Okay. I would recommend you  
9 release that as soon as you can.

10 MR. BILLY: Alice, and then Dale?

11 MS. JOHNSON: Phil, you talked about a survey on  
12 48 plants.

13 MR. DERFLER: Right.

14 MS. JOHNSON: I know NCC and NTF both were a  
15 little bit involved with this survey. You sent it out to  
16 the IICs, and what you were trying to do is look at some of  
17 the information of what the industry was doing on  
18 campylobacter, as opposed to some of your results that you  
19 were getting?

20 MR. DERFLER: We were interested in what the  
21 industry was doing with respect to campylobacter.

1 MS. JOHNSON: I understand why you couldn't send  
2 it to the industry because of some of the OMB issues and  
3 that, but I'd caution you to in your comparison in trying to  
4 make some correlations, I know some of the IICs actually  
5 went to the plant and asked for specific help, which was  
6 appropriate, and we appreciate them wanting to get that  
7 information, but some of the questions from what I  
8 understand on the survey talked about pre-harvest measures  
9 and a lot of information that in plant IICs might not have  
10 that might flavor the way the survey is going.

11 MR. DERFLER: Right, and we're going to do -- you  
12 know, we're doing an analysis of that now.

13 MS. JOHNSON: Anyway, any of the poultry trade  
14 groups could help you when you do surveys like that, would  
15 be willing to do that, get the right information.

16 MR. BILLY: Dale?

17 MR. MORSE: Just to point out, the FoodNet data  
18 being collected in eight and now the nine states has shown  
19 this interesting drop in campylobacter the last two years.  
20 It may be a coincidence, but it would certainly be nice to  
21 have had the baseline data of campylobacter, like

1 salmonella, to see if there had been a drop with HACCP  
2 implementation and whether that is reflected at all in the  
3 human results, so I guess just reinforced.

4 I'll certainly be interested in the -- I mean,  
5 it's not the same time period, but what's happened with  
6 1994-1995, at least the 1999 year, and then you're  
7 continuing it, I guess.

8 Did you do that in the same plants or same places  
9 so that you would have some comparability at least between  
10 -- the same method, I guess. Did you use the same method?

11 MS. RANSOM: No. We targeted young chickens. I'm  
12 not sure if they're new plants that came on board, but some  
13 of the same establishments should definitely be captured.

14 MR. BILLY: Same design essentially.

15 MR. MORSE: So maybe we'll be able to see this  
16 data at the fall meeting.

17 MR. BILLY: Oh, yes. Dan?

18 MR. LAFONTAINE: I'd like to change the focus just  
19 a little bit. Dr. Denton or anybody else, correct me if I'm  
20 wrong, but this three year ARS study is a very massive  
21 effort on the part of ARS to look at every epidemiological

1 facet of the bird from the hatchery on through grow out into  
2 the slaughter plant, I believe, but for sure through the  
3 farm, to see what the ecology of this organism is and in  
4 turn what intervention strategies may be possible. I  
5 believe they're well into it like probably a year and a half  
6 to two years into the study.

7           What I'm suggesting is that if we invite ARS to  
8 come back and talk to us about a possible strategy for  
9 listeria, maybe they can give us an update on what the study  
10 consists of and where they're at, what they can tell us so  
11 far.

12           It would be very useful to know what's happening  
13 in that whole arena because there's a very massive effort  
14 out there to tackle this issue at the on farm level, so  
15 maybe you can tie these two together if we in fact invite  
16 them back and maybe even get Dr. Stern to talk to us  
17 directly. I've heard him speak at some other conferences,  
18 and he's pretty open, so to speak.

19           MR. DERFLER: Am I correct on that? By just  
20 briefly referring to his study, I wasn't in any way trying  
21 to diminish it. We've given money to him as part of the

1 study to support this.

2 MR. LAFONTAINE: I mainly brought that up -- well,  
3 first so that maybe we can get the information to the full  
4 committee, but trying to emphasize how massive and  
5 comprehensive this is. It's not a quite look see, but a  
6 whole bunch of resources being dedicated to this project by  
7 ARS, you know, out of Athens.

8 MS. HANIGAN: Could you tell me, please, one more  
9 time the gal sitting next to Phil Derfler.

10 MS. RANSOM: Geri Ransom.

11 MS. HANIGAN: Thank you.

12 MR. BILLY: Other comments? Questions? Okay.  
13 I'd like to move on then.

14 The next briefing is an update on meat and poultry  
15 at retail and again will be led by Phil Derfler.

16 MR. DERFLER: My understanding is that the  
17 briefing paper for this is at the back of Tab 5.

18 Are you coming? I always have to have a woman by  
19 my side. No. I'm only kidding. I know. That was really  
20 bad. I'm sorry. Now you can throw things at me.

21 MS. MUCKLOW: You're right. That was really bad.

1           MR. DERFLER: Yes, I know. Sorry. I didn't mean  
2 it. Honest. I didn't mean it.

3           MS. MUCKLOW: What a woman, though. Judy  
4 Niebrief.

5           MR. DERFLER: That's right. It's Judy Niebrief  
6 from OPPD.

7           The purpose of this briefing -- the last time the  
8 committee met Ms. Mucklow and Dr. LaFontaine expressed some  
9 concern about the fact that we hadn't updated the committee  
10 on where we were in responding to the committee's concerns  
11 about the retail exemption, and so I wanted to or we wanted  
12 to provide a briefing. I hope I can do it in a better way.

13           The purpose of this briefing is to update you on  
14 where FSIS is in its thinking on the exemption from  
15 inspection that the Meat Inspection Act and the Poultry  
16 Products Inspection Act provide for retail stores.  
17 Inspection is required where products are prepared or  
18 processed, but the statutes recognize that some activities  
19 that would normally require inspection occur at retail  
20 stores.

21           They provide that the inspection requirements did

1 not apply to operations traditionally and usually conducted  
2 at retail stores if the operations are being conducted to  
3 produce products in normal retail quantities for sale to  
4 consumers at the retail establishment.

5 In issuing implementing regulations, USDA  
6 addressed what operations have been traditionally and  
7 usually conducted at retail stores and also defines what  
8 constitutes normal retail quantity. It also provided that  
9 consumers included non-household consumers, as well as  
10 household consumers.

11 The advisory committee has suggested that these  
12 criteria may not be appropriate. Last year you suggested  
13 that FSIS should assess the health risk of exemptions and  
14 assign inspection resources where the risk is the highest.  
15 The example that perhaps typifies the committee's concerns  
16 about the retail exemption provisions is grinding. Concerns  
17 about the E. coli 0157:H7 contamination have highlighted the  
18 fact that grinding is not a low risk operation.

19 MS. MUCKLOW: Phil?

20 MR. DERFLER: Yes?

21 MS. MUCKLOW: Have you got this written out for us

1 somewhere?

2 MR. DERFLER: I have it written out for me. I  
3 mean, I can --

4 MS. MUCKLOW: Did you say there is a briefing  
5 paper on this?

6 MR. DERFLER: There is a briefing paper at the  
7 back of Tab 5. It's different.

8 MS. MUCKLOW: Okay.

9 MR. DERFLER: I'm sorry. This is like about the  
10 fifth or sixth talk I've given in the last three days. I'm  
11 just trying to get through it.

12 Concerns about E. coli 0157 contamination have  
13 highlighted the fact that grinding is not a low risk  
14 operation, but, on the other hand, FSIS regulations provide  
15 and most would likely agree that grinding is an operation  
16 that traditionally and usually occurred at retail.

17 The decision in the Honey Baked Ham case, which is  
18 summarized in the handout that you have, raises a question  
19 as to whether the fact that a retail store performs an  
20 operation that has traditionally been performed at retail,  
21 that that presents some risk would be enough to require

1 inspection.

2           The Court found that Honey Baked Ham's retail  
3 stores supplying sliced, glazed and packaged product for  
4 sale at their own shopping center kiosk, activities that  
5 could introduce some risk to the product, did not transform  
6 them into a hybrid retail wholesale operation that required  
7 inspection.

8           However, the FSIS shares this committee's concerns  
9 about whether risks to consumers result from the retail  
10 exemption. As a result, FSIS has been taking a closer look  
11 at retail operations.

12           For example, at the last Conference of Food  
13 Protection meeting, which was held in April, FSIS, in  
14 cooperation with FDA, proposed to have FSIS' performance  
15 standard for certain meat and poultry products, which  
16 includes products like roast beef, to be incorporated into  
17 the food code as at least an alternative means of compliance  
18 with the food code's requirement. The conference voted to  
19 take no action on this suggestion, however. Thus, this  
20 particular effort is stalled for now.

21           Using a somewhat different tact, FSIS has under

1 development two rule makings related to retail. By way of  
2 background, it's important to note that even if the  
3 requirements for inspection did not apply to certain  
4 operations -- the requirements for inspection did not apply  
5 -- it does not mean that those operations are not subject to  
6 regulation under the Meat Inspection Act or the Poultry  
7 Products Inspection Act.

8 Both statutes provide that with narrow exceptions  
9 their adulteration and mis-branding provisions apply to  
10 foods not covered by inspection mandates. In addition, the  
11 statutes authorize USDA to prescribe by regulation  
12 conditions under which products are stored or otherwise  
13 handled by those in the business of buying, selling,  
14 freezing, storing, transporting or importing meat and  
15 poultry products.

16 Thus, FSIS is developing proposed regulations on  
17 how product is to be handled during transportation and  
18 storage and while held for sale at retail. That's one of  
19 the things that you just heard we're going to be asking the  
20 microbiological committee about.

21 Second, FSIS announced in a Federal Register

1 notice in October of 1999 when it published a notice to  
2 clarify the effects of the Honey Baked Ham decision that it  
3 is reviewing the retail exemption regulations themselves.  
4 One issue that FSIS intends to consider in that review is  
5 what should be the effect on a facility's eligibility for  
6 the retail exemption of sales to non-household consumers;  
7 that is, to hotels, restaurants or similar institutions of  
8 products that are processed or prepared at the retail  
9 facility.

10           In 1998, this committee recommended that FSIS  
11 modify its regulation on the retail exemption to provide  
12 that any wholesale sale of meat or poultry products  
13 processed or further processed by a facility would make that  
14 facility ineligible for the retail exemption. Although  
15 there are certainly other options that we're considering,  
16 this is one approach that we have under review.

17           I hope this clarifies a little bit in a sort of  
18 organized way as to where we are in our review of the retail  
19 exemption.

20           MR. LAFONTAINE: Phil, would you -- that very last  
21 part.

1 MR. DERFLER: Yes?

2 MR. LAFONTAINE: Repeat that.

3 FEMALE VOICE: Yes. One more time.

4 MR. LAFONTAINE: I didn't quite catch what you  
5 said.

6 MR. DERFLER: What I'm saying is that we're  
7 looking at the retail exemption and our regs, our retail  
8 exemption regulations now. We have them under review.

9 This committee recommended that if a retail store  
10 processes or further processes a meat and poultry product  
11 and then sells it to an institution or a hotel or  
12 restaurant, then that would disqualify -- that that should  
13 disqualify the facility for the retail exemption.

14 Now, we're not saying we're going to necessarily  
15 propose that in our regulation. We're saying that's one of  
16 the options that we have under consideration, but it is a  
17 recommendation of this committee that sat for a couple  
18 years, and I wanted to give you the status. It is one of  
19 the options that we're considering.

20 FEMALE VOICE: Okay.

21 MS. MUCKLOW: I thought, Phil, that that last

1 provision, that it would destroy their exemption right now.

2 I didn't realize that's something you're looking at. I  
3 thought that if a retail store processed something and then,  
4 you know, like nitrite cured or whatever, which it's  
5 permitted to do under the retail exemption, I thought it was  
6 not allowed to sell that to non-household.

7 MR. DERFLER: No.

8 MS. MUCKLOW: Judy is ready.

9 MS. NIEBRIEF: There's a little -- you made the  
10 question harder, Rosemary, because --

11 MS. MUCKLOW: You're kidding?

12 MS. NIEBRIEF: Yes, because under the meat  
13 inspection regs, unlike the poultry products inspection  
14 regs, there's a subsetting of what operations are  
15 traditionally and usually conducted at retail, so you went  
16 and got into the subset, okay.

17 MS. MUCKLOW: So that's in the meat regs, but not  
18 in the poultry regs?

19 MS. NIEBRIEF: Both sets of regs essentially say  
20 -- now, I'm going to do this very broadly -- that  
21 traditionally and usually conducted operations include

1 essentially everything except slaughter and canning, but for  
2 product sold HRI that list is shorter for meat food  
3 products.

4 MS. MUCKLOW: Okay.

5 MS. NIEBRIEF: And if you ask, I have to look it  
6 up before I'd have any confidence.

7 MS. MUCKLOW: That's okay. That's what I thought  
8 it was.

9 MS. NIEBRIEF: Okay?

10 MS. MUCKLOW: Yes. Okay. My memory is not as bad  
11 as it was or I thought it might be.

12 MS. GLAVIN: Are there other questions? Dan?  
13 Sorry.

14 MR. LAFONTAINE: I'm going to kind of -- Dan  
15 LaFontaine, South Carolina. I may sound like a broken  
16 record, but I want to repeat it one more time to hopefully  
17 make my point.

18 What we have now is very small plants across the  
19 United States, and I'm thinking 6,000, 2,500 federal and  
20 approximately 3,500 -- 2,500 state and 3,500 federal that  
21 are wholesalers of meat and poultry, and by the mega reg

1 they are required to have some very stringent food safety  
2 elements in place. The sanitation standard operating  
3 procedures, performance standard for meeting salmonella with  
4 government testing and implementation of HACCP. That's  
5 good. We've accomplished a lot with that in the industry we  
6 regulate.

7           At the same time, we have literally hundreds and  
8 thousands of retail stores that are doing the very same  
9 single ingredient products, ground beef, cube steaks, pork  
10 chops, steaks, roasts, you name it, and are selling on an  
11 annual basis up to \$42,000 worth of these very same items,  
12 and you translate that into ground beef approximately 20 ton  
13 of ground beef a year without any additional food safety  
14 elements that they're required to implement other than  
15 meeting a local health standard, health department standard,  
16 that may come by a couple times a year.

17           What it presents to, and I'm speaking for South  
18 Carolina, a question that I cannot answer to anybody when my  
19 plant owners say Doc, how can this be? You were going to  
20 nail me to the wall if I don't do my HACCP right or  
21 whatever, and I could walk right down the street and this

1    guy is turning out ton after ton of product with this  
2    exemption that's grown to \$42,000 per year with none of  
3    these checks.

4            It doesn't pass the common sense test, and that's  
5    why I'm so passionate on this because it hits me every day  
6    in my business, and so that's the problem and that's the  
7    problem that needs to be fixed. The HRI retail exemption  
8    has grown way out overboard, and it needs to be brought  
9    back, either eliminated or brought back to a much reasonable  
10   level than what it is now.

11           That's as straightforward and simple as I can put  
12   it, and that's my position and my proposal to where we head  
13   on this issue.

14           MS. GLAVIN: Thank you.

15           Other comments or questions? Lee?

16           MR. JAN: I agree with Dan, so it's not only South  
17   Carolina. It's Texas and I'm sure Wisconsin and all other  
18   states about that issue. One thing that I think needs to be  
19   also mentioned is that the recent decision by FSIS that  
20   excluded pass through product essentially doubled or more  
21   the amount of product the store can produce or process to

1 qualified or before they reached that \$42,000 limit, so  
2 instead of reducing the amount that these stores can sell,  
3 it actually increased the amount because they can sell pass  
4 through plus the \$42,000, and that's just --

5 I'd like to know. You know, it sounds like you  
6 have already identified that you need to do something about  
7 that. When is that going to happen? When is that going to  
8 go away or be reduced to a reasonable level?

9 MR. DERFLER: I mean, I can't tell you  
10 specifically, unfortunately, but I can tell you that we're  
11 working on it. You know, we have a lot of things on our  
12 plate, a lot of things competing for resources. That's not  
13 a satisfactory answer, but it's the only thing that I can  
14 say.

15 MS. GLAVIN: Rosemary, do you have a comment?

16 MS. MUCKLOW: What is the time line for your  
17 review process, Phil? Which fiscal year are you going to  
18 get it done in?

19 MR. DERFLER: I don't think I can add to what I  
20 just said. We're working on it, which is better than it's  
21 been other times.

1 MS. GLAVIN: Other comments?

2 MS. HANIGAN: Yes.

3 MS. GLAVIN: Questions? Katie?

4 MS. HANIGAN: Phil, in your summary that you were  
5 reading, did you say the Agency had determined that the  
6 retail exemption did increase the risk for the consumer? I  
7 guess I was writing down stuff that you were saying.

8 MR. DERFLER: I think what I said in my comments  
9 was that they're not necessarily low risk product.

10 FEMALE VOICE: Terry?

11 MR. BURKHARDT: Just wondering if the results of  
12 the risk assessment that's going to be presented on E. coli  
13 and listeria coming out will force that decision to be made  
14 a little quicker because there's a considerable amount of  
15 ground beef that are produced at retail providing  
16 significant risk and a lot of sausage products, ready-to-eat  
17 products, that are produced for retail sale, so on an  
18 overall risk assessment that certainly might change. I  
19 don't know. I'm just expect that it will. I don't know.

20 MS. GLAVIN: Well, I think the issue Dan was  
21 raising and I believe Rosemary was raising was the sale of

1 those products to non-household consumers. Not the sale  
2 directly to consumers, which is part of the law that that is  
3 exempt from inspection.

4 One of the things Phil indicated was the sale to  
5 household consumers of those products is exempt from  
6 inspection, but we still do have authority to set some  
7 performance standards for how those products are handled and  
8 held. That is a direction we are taking.

9 Other questions? Comments?

10 MS. MUCKLOW: Those products are still subject to  
11 the misbranding and adulteration provision --

12 MS. GLAVIN: Yes.

13 MALE VOICE: Yes.

14 MS. MUCKLOW: -- of the law?

15 MS. GLAVIN: Yes.

16 MALE VOICE: Absolutely.

17 MS. MUCKLOW: We need to make sure that that is  
18 understood in the record. A lot of people don't understand  
19 that an exemption simply exempts them from certain  
20 requirements under the Act, but doesn't exclude them or  
21 exempt them from adulteration and misbranding.

1 MS. GLAVIN: That's a good clarification. Thank  
2 you, Rosemary.

3 Dan?

4 MR. LAFONTAINE: I want to ask the hard question  
5 or what I think is the hard question to Phil. First of all,  
6 Phil, good tap dance on the time line. I respect that, you  
7 know, telling the truth you don't when.

8 Let me ask the question. Phil, did I hear you say  
9 that as a part of this proposed rule making that you plan on  
10 addressing in that or as a part of that the HRI exemption?  
11 Did I hear you say that?

12 MR. DERFLER: Yes.

13 MR. LAFONTAINE: Okay.

14 MR. DERFLER: Yes.

15 MR. LAFONTAINE: Okay.

16 MS. GLAVIN: Even though Tom has come back, I'm  
17 going to still be chair and declare a break, but it's only a  
18 15 minute break.

19 (Whereupon, a short recess was taken.)

20 MR. BILLY: Okay. We'll get started. Roger  
21 Breeze and the folks from ARS followed through, and they've

1 provided us a copy of their 1999 progress report on the ARS  
2 food safety research. I think you each got a copy of that.

3 In addition, as it turns out they do have this  
4 videotape on the automated chicken inspection system, the  
5 one that he referred to and that a number of people are  
6 interested in, but, unfortunately, they only have four tapes  
7 so we're going to reproduce it. We'll send copies to all of  
8 the members of the committee. If anyone has a critical need  
9 to see it then we do have a couple of extra copies  
10 obviously, but we'll make copies and send them to everyone.

11 Okay. We're now at the point in the agenda that  
12 deals with the remaining issues and plans for the next  
13 meeting. You will recall that at the beginning when I  
14 talked through the agenda I added a topic which was raised  
15 at the last meeting by Carol Foreman. She raised the issue  
16 of a report that had been put together on 70 or so plants  
17 that had received a number of NRs, and we attempted at that  
18 time to try to be responsive, but it required us to go back  
19 and do some additional analysis and work.

20 We've completed that and provided you earlier sort  
21 of a full report. Just today we provided you what is a

1 front and back, sort of a page and a half summary of that  
2 report.

3 Maggie is going to lead you through this. Mark is  
4 here, as well as Judy, to answer any questions that anyone  
5 has in terms of the analysis that we did and our findings.

6 Maggie?

7 MS. GLAVIN: Sure. Thank you, Tom. As Tom  
8 indicated, what we provided this afternoon was what I'll  
9 call an executive summary of our look into these  
10 non-compliance reports. It is a fairly complex issue, and  
11 this is an attempt to -- it was supposed to be a page, and  
12 they cheated and put it front and back, but, in any case, an  
13 attempt to put on one page what we found.

14 As indicated, at the last meeting there was a  
15 report on I think it was 70 plants with a high number --  
16 that were under HACCP and that had a high number of  
17 non-compliance reports issued in those plants. There was an  
18 implication that corrective enforcement action had not been  
19 taken in those cases.

20 What we did was go back and look at those 70  
21 plants and the non-compliance reports for the time period,

1 which was early 1998-1999, so the early days of HACCP  
2 implementation. We also analyzed data from approximately  
3 2,800 meat and poultry plants, so we tried to look at the  
4 whole universe to put this look in perspective.

5           What we found was a number of things. First of  
6 all, in the larger sample, the sample that represented  
7 plants under HACCP at that point -- it was virtually all of  
8 the plants at that time that were under HACCP. We found  
9 that non-compliance in the public health procedures that we  
10 do in plants, the food safety procedures that we do in  
11 plants, was at about a five percent or less level.

12           I'm not going to say that five percent non-  
13 compliance on food safety is acceptable, but it certainly  
14 does not approach the alarming level. We found that to be  
15 particularly for the very early days of HACCP to be an  
16 acceptable level of non-compliance. Not an acceptable, but  
17 a level of non-compliance that was within the range that we  
18 might have expected.

19           With respect to the 70 plants sampled, we found a  
20 higher level. There definitely were some problems existing  
21 in these plants in that sample, and so we looked further to

1 see what was going on in those plants. What we determined  
2 was that in those plants or in quite a few of those plants  
3 our inspection force was reverting to the old method of  
4 looking at problems and was not taking advantage of the  
5 tools provided to them, provided to the Agency, by the HACCP  
6 system and the HACCP rules.

7           Again, I want to remind you that this was in the  
8 very early days of HACCP, and so, you know, we believe that  
9 this reversion to the old method of inspection was in large  
10 part due to the fact that the transition had not been  
11 completely made by our work force and that that was what was  
12 going on.

13           What was happening was our inspectors were, when  
14 they found a problem, continuing to go back and document  
15 that problem over and over again. The problem certainly  
16 existed, but they weren't stepping back and looking at what  
17 the system was doing and where the system was failing and  
18 requiring the plant to take corrective and preventive  
19 action, which is what should happen under HACCP when a  
20 problem is identified.

21           So they in some instances failed to do that and

1 instead simply kept documenting the problem, which is not  
2 how we have trained our people to react and not the way the  
3 system is designed to take place.

4 This was a very good description for us of the  
5 fact that we have not completely made the change, and this  
6 brought to our attention a particular set of plants where we  
7 needed to do some more work with our inspectors, and we  
8 needed also to increase our oversight of what's going on,  
9 what kinds of findings are being made in plants and what  
10 kinds of actions are being taken when those findings are  
11 made, so we have since that time increased our instructions  
12 to our circuit supervisors and our in plant IICs on how to  
13 react to problems and how to look at the data that is coming  
14 out of plants to insure that the reaction is the appropriate  
15 one and one that leads to correction and prevention.

16 With that, I have both Mark Mina, who, as you  
17 know, is are deputy for field operations, who can help  
18 respond to questions, and also Judy Riggins, who can help  
19 with some of the theoretical HACCP aspects of this.

20 Carol?

21 MS. TUCKER FOREMAN: Thanks, all of you. Would

1 you give me an example, a specific example of a problem that  
2 would result in having an NR issued and then tell me -- and  
3 specifically one in which you found that the inspector was  
4 going back and documenting the same problem repeatedly and  
5 how it should have worked with regard to that specific event  
6 under HACCP?

7 MS. GLAVIN: Okay. Well, I'll use the one that  
8 was the most frequent one that we found in looking at these  
9 70 plants, and that was zero fecal tolerance. The  
10 inspectors were documenting a failure of the zero fecal  
11 tolerance standard, and the appropriate action would have  
12 been to review -- first of all, to do a non-compliance  
13 report, an NR report, informing the plant of this finding  
14 and requiring the plant to take corrective action to correct  
15 the immediate problem and preventive action to insure that  
16 the problem did not recur.

17 We would also expect the inspector in that case to  
18 do a follow up of -- not necessarily a follow up of that  
19 particular failing, but a follow up of the system that was  
20 intended to prevent that failing from happening in the first  
21 place.

1           MR. MINA: Yes. That's fairly accurate, Maggie.  
2 If I may add, in reviewing the system we need to find out  
3 what's causing the problem by reviewing the system, meaning  
4 we have to look at whether there was a problem with the  
5 equipment, whether there was a problem with not hauling the  
6 feed from the chicken prior to slaughter. That's part of  
7 the system evaluation.

8           To support an enforcement action, we need to have  
9 adequate documentation to reflect system inadequacy. Just  
10 identifying the deficiency does not take us to the level of  
11 taking the strong enforcement action we'd like to take, and  
12 so the inspector did not complete the process. The  
13 enforcement actions only documenting the deficiency does not  
14 get us there legally.

15           MS. TUCKER FOREMAN: Okay. Can I ask another --

16           MR. MINA: Sure.

17           MS. TUCKER FOREMAN: -- question, a follow up on  
18 that? How would the inspector have treated zero fecal  
19 tolerance before HACCP?

20           MR. MINA: Well, they treated it the same way in  
21 terms of documenting deficiencies. You see, we're moving

1 from a deficiency identification system to a system  
2 evaluation.

3 All the inspector did in the past, and that's what  
4 Maggie was referring to, is our inspectors reverted to the  
5 old system because we were in that transition period. It  
6 was just documenting deficiencies, not following through the  
7 whole system and making sure that we have adequate  
8 documentation to support system inadequacy.

9 We have to have documentation I think to support  
10 the system has failed, and we did not make that point in  
11 some of those plants. We have to prove that the system  
12 failed.

13 MS. TUCKER FOREMAN: But help me understand. Say  
14 I'm an inspector, and I'm standing there on the line, and I  
15 see fecal material going by on the birds.

16 MR. MINA: Right. Right.

17 MS. TUCKER FOREMAN: Before HACCP, what did I do?

18 MR. MINA: We documented that. We used to use  
19 another form called PDR.

20 MS. TUCKER FOREMAN: But what did I do first? Did  
21 I tell them to pull the bird off the line?

1           MR. MINA: Those particular birds are removed off  
2 the line and corrected under both systems.

3           MS. TUCKER FOREMAN: Okay.

4           MR. MINA: That did not change.

5           MS. TUCKER FOREMAN: But the first thing I did as  
6 an inspector was tell the plant --

7           MR. MINA: Yes.

8           MS. TUCKER FOREMAN: -- take the bird off the  
9 line?

10          MR. MINA: That's correct.

11          MS. TUCKER FOREMAN: Under HACCP, what does the  
12 inspector do?

13          MR. MINA: The same thing.

14          MS. TUCKER FOREMAN: Okay.

15          MR. MINA: They pull those birds off the line,  
16 clean those birds up and then look at the system that's  
17 producing those defects --

18          MS. TUCKER FOREMAN: Okay.

19          MR. MINA: -- and make sure the system is  
20 functioning.

21          MS. TUCKER FOREMAN: A bird with contamination

1 comes off the line --

2 MR. MINA: That's correct.

3 MS. TUCKER FOREMAN: -- regardless of where in the  
4 plant. Before HACCP, they pull the bird off the line and  
5 write an NR?

6 MR. MINA: That's correct.

7 MS. TUCKER FOREMAN: Now with HACCP you pull the  
8 bird off the line, and you write an NR when the first one  
9 goes by?

10 MR. MINA: Yes.

11 MS. TUCKER FOREMAN: Okay. Then a second, third,  
12 fourth, fifth. It's clearly a systemic problem that day.

13 MR. MINA: Right.

14 MS. TUCKER FOREMAN: Then what does the inspector  
15 do?

16 MR. MINA: They have to conduct a system review.

17 MR. BILLY: It's another instruction that they  
18 have, a procedure that they follow.

19 MS. RIGGINS: They would go back, and they would  
20 look and review the documents, and those documents would  
21 include the HACCP plan, the hazard analysis.

1           They would reacquaint themselves with the steps  
2   that the company has committed itself to in terms of  
3   preventing fecal contamination and would determine what in  
4   his estimation or her estimation was not done and what led  
5   to that particular zero fecal failure.

6           MS. TUCKER FOREMAN:   And how many NRs might be  
7   written on a particular day?   You talked about the repeated  
8   NR.   Did the inspector come back the next day and find the  
9   same problem, or was it the next hour or the next shift?

10          MS. GLAVIN:   That varied.

11          MS. TUCKER FOREMAN:   That varied?

12          MS. GLAVIN:   It could be within the same day.   It  
13   could be several days later.

14          MS. HANIGAN:   Carol, I know at the pork plants we  
15   would also go back to the -- we monitor the hogs regularly  
16   ourselves.   We would go back to the last acceptable check  
17   that we had, if you will, and then recheck all those  
18   carcasses back also.

19          MS. TUCKER FOREMAN:   So how did a plant get 700  
20   and something NRs in a period of one year?

21          MR. MINA:   These are fairly large plants, and they

1 produce 250,000 probably chickens a day. They might get one  
2 or two NRs a day, and that adds up to a large number  
3 quickly. That does add up quickly.

4 MS. TUCKER FOREMAN: Actually, you raise a very  
5 good point that I'd like to pursue just a minute. How many  
6 inspection tasks does an inspector perform in a shift for  
7 which an NR might be written? Not different tasks, but how  
8 many times could you write an NR theoretically in one day?

9 MS. GLAVIN: Well, because we have both assigned  
10 tasks and tasks that -- unscheduled tasks, it's really not  
11 possible to give you a number because an inspector can at  
12 his or her discretion repeat a task or add a task that isn't  
13 scheduled for a particular time in order to meet the needs  
14 of the situation.

15 MS. TUCKER FOREMAN: The reason -- I thought that  
16 one of the documents you gave me made a reference to the  
17 number of inspection tasks in something in terms of a couple  
18 of thousand per day.

19 MS. GLAVIN: Uh-huh.

20 MS. TUCKER FOREMAN: One thousand or 1,300 a day.  
21 Does that figure sound familiar?

1 MR. MINA: No.

2 MS. TUCKER FOREMAN: No? Okay. I have to go back  
3 and go through.

4 MS. HANIGAN: It doesn't have to be a scheduled  
5 task. If you're running a double shift operation, single  
6 slaughter, and then you've got double shift processing going  
7 on, and if you have four -- just fictitious; four day  
8 inspectors, if you will, they do not have to be on a certain  
9 task to write you an NR as they are I hate the word  
10 patrolling, but as they are going through their facility.

11 They may see something that you have not  
12 identified, which they will document on the NR, so if you've  
13 got four day inspectors and on one given day each one of  
14 them identifies something, you could generate four NRs off  
15 of your first shift in a given day. I mean, that's just a  
16 fictitious example, and then clearly the same thing could  
17 happen at night when you're talking about how could you get  
18 600 NRs in a year. It could happen.

19 MS. TUCKER FOREMAN: I assume that there are more  
20 opportunities in a poultry plant than they are in a hog  
21 plant?

1 MS. JOHNSON: Yes. If I could, I was just --

2 MR. BILLY: Yes. Just before you make -- I also  
3 want to get a little more discipline in the terms. We moved  
4 away from the term task as associated with the old system of  
5 PDRs where we had somewhere on the order of 500 different  
6 tasks that inspectors were asked to carry out. When we  
7 shifted to HACCP, we shifted to the term procedure and  
8 reduced that to about 50 procedures. I don't remember the  
9 precise number.

10 The procedures, as Mark has described, include our  
11 focus on answering the question whether the system is under  
12 control or not. Obviously if they spot something like has  
13 just been said by Katie and others you can write an NR, but  
14 the follow through involves -- includes a trend analysis,  
15 which is built into the NR procedures process, and when you  
16 start to see repetitive failures then that's where you go in  
17 a document why it's failing and get the right information  
18 that forms the basis for taking more stronger action with  
19 the plant.

20 So it's not just about those particular birds that  
21 you found fecal material on. It's why it was happening, is

1 it repeating itself such that it brings into question the  
2 preventative controls that are in the HACCP plan. If it  
3 brings into question that, then taking action regarding the  
4 effectiveness of the HACCP plan for whatever reasons it may  
5 be occurring.

6           Sorry. Alice?

7           MS. JOHNSON: That's okay. As far as I'm going to  
8 talk from some past experiences in some chicken facilities  
9 in that I was sitting here trying to count up how many line  
10 inspectors I can remember and how many floor guys there  
11 were, and then this is strictly in the slaughter area.

12 There were 33 inspectors on line. I was trying to remember.

13 It was when I worked for the Agency, so they were the GS-8  
14 floor people. There were at least eight, and this plant ran  
15 two shifts. That's strictly in the slaughter area.

16           Now, all these birds had to go somewhere, so they  
17 went into cut up, and there were two processing inspectors  
18 there, and there was another further plant where they -- a  
19 part of this plant where they did the cooking, and there was  
20 an inspector that was a higher level, GS level, in that  
21 level, so that plant had just on floor people at least 12

1 inspectors, and each inspector had tasks that they would be  
2 performing, and they would be writing their it was PDRs back  
3 then, but it's equivalent today, so, you know, the 33 just  
4 in the slaughter area, you know, some on line and some on  
5 the floor.

6 MS. TUCKER FOREMAN: But I presume they wouldn't  
7 have been writing PDRs for the same problem because they'd  
8 be in different places in the plant.

9 MS. JOHNSON: Well, but if you consider that on  
10 each slaughter line the inspector is doing so many checks  
11 for the fecal, and help me if -- this is the way --

12 MALE VOICE: Yes. That's right.

13 MS. JOHNSON: -- I understand it was set up,  
14 because I haven't been in the plant. You know, there are at  
15 least just in the slaughter area eight lines that are having  
16 so many fecal checks a day. You know, if there's a problem  
17 on any one line then you're subject to have NRs.

18 MR. JAN: I'd like to maybe put this in a little  
19 more practical perspective or actually what happens on the  
20 regulatory side. When you say numbers of NRs, that by  
21 itself doesn't tell you a lot. What you have to do or

1 inspectors need to do is when a deficiency or deviation is  
2 identified, they do generate a NR, but part of that NR  
3 includes a response by the plant, which is corrective action  
4 and preventive measures.

5           If those preventive measures appear to be  
6 acceptable, the plant can go about its business, and if it  
7 fails again in that same area they have another opportunity  
8 to try another preventive measure, but at some point as long  
9 as these deficiencies are from the same root cause or for  
10 the same thing and the plant is failing to take action, then  
11 the Agency can take stronger enforcement action, but those  
12 PDRs have to be connected.

13           If you look at the raw numbers, there are a lot of  
14 numbers in a plant, and it depends on the complexity of the  
15 plant and its operation. There may be PDRs or NRs that are  
16 generated and they're not related. It may be in an area  
17 that the plant did take corrective action, it was  
18 successful, and that was fine, but when you have a lot of  
19 procedures that are being conducted there is a possibility  
20 that over a year's time you'll have NRs that develop and are  
21 not related, the plant took corrective action and a

1 preventive measure so it didn't happen again.

2           So, you know, I'm not saying that 700 is a good  
3 number or not a good number. You have to look at, I guess,  
4 as you did the percentages, but I think the key is the  
5 plant's response and where the procedure or the deficiencies  
6 have a repetitive nature that the plant just failed to  
7 control. At that point, the enforcement action comes from  
8 the top down basically.

9           MS. TUCKER FOREMAN: If you were to -- following  
10 up on that, if you had a plant with some -- I raised a  
11 couple of plants that had very high numbers of NRs, but  
12 there was no indication of any enforcement action having  
13 been taken.

14           I guess, number one, why would you have a plant  
15 with a whole bunch of NRs and no enforcement action having  
16 been taken? Was that strictly associated with the newness  
17 of the system, or would that happen again today?

18           MS. GLAVIN: It should not happen today. It  
19 shouldn't have happened then. Our analysis of these  
20 particular plants was that in many cases the documentation  
21 was not there on what was going on in the plant.

1           Was the plant taking corrective action?  
2 Preventive action? Was it because the inspector was not  
3 doing what Lee just described, which is building a nexus of  
4 connected, repeated problems that the plant's HACCP system  
5 did not, perhaps could not resolve, and that's what provides  
6 the basis for us to take our enforcement actions?

7           Some of these plants we found that there were  
8 enforcement actions taken, but when -- what should happen is  
9 if we see a lot of NRs, you know, what seems to be a high  
10 number, knowing the plant, of NRs coming out is we should  
11 find out and enforcement action is not there, we should find  
12 out what's going on.

13           We should make sure that the inspector is  
14 appropriately moving from a particular procedural problem to  
15 what has gone wrong with the system and what has the plant  
16 done or not done to correct it.

17           MS. TUCKER FOREMAN: Why would you have a  
18 situation where a withholding or a suspension had taken  
19 place after a number of NRs and then the suspension was held  
20 in abeyance?

21           MS. GLAVIN: Well, when we suspend a plant from

1 operation, when we suspend inspection from a plant, the  
2 plant then provides us or has the opportunity to provide us  
3 with their plan for correcting the problem.

4           If we are -- if our inspection personnel are  
5 satisfied that that action will in fact correct the problem  
6 then we will allow them to operate with the suspension in  
7 abeyance to demonstrate that in fact their corrective action  
8 is capable of correcting the problem.

9           MS. TUCKER FOREMAN: So a suspension being held in  
10 abeyance is really a continued yellow flag warning --

11           MS. GLAVIN: Yes.

12           MS. TUCKER FOREMAN: -- that the plant is on  
13 notice that you don't think --

14           MS. GLAVIN: Yes.

15           MS. TUCKER FOREMAN: That you're aware that things  
16 weren't working well before?

17           MS. GLAVIN: Yes.

18           MS. TUCKER FOREMAN: So being held in abeyance is  
19 not a withdrawal of your concern about the problem, but  
20 allowing the plant to operate under increased scrutiny?

21           MR. MINA: That's correct.

1           Can I add one other point that's important? We  
2 have also developed a data system that we're still working  
3 on and improving on that will help us kind of raise flags  
4 about those plants that have high NRs or have potential  
5 problems. We are training our supervisors to use the data  
6 system and our district people to use the data system.

7           One of the things that we do when that flag is  
8 raised, we send a compliance officer to the plant to review  
9 the documentation to make sure that we have appropriate  
10 documentation to reflect system inadequacy so we can take a  
11 stronger enforcement action. It's a learning process for  
12 our work force.

13           MS. TUCKER FOREMAN: Can I ask just one more  
14 question before you let somebody else in? Do you have  
15 access to -- let's see. These plants went in in 1998.  
16 We're talking about real big plants here. The data that I  
17 have are for that first year.

18           Would it be possible to look at some of these same  
19 plants if you don't run the whole data set, just run a  
20 random sample, to see if the number of NRs issued in these  
21 big plants is still running high, or now that the system has

1 been working for a couple of years if both the plant and the  
2 inspectors are more schooled in their functions, and have  
3 the number of NRs dropped, or have they stayed the same?

4 MR. MINA: Okay.

5 MS. TUCKER FOREMAN: That would be really helpful  
6 to me.

7 MR. BILLY: Mike?

8 MR. MAMMINGA: One thing I haven't heard  
9 interjected into the discussion is the very first  
10 categorization of an NR. Is it food safety, or is it other  
11 consumer protection?

12 When you have -- when you're looking at raw  
13 numbers or the numbers of NRs plus what is appropriate for  
14 the Agency to do, it's absolutely, positively essential that  
15 you know whether you're dealing with a food safety problem  
16 or whether you're dealing with other consumer protection. I  
17 think that should certainly be a part of this data exchange  
18 here on what you're looking for.

19 MS. TUCKER FOREMAN: Yes. I think that when I  
20 gave the Agency some of those numbers that they were broken  
21 out into food safety and OCPs.

1 MR. MAMMINGA: Yes. It just wasn't mentioned  
2 here, and I haven't heard the conversation.

3 MS. TUCKER FOREMAN: No. Thank you. No, it's  
4 not, but it has --

5 MALE VOICE: I think it's in the folder.

6 FEMALE VOICE: It's in the Romberg paper. It's in  
7 the Romberg paper that was in your --

8 MS. TUCKER FOREMAN: That's a good point. A good  
9 point.

10 MR. BILLY: Alice?

11 MS. JOHNSON: Dr. Mina, when you're talking about  
12 the automated system and the database that the circuit  
13 people will be having, and I know that you guys are doing  
14 some really good work with trying to get the districts and  
15 the circuits up to speed with this. You're still just  
16 looking at raw numbers, as Mike just said. Is that right?  
17 I mean, as Mike said, it shows NR numbers. It doesn't talk  
18 about --

19 MR. MINA: No.

20 MS. JOHNSON: Okay.

21 MR. MINA: No. We go further than that, and we do

1 some analysis. We have not perfected the system yet, but  
2 we're working on it. It's not just raw numbers. We try to  
3 interpret what those numbers mean.

4 MR. BILLY: But she means it breaks out the food  
5 safety from the --

6 MR. MINA: Oh, yes. Oh, definitely. Yes.

7 MS. JOHNSON: It does break out food safety.

8 MR. MINA: Oh, yes. Yes. All of those.

9 MS. JOHNSON: It breaks out non-food safety. It  
10 also breaks out the size of the plant?

11 MR. MINA: Yes.

12 MS. JOHNSON: I know back in the olden days --

13 MR. MINA: And by shift. By shift, type of  
14 plants.

15 MS. JOHNSON: And the number of pounds run through  
16 the plant?

17 MR. MINA: Uh-huh.

18 MS. JOHNSON: So the circuit people aren't just  
19 being flagged with here's a number and --

20 MR. MINA: No, no.

21 MS. JOHNSON: Okay.

1           MR. MINA: No. That's part of the training to  
2 kind of train them on how to use the data and what's in the  
3 data system.

4           MR. BILLY: That's the training that's going on  
5 now.

6           MS. SCHULTZ KASTER: But the responses are not  
7 factored in, right? It's generation of the NR, but there's  
8 no plant responses factored into the analysis, correct?

9           MS. GLAVIN: That's right.

10          MR. BILLY: Well, in a sense it is in terms of  
11 whether the follow on procedures are carried out by the  
12 inspector, so we can actually keep track of the procedures  
13 that the inspector is doing.

14           If you have a -- I don't remember the numbers, but  
15 if you have an 0/1 then it triggers another procedure and  
16 are they proportional. It actually gives us a chance to  
17 look at that. That analysis is in part what is the basis  
18 for this report --

19          MS. GLAVIN: And that's what is important.

20          MR. BILLY: -- and our observation.

21          MS. GLAVIN: It's a chance to look at it. A

1 report on NRs by plant is only -- can only serve as a  
2 flagging device. It can't -- your question is right on  
3 target. It can't tell you what's going on, but it can flag  
4 someone. Gee, maybe we ought to look at this plant and see  
5 what's going on.

6 MR. BILLY: Nancy?

7 MS. DONLEY: I hope you can clarify something for  
8 me. Every time I think I'm finally getting it I get  
9 confused again.

10 Carol asked very specifically, and she asked it  
11 twice, that under the old system and under the new system a  
12 bird is spotted on the line. Pre-HACCP and HACCP, a bird is  
13 spotted with fecal contamination. It's taken off the line  
14 each time.

15 What has happened that it's addressed at that  
16 point in time? Why is it in my head that under HACCP the  
17 bird remains there? It goes through the rest of the system  
18 because the rest of the system may address the problem.

19 Also, this bring back to the talk of remember with  
20 the walkie-talkies that you could -- you know, the one could  
21 say to the other inspector watch out for what's coming down

1 the line. Let's make sure it's being taken care of. So  
2 that contradicts this doing things immediately, or am I  
3 messing a couple things up?

4 MR. BILLY: You've got regular HACCP inspection  
5 and the old style inspection all mixed together there. We  
6 don't have the walkie-talkie. You know, under regular HACCP  
7 we don't have that.

8 MS. DONLEY: That's the pilot study?

9 MR. BILLY: That's the pilot study with the radios  
10 and so forth.

11 MS. DONLEY: But in regular HACCP now, too, I  
12 really thought, too, it was waiting to see if the system  
13 worked and that the bird would not be pulled off. That is  
14 not the case. Under regular HACCP, birds get pulled off?  
15 They don't go through the system?

16 MR. MINA: On prior contamination, there is  
17 limited time to reaction. Conceptually you're correct,  
18 Nancy, that we want to make sure that the system works, but  
19 we're not going to see fecal material on a carcass and let  
20 it go out. We are going to give the plant a reasonable  
21 amount of time to react, but we're not going to let

1 contaminated product go out. We will take action before  
2 that contaminated product leaves the plant.

3 MS. TUCKER FOREMAN: So if an inspector sees three  
4 birds in a row quick with fecal contamination on them,  
5 they're not going to -- you know, we can see that be noted  
6 pretty fast.

7 Under the old system, he would stop the line and  
8 tell the plant employee take that off. Under HACCP, does he  
9 say stop the line and take that off, or does he let it go  
10 down the line to be assured that HACCP will take care of it,  
11 but stop it before it goes into the chiller?

12 MR. MINA: That's correct. That's correct. Now,  
13 three birds. You made a very interesting point. Once in  
14 maybe 20 birds you get one with fecal material is different  
15 than if you have three or four coming down the line with  
16 fecal material on them. That indicates that you have a  
17 problem probably up the line with equipment, or someone is  
18 not doing their job right.

19 Normally what you would see is one bird maybe  
20 every -- I don't know -- 50 birds, but if you have three or  
21 four in a row you have a small, serious problem then. Then

1 we'll just let it down. We'll stop.

2 MS. TUCKER FOREMAN: Somebody stops even that one  
3 in 50 before it gets to the chiller?

4 MR. MINA: Yes.

5 MS. TUCKER FOREMAN: I'm sorry. I had raised an  
6 issue, and I found that in this Part 2 of 2 on the handouts  
7 there's a document about quarterly regulatory and  
8 enforcement report, and it starts -- I'm just raising this  
9 because I raised the numbers issue.

10 It says Table 1A provides numbers of NRs and PDRs  
11 issued between April 1, 1999, and June 30, 1999. That's a  
12 three month period. During this period, FSIS performed  
13 1,115,001 inspection tasks at non-HACCP plants and 766,433  
14 in HACCP plants, and it shows the number of PDRs in those  
15 non-HACCP plants.

16 Out of 1,115,000 inspection tasks, there were  
17 2,225 PDRs issued. I was impressed that that was a very  
18 small number. In the 766,433 inspection tasks performed in  
19 HACCP plant --

20 MR. BILLY: Procedures.

21 MS. TUCKER FOREMAN: Procedures. Excuse me. What

1 did I say?

2 MR. BILLY: Tasks.

3 MS. TUCKER FOREMAN: Okay. Procedures. I'm  
4 sorry.

5 MR. BILLY: That's all right.

6 MS. TUCKER FOREMAN: Procedures.

7 MR. BILLY: We're going to help you.

8 MS. TUCKER FOREMAN: It doesn't say that here.

9 MR. BILLY: Then we'll need to fix that, too.

10 MS. TUCKER FOREMAN: Okay. There were 29,354 NRs  
11 issued, so you had fewer tasks, fewer --

12 MS. MUCKLOW: Procedures.

13 MS. TUCKER FOREMAN: Procedures. Thank you,  
14 Rosemary.

15 Fewer procedures, more NRs, even a year after the  
16 HACCP program was in place. There were fewer PDRs issued  
17 under the old system than there were -- substantially fewer  
18 than under the new one. A year afterwards, is that still  
19 because the inspectors aren't used to it, or is this a more  
20 rigorous thing? Are we going to continue to have more NRs?  
21 What's happening here?

1           MR. MINA: We're making progress, Carol. The  
2 change is very fundamental and very significant for both the  
3 plants and our inspectors, and I think we're making  
4 progress. We still have room to improve, and I'm not going  
5 to sit here and say the system is where we want it to be.

6           We, as someone mentioned earlier, are holding  
7 three supervisory meetings this year, and the focus of these  
8 meetings is how we apply HACCP and HACCP past the  
9 implementation, you know, the practical aspect of HACCP.

10          MS. TUCKER FOREMAN: Well, I just say that if  
11 you've got that kind of -- you had fewer procedures, more  
12 NRs issued under the new system than you did under the old  
13 that canard about HACCP means have a cup of coffee and  
14 prayer, I don't think those inspectors have had a lot of  
15 time to sit around drinking coffee and praying. It looks to  
16 me like they're writing NRs all the time.

17          MS. GLAVIN: Carol, I think it's a little bit hard  
18 to compare the two systems.

19          MS. TUCKER FOREMAN: I agree.

20          MS. GLAVIN: They're apples and oranges. You  
21 know, what gives us some confidence that the new system is

1 working is the performance standards which show that the  
2 product is cleaner going out the door.

3 MS. TUCKER FOREMAN: I think the drop in  
4 salmonella indicates that as well, but there has been the  
5 implication that this is --

6 MS. GLAVIN: Right.

7 MS. TUCKER FOREMAN: -- a less rigorous system.

8 MS. GLAVIN: Right.

9 MR. BILLY: Alice?

10 MS. JOHNSON: I want to jump back for just a  
11 minute and make a comment on something that Nancy said just  
12 to be sure where everybody is on the same page here.

13 Under we'll say the pre-HACCP days, the HACCP and  
14 under the HAMP project we are still -- it's the point at  
15 where the inspectors are doing their zero tolerance checks  
16 are still the same. The plant has been allowed to take care  
17 of the process.

18 Under traditional and HACCP, the inspector is on  
19 line, and if there is contamination in the bird the bird is  
20 pulled off line. If there is on-line reprocessing, you  
21 know, the bird goes down, and then the bird is checked after

1 the final wash. The bird is checked in all plants after the  
2 final wash before it goes in the chiller whether the  
3 industry agrees that that is the end point or not.

4 That's what the Agency has deemed appropriate, and  
5 that's where the checks are being done. Any bird that is  
6 found after the final wash that has contamination is pulled  
7 off by either the inspector or if the plants are doing their  
8 checks.

9 Carol, yes, they'll stop the line because as a  
10 trade association person you get calls all the time -- you  
11 know, they stopped the line -- if there's an issue on that.

12 Even under the HACCP inspection models project it is  
13 expected that pre-chill, and that's where the checks are  
14 done and the birds are pulled off the line, so I just wanted  
15 to make a clarification on that.

16 MS. TUCKER FOREMAN: Thank you.

17 MR. BILLY: Okay. Thank you very much. We've  
18 had --

19 MS. TUCKER FOREMAN: I just want to say thanks for  
20 the presentation and for the material. I understand that  
21 virtually all this material is already available out there

1 on the website and other places, but I don't have all day  
2 long to sit and play around with your website, and I could.

3 MR. BILLY: We're going to wrap up now, and I want  
4 to do two things. One, we need to talk a little bit about  
5 the next meeting, and I've made some notes about items for  
6 the agenda, which I'll run through and ask the committee to  
7 add any others that they feel are important or that I've  
8 missed, and then we have a request by three people from the  
9 public to make brief presentations, so by then I think we'll  
10 finish pretty close to 4:15.

11 Here are the items that I made notes on over the  
12 course of the meeting. The first item was based on the  
13 presentation that Dr. Wotecki made, perhaps getting into a  
14 little more depth discussion on this area of precaution.  
15 There seems to be some interest in the committee about that  
16 area, and I'll leave it to the committee in terms of whether  
17 you'd like to have a little more in-depth discussion about  
18 that as it relates to U.S. laws and what some of the issues  
19 are there.

20 One of the reasons that's important is that the  
21 CODEX committee on general principles will be addressing

1 that subject area again next April, and in addition there's  
2 growing interest on reactivating what's called the CODEX  
3 meat hygiene committee. One of the ideas on that that's  
4 being talked about among the various countries is to update  
5 the guidelines and recommendations of the meat hygiene  
6 committee to reflect what is now going on in countries  
7 around the world based on HACCP requirements and other  
8 requirements that have been put in place over the last eight  
9 or nine years since that committee last produced a set of  
10 guidelines and recommendations.

11 I think it's up to the committee to decide the  
12 degree to which it wants to spend any time in this area, but  
13 this subject of precaution as it relates to risk assessments  
14 and some of the things like we're talking about, the E. coli  
15 risk assessment. We're talking about the listeria risk  
16 assessment.

17 We in the United States are using this as required  
18 by law to provide the basis for judgements about regulatory  
19 actions, new regulations or changes in regulatory  
20 requirements, that kind of thing, and part of risk  
21 assessment is evaluating the adequacy of the data and using

1 appropriate caution in making decisions in that process, so  
2 it might be an area that the committee wants to look at a  
3 little more, perhaps not, so I'll leave it to you to think  
4 about while I mention the other items, and then we'll come  
5 back to it.

6           We talked about the possibility of presentations  
7 on specific ARS projects, and one was the Beltsville  
8 project, which is related to this videotape and was briefly  
9 mentioned in the summary that we were provided. Also, a  
10 suggestion was made to get a more in-depth presentation from  
11 Dr. Gill and the work that was done by Dr. Gill and others  
12 in terms of looking at this area of microbiological testing  
13 and the role that it should play or can play.

14           Another area was talking about presentations by  
15 ARS, FDA and CDC regarding listeria and research and maybe a  
16 new technology related to control of listeria, better  
17 understanding of listeria, and then finally also the idea of  
18 getting a presentation from ARS similar to the one on E.  
19 coli 0157:H7 regarding campylobacter. Perhaps if not a  
20 research plan or program, what a proposal would be from them  
21 for a similar kind of focus in that area.

1           Those are the notes I made. Obviously there are  
2 several items that we're currently dealing with that will  
3 carry over to the next meeting as well. Those are my notes,  
4 and I'd just like some reaction from the committee on those  
5 items and any others that you would like to suggest.

6           Carol?

7           MS. TUCKER FOREMAN: By the next meeting, you're  
8 going to have data on -- I know this is a continuing issue.  
9 You're going to have data on the HAMP plants? Isn't that  
10 right?

11          MR. BILLY: Yes. Yes.

12          MS. TUCKER FOREMAN: And you can do a  
13 presentation?

14          MR. BILLY: Yes.

15          MS. TUCKER FOREMAN: Are there training -- I  
16 assume there's no training film video for inspectors working  
17 in HAMP plants, or is there?

18          MR. MINA: No. We don't have a tape.

19          MR. BILLY: But we could give them a pretty good  
20 briefing on the training.

21          MS. TUCKER FOREMAN: Oh, I was just trying to have

1 the notion of a side by side, a video of inspector tasks in  
2 a HACCP plant and a video of inspector procedures or  
3 activities in a HAMP plant.

4           It struck me that might be a visual aid, but I  
5 realize that's an ongoing issue, and I certainly think that  
6 -- has had at least one public meeting between now and then  
7 and coming back for further education on HAMP and the status  
8 will be helpful to me.

9           MR. BILLY: Rosemary?

10           MS. MUCKLOW: I think it would be useful for us to  
11 know more about how we interrelate both maybe with CODEX and  
12 also with the quad group and how that all helps the  
13 international movement of product because international  
14 integrity is very important to our meat and poultry  
15 inspection system.

16           I would have one request to you as the chairman of  
17 CODEX, and that is if they're going to reinstitute that  
18 committee, why don't you call it the meat and poultry  
19 hygiene committee?

20           MR. BILLY: That's going to be one of the  
21 suggestions.

1 MS. MUCKLOW: Good.

2 MS. TUCKER FOREMAN: Thank you.

3 MR. BILLY: Caroline?

4 MS. SMITH DEWAAL: I think that any discussion of  
5 microbial testing should be prefaced or should be introduced  
6 with the data from USDA on actually the success of the HACCP  
7 program. I think it would probably be a good time, too.  
8 The committee has been in place it will be four years, I  
9 guess. You will have data really on all three years of  
10 implementation. The smallest plants you won't have probably  
11 two of the quarters, but I think it would be a good time to  
12 do kind of an assessment of how is HACCP going, what are the  
13 actual impacts and results.

14 I also think you should -- I have heard Dr. Gill  
15 speak, and I have tremendous respect for him, but I think  
16 you should maybe think about a panel of people to talk about  
17 it because, you know, there are very different views about  
18 it.

19 I've heard him speak and thought he represented  
20 really one end of the spectrum, but clearly we'd like to see  
21 some evidence of what is happening right now, what HACCP has

1 given us and where the micro testing program has shown  
2 itself to be useful, as well as perhaps listening to several  
3 experts talk about where it should go.

4 MR. BILLY: Other suggestions? Jim?

5 MR. DENTON: To go back to one of the  
6 recommendations from Katie's committee on Question No. 4  
7 recommending the Agency provide the FDA GMPs and have that  
8 as a topic for discussion at the next meeting if that's an  
9 acceptable recommendation.

10 MS. HANIGAN: I have one question on the date of  
11 that meeting just because it's Halloween night, for those of  
12 us that have children.

13 MR. BILLY: You're welcome to bring them.

14 MS. HANIGAN: No. I don't think you'd want them.  
15 Complete in costume?

16 MR. BILLY: How about with costumes?

17 MS. TUCKER FOREMAN: Are you going to send them  
18 out dressed as chickens and pigs?

19 MR. BILLY: We may have a few spare cow costumes  
20 around.

21 We'll look at that. Fair enough.

1 MS. SMITH DEWAAL: I second that comment.

2 MR. BILLY: Okay. Yes, Lee?

3 MR. JAN: I don't have a topic, but I would like  
4 to mention in the materials that we got this time there was  
5 a very nice calendar that had a good, strong food safety  
6 message. I think it was very user friendly, but I was  
7 disappointed to find out that it's not going to be printed  
8 next year. I asked to buy at least 4,000 copies and give  
9 them out in September, but I wanted a 2001 because September  
10 in Texas is food safety month, and we have a lot of  
11 opportunities to spread the food safety message.

12 I don't know if maybe some of the consumer groups  
13 could take this on as a project and make that available in  
14 the interest of education, but if you look at that calendar  
15 that's the kind of material that needs to be available in  
16 homes, I think.

17 MS. TUCKER FOREMAN: This is the Fight Back  
18 calendar?

19 MR. JAN: Uh-huh.

20 MS. TUCKER FOREMAN: The people who fund Fight  
21 Back are the Food Marketing Institute and the American Meat

1 Institute. I'll send you a list of the people.

2 MR. BILLY: The Turkey Federation. There you go,  
3 Alice.

4 MS. DONLEY: And some of the groups have no money.

5 MS. TUCKER FOREMAN: The Egg Board. There are  
6 some trade associations that have declined to participate  
7 and make their resources available, but it's in constant  
8 need of funding. We'll see what we can do.

9 MR. BILLY: All right. I'm going to now move on  
10 to the public comment period. The first person that signed  
11 up is Stanley Emmerling, who is representing NAMP. He has  
12 some general comments.

13 MR. EMMERLING: Thank you very much. I appreciate  
14 the opportunity. NAMP is the North American Meat Processors  
15 Association, and I'd like to applaud the efforts of the  
16 committee. I think they've done a lot of hard work, and  
17 there's been some good stuff come through.

18 I'd also like to recognize that the Agency, as I  
19 heard it answering and being involved, is really listening  
20 and paying attention and trying to move forward with the  
21 concerns.

1           I want to direct these comments specifically with  
2   respect to the identification of E. coli 0157:H7. The real  
3   key to food safety is really prevention, and really I didn't  
4   hear that word very often. It came up occasionally during  
5   the conversations, but we're really more talking about  
6   addressing what's happening and how you cast UCCPs or  
7   everything else. Those are sort of like band-aids on cuts  
8   and bruises you get, but it doesn't go about making it  
9   perhaps safer right from the very beginning.

10           Now, some of the other species groups, which seems  
11   to be vertically integrated as you listen to what goes on  
12   here, seem to be addressing it on a farm to table type  
13   approach, but with respect to the E. coli, which is really  
14   in ground beef, and we now have, and this is a thing that's  
15   very important to our membership as well as the non-intact  
16   issue. It would really be more helpful if we were doing  
17   something more about preventing that coming into the  
18   slaughterhouse, doing something wherever it is possible back  
19   on the farm.

20           You know, I've addressed this with you and others  
21   continually, so it may be something you wish I wouldn't

1 bring up again, but it is really critical to the livelihoods  
2 of our people who are small processors. We take that  
3 product the way the slaughterhouse gives it to us. We can  
4 have a control point, or now you're telling me if I have a  
5 letter and all of that, but the problem is if the incident  
6 occurs the person whose name is in the newspaper and the  
7 public press releases is the name of that processor and the  
8 one that has to pay for the product because he can't sell it  
9 and usually doesn't have cooking facilities, ends up dumping  
10 it and takes a great economic loss.

11 I think that if you could take a look, and, you  
12 know, you're already setting the agendas and things, but it  
13 would be nice if it would be possible to set an agenda where  
14 you would look at prevention from the very beginning to see  
15 how you can avoid the problems, the pathogens coming into  
16 the slaughterhouse or, if not that, containing them as best  
17 as possible within the slaughterhouse so that down the line  
18 those people who take that product and which helps the  
19 stream of commerce work because if all the meat is going to  
20 have to be sold from the packing house I can believe there  
21 is going to be a down turn in the use of certain kinds of

1 animal products.

2 That's the main thing that I would like to point  
3 out to you, and I hope that perhaps you would be able to  
4 address that prevention end of it right from the very  
5 beginning.

6 Thank you for the opportunity.

7 MR. BILLY: Thank you.

8 The next person is Del Hensel from the National  
9 Bison Association, who wishes to speak on alternative  
10 species inspection.

11 MR. HENSEL: I, too, would like to thank this  
12 committee for all the hard work they've done. You've had to  
13 listen to me now for the third time in a row.

14 My name is Del Hensel. I'm with the National  
15 Bison Association. We represent 2,500 basically small  
16 producers, and what I'd just like to do is clarify a few  
17 issues that have been brought up at this meeting.

18 Excuse me for reading this, but I've got a lot of  
19 figures down here, and I couldn't get them all straight in  
20 my head. The NBA, along with Wyoming State University, did  
21 a very concise census last year, and we came up with the

1 figures that there are 200,000 bison in the United States.

2 These numbers are increasing 20 percent every year.

3 Now, Dr. Post has some pretty good preliminary  
4 research done. One of the parts of his research is the  
5 documentation on numbers of animals killed under  
6 surveillance inspection by state and federal inspection  
7 plants. Now, I would assume those numbers are accurate  
8 because those are paid for, and everybody keeps track of  
9 that inspection. His research shows that there were 12,000  
10 under federal and 2,900 under state, total animals killed  
11 15,000, and that's in this document you have here.

12 Like I said, we have 200,000 animals in the United  
13 States. We kill over 20 percent of those every year. Now,  
14 we kill feeder animals mainly, a few females and cull  
15 animals. That's 40,000 animals on a conservative figure.  
16 That means there's 25,000 animals that are not being  
17 inspected if my figures and their figures are correct, okay?

18 That's 27,500,000 pounds of bison meat that's being sold to  
19 the public.

20 I don't know how much meat you eat, but bison is a  
21 product that's not consumed in large quantities. So how

1 many people? Out of 27,500,000, maybe the average person  
2 eats three pounds a year. How many millions of people are  
3 we exposing to uninspected meat here? I think it's quite  
4 substantial, and I think it's not a very good subject.

5           For the last two days I've been here, and I've  
6 heard some of you, who I well understand you have concerns  
7 about money going into this project that would be taken  
8 possibly from some of your projects. I understand that, but  
9 what I'd like to explain here, I don't think we're talking  
10 about a lot of money on alternative species.

11           Now, what I'm going to say about bison is pretty  
12 common among all of the other alternative species. Now,  
13 with us about 15 years ago we got together with USDA, and we  
14 set up a voluntary inspection program. With that program,  
15 we pay \$38 to \$39, in that range somewhere, to have our  
16 bison inspected.

17           Now, I would assume, I would have thought, that at  
18 that time 15 years ago all the research would have been done  
19 to put that in place. In other words, the procedures are  
20 there. I would have thought the toxicology studies or  
21 whatever it is -- not toxicology, but pathogenic studies --

1 would have been done at that time.

2 I see in this brochure here, in this paper, that  
3 all these other animals are inspected under federal  
4 inspection, so they must have had a program that they put in  
5 place. What I'm saying is is the inspection we're paying  
6 for not the same inspection that they're getting for free?  
7 In other words, does USDA have to do something else in order  
8 to put us into the Meat Act? That part I don't understand,  
9 and I won't make a big thing out of it now, but it seems to  
10 me it should be.

11 Okay. Let me just say one more thing about how  
12 this procedure works. When you take bison to a federally  
13 inspected plant, that plant is usually already set up for  
14 beef, okay, so the inspector is there. When you take in the  
15 bison, he goes and inspects it. He comes back. He writes  
16 down at the end of the day how much time he spent on that  
17 inspection, and the producer is charged \$39 an hour for that  
18 time.

19 Well, that's time he isn't -- the government is  
20 reimbursed for that time because he's there anyway, so  
21 you're not talking about a new cost. You're talking about

1 the fact that we subsidize the USDA in that regard because  
2 our producers are paying USDA for that inspection.

3 Now, according to these figures there's 12,000  
4 being slaughtered under federal inspection. Dr. Post, his  
5 preliminary figures say that, and this seems a little high,  
6 but probably we'll use that. Point eight two tenths of an  
7 hour per animal is the time spent inspecting bison. Okay.  
8 At \$38 an hour, that's \$31 per animal.

9 Now, if you take 12,000, which is what's being  
10 done now, and that number might increase because people  
11 would be more apt to go get inspection, but let's use that  
12 number. That's \$374,000 a year that USDA is being  
13 reimbursed by our industry for our inspection.

14 I know that you can take numbers and do with them  
15 what you want. When USDA comes back with their study it may  
16 look different, but I think this isn't too far off, and I  
17 think this is typical. I've talked to the ratite people and  
18 the squab people and some other people, and I think this is  
19 typical of what's happening. We're paying for that  
20 inspection.

21 For less than \$1,000,000, you can protect I'd say

1 several million people from the fact that they're getting  
2 uninspected meat. Unless somebody's figures are wrong, I  
3 think that's where we're at.

4           Could I answer any questions? I know you've been  
5 here a long time, so nobody is crazy enough to ask anything.

6           Thank you very much.

7           MR. BILLY: Yes. I have a request, which is  
8 perhaps you could provide us, the Agency, that information  
9 in a letter to us?

10          MR. HENSEL: Yes. Okay. I definitely will.

11          MR. BILLY: I appreciate that.

12          MR. HENSEL: Okay. Thank you.

13          MR. BILLY: Those numbers are very interesting, so  
14 thanks.

15          MR. HENSEL: Okay.

16          MR. BILLY: The last speaker is Jenny Scott from  
17 the National Food Processors Association who wants to speak  
18 on listeria testing.

19          MS. SCOTT: Thank you, Tom. I promise not to hold  
20 the committee here hostage too much longer. It's a  
21 beautiful day out there, and I know you'd like to enjoy it.

1 I'm Jenny Scott with the National Food Processors  
2 Association.

3 The Agency has indicated in its action plan that  
4 it's going to revise its listeria monocytogenes testing  
5 program. We heard the committee here today recommend that  
6 we implement or that the Agency mandate product testing for  
7 the industry. Obviously industry is going to disagree with  
8 any mandate for product testing, but we do recognize that  
9 product testing does play a role in the control of listeria  
10 monocytogenes.

11 What we think is a way forward here is for FSIS to  
12 issue immediately, and for those of you who don't speak the  
13 regulatory lingo, immediately is faster than soon. We think  
14 that FSIS should immediately issue the revised  
15 microbiological sampling directive, 10240.2, that would  
16 provide for reduced Agency testing in exchange for industry  
17 testing. We think that the Agency should allow that program  
18 to work and evaluate the results of this directive before  
19 they mandate any kind of product testing.

20 Also, as we move forward here we need to think  
21 about testing in the context of risk. The focus has been on

1 ready-to-eat foods, but we think that that may be a little  
2 bit too broad. On Monday, Bob Buchanan gave us a little bit  
3 of a heads up on what the listeria monocytogenes risk  
4 assessment is going to say. He indicated that the highest  
5 risk was from foods that support the growth of listeria  
6 monocytogenes, foods that are exposed to less than optimal  
7 cold for an extended period of time.

8 He also said, and I'm going to quote here, "Foods  
9 that do not support growth are of little risk," so we need  
10 to keep that in mind as we move forward with our product  
11 testing, and this goes for both industry and for FSIS.

12 We shouldn't be testing frozen foods or foods that  
13 have barriers to growth of listeria monocytogenes. We need  
14 to use our resources more wisely than that. FSIS testing  
15 should also take into consideration listeria monocytogenes  
16 controls that an establishment has put into place, and they  
17 should focus more on those establishments that lack the  
18 resources to implement extensive controls and verification  
19 testing.

20 Thank you.

21 MR. BILLY: Thank you very much.

1 I'd like to thank the committee. Once again, you  
2 did an outstanding job. I know many of these issues are  
3 difficult, complex issues, and we appreciate the effort that  
4 you put in in trying to deal with them and, you know, trying  
5 to find a consensus in terms of approaches and  
6 recommendations.

7 We will pay very close attention to the  
8 recommendations that were put forward. There's a lot of  
9 good work that you've done that will be helpful to the  
10 Agency.

11 I also think that we're maturing as a committee,  
12 and some of the topics that we dealt with this time and are  
13 pointing towards next time are important topics in areas  
14 where good advice and recommendations can be very helpful  
15 both to FSIS, as well as ARS and other agencies, so I  
16 appreciate that very much.

17 I also again want to thank you for being willing  
18 to work in the evening. That's where a lot of the real work  
19 of this committee is done, so we appreciate your indulgence  
20 in that regard.

21 It is a beautiful day, so go out and enjoy the

1 rest of it. If you're traveling home somewhere, have a safe

2 trip. Thank you all very much. We're finished.

3 (Whereupon, at 4:16 p.m. the meeting in the

4 above-entitled matter was concluded.)

5 //

6 //

7 //

8 //

9 //

10 //

11 //

12 //

13 //

14 //

15 //

16 //

17 //

18 //

19 //

20 //

21 //

## CERTIFICATE OF REPORTER, TRANSCRIBER AND PROOFREADER

National Advisory Committee on Meat and Poultry Inspection  
Name of Hearing or Event

N/A

Docket No.

Arlington, Virginia

Place of Hearing

May 17, 2000

\_Date of Hearing

We, the undersigned, do hereby certify that the foregoing pages, numbers 260 through 484, inclusive, constitute the true, accurate and complete transcript prepared from the tapes and notes prepared and reported by John DelPino, who was in attendance at the above identified hearing, in accordance with the applicable provisions of the current USDA contract, and have verified the accuracy of the transcript (1) by preparing the typewritten transcript from the reporting or recording accomplished at the hearing and (2) by comparing the final proofed typewritten transcript against the recording tapes and/or notes accomplished at the hearing.

5-17-00

Date

\_\_\_\_\_  
Karen Stryker  
Name and Signature of Transcriber  
Heritage Reporting Corporation

5-17-00

Date

\_\_\_\_\_  
Lorenzo Jones  
Name and Signature of Proofreader

Heritage Reporting Corporation  
(202) 628-4888

Heritage Reporting Corporation

5-17-00

Date

\_\_\_\_\_  
John Del Pino

Name and Signature of Reporter

Heritage Reporting Corporation