

UNITED STATES DEPARTMENT OF AGRICULTURE

+ + + + +

NATIONAL ADVISORY COMMITTEE ON
MICROBIOLOGICAL CRITERIA FOR FOODS

+ + + + +

PLENARY SESSION

+ + + + +

August 30, 2023
11:00 a.m.

Virtual/WebEx

CHAIR: MR. J. EMILIO ESTEBAN
Under Secretary for Food Safety, USDA
NACMCF Chair

VICE CHAIR: DR. DONALD PRATER
Acting Director, Center for Food Safety
And Applied Nutrition
NACMCF Vice-chair

MODERATOR: MS. KRISTAL SOUTHERN
Designated Federal Officer

COMMITTEE MEMBERS:

DR. JOSEPH (STAN) BAILEY
DR. PEGGY COOK
DR. DE ANN DAVIS
DR. FRANCISCO DIEZ-GONZALEZ
DR. JAMES DICKSON
DR. JOSEPH EIFERT
DR. PHILIP ELLIOTT
DR. YAHOHUA (BETTY) FENG

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

COMMITTEE MEMBERS: (Continued)

DR. KATHLEEN GLASS
MS. JANELL KAUSE
DR. MAHIPAL KUNDURU
DR. ELISABETTA LAMBERTINI
MS. SHANNARA LYNN
DR. WENDY MCMAHON
LT. COL. AUDREY MCMILLAN-COLE
DR. ANGELA MELTON-CELSEA
DR. JOELLE MOSSO
DR. HALEY OLIVER
DR. OMAR OYARZABAL
DR. TANYA ROBERTS
DR. SCOTT STILLWELL
DR. ROBERT TAUXE
DR. MAX TEPLITSKI
DR. VALENTINA TRINETTA
DR. BING WANG
DR. BENJAMIN WARREN
DR. RANDY WOROBO
DR. TESHOME YEHUALAESHET
DR. FRANCISCO ZAGMUTT

FSIS:

MS. MICHELLE LOCKEY, Event Producer
MS. SHANTEL WILLIAMS

I-N-D-E-X

<u>AGENDA ITEM</u>	<u>PAGE</u>
Roll call/Call to order	8
Ms. Kristal Southern	
Introductory Remarks	
Dr. J. Emilio Esteban	12
Under Secretary for Food Safety, USDA	
Dr. Donald Prater, Acting Director	13
Center for Food Safety and Applied Nutrition	
Committee deliberation on Recommendations	15
Regarding FDA Charge:	
<i>Cyclospora cayetanensis</i> in produce	
Dr. Max Teplitski and Dr. Peggy Cook	
Subcommittee Co-Chairs	
Public Comment on report:	
<i>Cyclospora cayetanensis</i> in produce	51
Vote on adopting the <i>Cyclospora cayetanensis</i>	56
in produce report	Error! Bookmark not defined.
Update on FDA Charge: Cronobacter spp. In	64
Powdered Infant Formula.	
Dr. Kathleen Glass and Dr. Elisabetta Lambertini	
Subcommittee Co-Chairs	
Public Comment on the FDA Charge: Cronobacter	78
spp. In Powdered Infant Formula.	
Closing Remarks	
Dr. J. Emilio Esteban	80
Under Secretary for Food Safety, USDA	
Dr. Kristal Southern	81
Designated Federal Officer, USDA FSIS	

1 P-R-O-C-E-E-D-I-N-G-S

2 (1:00 p.m.)

3 MS. LOCKEY: Welcome. And thank you for
4 joining today's conference, National Advisory
5 Committee on Microbiological Criteria for Foods
6 Conference. All audio connections are muted at this
7 time. I will give you instructions at the time of
8 public comment on how to enter the queue.

9 Should you need closed captions, please
10 click on the CC icon at the lower right of the webinar
11 screen. There is also an interpreter present on
12 video, and you may pin that video or change the layout
13 to view those screens as needed using the layout
14 button. If you require technical assistance, please
15 open the chat icon at the bottom of your screen and
16 send a message to the event producer. And with that,
17 I'll turn the conference over to Kristal Southern.
18 Please go ahead.

19 DR. SOUTHERN: Thank you, and good morning,
20 everyone. Welcome to the Plenary Meeting of the
21 National Advisory Committee on Microbiological
22 Criteria for Foods, commonly referred to as NACMCF. I
23 now call this meeting to order.

24 The purpose of the committee is to provide
25 impartial scientific advice and/or peer reviews to

1 federal food safety agencies for use in the
2 development of an integrated national food safety
3 systems approach that assures the safety of domestic,
4 imported, and exported foods.

5 My name, again, is Dr. Kristal Southern.
6 I'm with the USDA Food Safety and Inspection Service.
7 And I serve as the designated federal officer for
8 NACMCF, and as the director of the NACMCF secretariat.
9 Before we get started, I wanted to give you a little
10 bit of background on NACMCF membership and the work of
11 this 2021 to 2023 term committee.

12 NACMCF members are appointed by the
13 secretary of agriculture. They go through a rigorous
14 process, and that helps to ensure that membership is
15 fairly balanced in terms of points of view represented
16 and the functions to be performed. Committee members
17 are chosen based on their expertise in microbiology,
18 public health, food science, and other relevant
19 disciplines, and this is in order to obtain the
20 scientific perspective, experience, and point of view
21 of all stakeholders.

22 The activities of the NACMCF are carried out
23 in part by subcommittees that are focused on specific
24 areas being considered by the full committee. It is
25 an honor to be appointed to NACMCF, and we are

1 incredibly thankful to the members that provide the
2 scientific advice to our federal agencies involved in
3 food safety.

4 NACMCF has made important contributions to a
5 broad range of critical food safety issues. This 2021
6 to 2023 NACMCF committee has worked over the last two
7 years on three charges. The USDA FSIS charge on
8 enhancing salmonella control in poultry products was
9 completed and the report was adopted in November 2022.

10 After adopting that report, the committee
11 began addressing the first question of the Food and
12 Drug Administration's cronobacter in powdered infant
13 formula charge for which they will provide updates at
14 this meeting today. Also today, the committee will
15 discuss and vote on adopting a report they prepared
16 over the last two years in response to questions posed
17 by the Food and Drug Administration on Cyclospora
18 cayetanensis in produce.

19 Before we dive in, I want to provide a few
20 housekeeping items to keep in mind as we move forward,
21 and just some reminders that the event producer
22 provided as well at the start of the meeting. But
23 first, please note that this plenary meeting is being
24 recorded. FSIS will post the meeting and transcripts
25 when they become available on the FSIS website at

1 www.fsis.usda.gov.

2 This is a virtual meeting, and with
3 exception of our committee members and designated
4 speakers, your microphones are automatically muted
5 when you logged in, and you will not have the ability
6 to use your camera during the meeting. As you can
7 see, a sign language interpreter will be present for
8 the duration of the meeting. And in addition, closed
9 captions can be enabled by clicking the closed caption
10 or CC button, or bubble, excuse me, in the bottom left
11 of your screen.

12 There will be two comment periods today for
13 members of the public. The first will be to receive
14 public comments on the Cyclospora cayetanensis in
15 produce charge, and the second will be to receive
16 public comments on the Cronobacter species in powdered
17 infant formula charge.

18 If during registration, you indicated that
19 you wish to provide oral comments and confirmed your
20 intent to do so via a follow-up email with the NACMCF
21 secretariat, I will call on you during the respective
22 comment period. The event producer will unmute you
23 when it is your turn to speak, at which time a pop-up
24 message will appear, and you'll need to accept this
25 message in order to unmute yourself.

1 As time allows, we will open up the comment
2 period to those who wish to comment but did not
3 preregister and confirm via email. If this applies to
4 you, feel free to place yourself in the queue during
5 the public comment period by utilizing the raise hand
6 feature. For our phone line, audio-only attendees,
7 you will need to press pound 2 to enter the queue
8 during the public comment period.

9 We request that all attendees please
10 introduce yourself by providing your name and
11 affiliation before providing comment. Each person
12 will be provided three minutes to make their comments,
13 and then the event producer will move on to the next
14 person in the queue. Again, the event producer will
15 remind us of these instructions at the appropriate
16 time.

17 And lastly, the chat feature is available
18 for our virtual attendees. Any comments made in the
19 chat will be shared with the committee after today's
20 meeting.

21 I'll now proceed to taking roll of the
22 NACMCF executive committee and members of the NACMCF
23 committee. When your name is called, please unmute
24 and announce yourself by stating here or present. And
25 we'll start with the executive committee. For the

1 USDA Department of Agriculture's Undersecretary for
2 Food Safety and NACMCF chair, Dr. Emilio Esteban.

3 MR. ESTEBAN: Present.

4 DR. SOUTHERN: Food and Drug
5 Administration's acting director of the Centers for
6 Food Safety and Applied Nutrition and NACMCF vice
7 chair, Dr. Donald Prater

8 MR. PRATER: Present.

9 DR. SOUTHERN: Food Safety and Inspection
10 Service liaison Dr. Denise Eblen.

11 DR. EBLEN: Hi, thanks.

12 DR. SOUTHERN: Food and Drug Administration
13 liaison, Dr. Eric Olson.

14 DR. OLSON: Present.

15 DR. SOUTHERN: Centers for Disease Control
16 and Prevention liaison Dr. Arthur Liang. Okay.
17 Department of Commerce liaison, Dr. Jon Bell. And our
18 Department of Defense liaison, Colonel Alisa Wilma.
19 Okay.

20 So we'll now move on to NACMCF committee
21 members, and these are the members that will help to
22 establish the -- the count of today's members will
23 establish our quorum for today's meeting. Again, when
24 your name is called, please unmute and announce your
25 presence by stating here or present. Dr. Stan Bailey.

1 Dr. Peggy Cook.

2 DR. COOK: Present.

3 DR. SOUTHERN: Dr. DeAnn Davis.

4 DR. DAVIS: Present.

5 DR. SOUTHERN: Dr. Francisco Diez-Gonzalez.

6 DR. DIEZ-GONZALEZ: Present.

7 DR. SOUTHERN: Dr. James Dickson. Dr.

8 Joseph Eifert.

9 DR. EIFERT: Present.

10 DR. SOUTHERN: Dr. Philip Elliott.

11 DR. ELLIOTT: Present.

12 DR. SOUTHERN: Dr. Betty Feng.

13 DR. FENG: Present.

14 DR. SOUTHERN: Dr. Kathleen Glass.

15 DR. GLASS: Present.

16 DR. SOUTHERN: Ms. Janell Kause. Dr.

17 Mahipal Kunduru. Dr. Elisabetta Lambertini.

18 DR. LAMBERTINI: Present.

19 DR. SOUTHERN: Ms. Shannara Lynn.

20 MS. LYNN: Present.

21 DR. SOUTHERN: Dr. Wendy McMahon.

22 DR. MCMAHON: Present.

23 DR. SOUTHERN: Lieutenant Colonel Audrey

24 McMillan-Cole. Dr. Angela Melton-Celsa.

25 DR. MELTON-CELSA: Present.

1 DR. SOUTHERN: Dr. Joelle Mosso.
2 DR. MOSSO: Present.
3 DR. SOUTHERN: Dr. Haley Oliver. Dr. Omar
4 Oyarzabal. Dr. Tanya Roberts. Dr. Scott Stillwell.
5 DR. STILLWELL: Present.
6 DR. SOUTHERN: Dr. Robert Tauxe. Dr. Max
7 Teplitski.
8 DR. TEPLITSKI: Present.
9 DR. SOUTHERN: Dr. Valentina Trinetta.
10 DR. TRINETTA: Present.
11 DR. SOUTHERN: Dr. Bing Wang.
12 DR. WANG: Present.
13 DR. SOUTHERN: Dr. Benjamin Warren.
14 DR. WARREN: Present.
15 DR. SOUTHERN: Dr. Wandy -- excuse me, Randy
16 Worobo. Dr. Teshome Yehualaeshet.
17 DR. YEHUALAESHET: Present.
18 DR. SOUTHERN: Dr. Francisco Zagmutt.
19 DR. ZAGMUTT: Present.
20 DR. SOUTHERN: Okay. Thank you. So, Event
21 Producer, can we check to see if there are any
22 committee members that may be on the attendee line.
23 If you are, can you raise your hand because we had
24 some technical challenges this morning, so we want to
25 make sure that everyone is on the right line?

1 MS. LOCKEY: Yeah. Also going through the
2 rest of the names on the list, but if you are on the
3 attendee line, please just send me a chat and I will
4 move you over.

5 DR. SOUTHERN: Is there anyone on the line?

6 MS. LOCKEY: Not that I see.

7 DR. SOUTHERN: Okay. So we'll move forward.
8 We have 20 of 29 members present, which meets quorum
9 for today's meeting. Next, we'll proceed with opening
10 remarks by the undersecretary for Food Safety and
11 NACMCF chair, Dr. Emilio Esteban. That will be
12 followed by the Food and Drug Administration's acting
13 director for the Centers for Food Safety and Applied
14 Nutrition and NACMCF vice chair, Dr. Donald Prater.
15 Welcome, Dr. Esteban.

16 DR. ESTEBAN: Thank you, Kristal. And good
17 morning to all. I apologize for the delay in
18 starting, also for the fact that I'm joining you via
19 phone at this point. I will continue to try to
20 connect through the application.

21 We have a very good meeting today, it's a
22 very full meeting. As Kristal stated, there are at
23 least two things we're going to be talking about. One
24 is the adoption of the Cyclospora report which I
25 believe the committee's been working on for a couple

1 years. And then we're going to hear an update on the
2 charge that the committee received for a question
3 on -- the first question on Cronobacter.

4 There's been a lot of work on both of the
5 subcommittees, so look forward to the discussion, and
6 I -- from the Office of the Secretary, I really want
7 to thank you all, committee members, for the
8 tremendous contributions that you give to NACMCF, to
9 public health, and for food safety. So thank you, and
10 I will try -- again, I continue to try to join you via
11 the application. Back to you, Kristal. Thank you.

12 DR. SOUTHERN: Thank you. We'll now go to
13 introductory remarks from Dr. Donald Prater.

14 DR. PRATER: Yes. Thanks, Dr. Southern.
15 It's a real pleasure to be with you today. I'll keep
16 my remarks short recognizing the time that we have.
17 Just my sincere thanks to all the committee members
18 and to the expert subcommittee members for the work
19 you continue to do to support this committee.

20 The work is really relevant to what we do
21 for public health. I'm looking forward to hearing
22 more about this. This is my first meeting as vice
23 chair, so while I'm new to this group, not new to food
24 safety. I've been here at FDA for over 20 years, but
25 really looking forward to hearing the work of this

1 committee to see how it can further help us to protect
2 public health.

3 So happy to be on. Sincere thanks to
4 everyone. And also thinking about colleagues that may
5 be in Florida or in the path of the hurricane down
6 there. So thanks for all the things that you're doing
7 to continue to support this work. Back over to you,
8 Dr. Southern.

9 DR. SOUTHERN: Thank you, Drs. Esteban and
10 Prater. The committee will now proceed to a
11 discussion on the report and recommendations regarding
12 the FDA charge *Cyclospora cayetanensis* in produce.

13 This subcommittee is led by our members Dr.
14 Max Teplitski and Dr. Peggy Cook. Committee members,
15 to participate in the discussion, please raise your
16 hand to be recognized by the subcommittee co-chairs.
17 You all should have complete control of your mute
18 button, so if we run into any issues, feel free to
19 jump in and we'll see how the discussion flows.

20 We do, however, request that you mute
21 yourself when you're not speaking. And when speaking,
22 if possible and if your bandwidth allows, we request
23 that you turn on your camera.

24 I'll now turn it over to you Dr. Teplitski.

25 DR. TEPLITSKI: Thank you, Dr. Southern.

1 I'd like to share my screen please.

2 MS. LOCKEY: Give me one moment, I'll
3 transfer rights. Okay. Go ahead.

4 DR. TEPLITSKI: Thank you, and good morning.
5 The subcommittee worked for almost two years during
6 which the committee examined reports published in
7 peer-reviewed literature, reports of completed
8 research projects funded by USDA agencies via the CRIS
9 database. And in databases --

10 MS. LOCKEY: So someone is unmuted or
11 connected twice. One moment please. Okay. Yep,
12 someone was connected twice. You may go -- please go
13 ahead.

14 DR. TEPLITSKI: Good morning, again. The
15 subcommittee worked for almost two years during which
16 the committee examined reports published in peer-
17 reviewed literature, reports of completed research
18 projects funded by USDA agencies via the CRIS
19 database, and in databases of the Center for Produce
20 Safety.

21 Authors of key publications representing
22 federal and academic labs were invited to present
23 their discoveries and answer questions from the
24 subcommittee. The subcommittee also interviewed
25 representatives of laboratories who conduct sampling

1 or testing as well as companies that develop testing
2 tools.

3 Since the draft report was published in the
4 Federal Register, we received two written comments
5 which were incorporated into the revised report.
6 Specifically, number one, the role of farm laborers in
7 the transmission was challenged in public comments and
8 by the members of the committee.

9 The subcommittee recognized that the
10 published data on carriage of *Cyclospora cayetanensis*
11 by the farm laborers is scarce, and the link between
12 carriage by the laborers and contamination of fresh
13 produce has not been rigorously tested and documented.
14 Therefore, the revised language still highlights
15 concerns that symptomatic or asymptomatic but infected
16 laborers may be the source of the parasite in a
17 production environment or in other settings including
18 household. But this is framed now as a hypothesis to
19 be tested.

20 Number two, submitters of public comments
21 also highlighted the need for a comprehensive set of
22 public health measures to understand cases not
23 associated with foreign travel or with consumption of
24 foods obtained through traditional routes of commerce.
25 This concern is now highlighted, especially in the

1 context of an earlier report, that two states were
2 seemingly responsible for over 35 percent of
3 documented cases of Cyclosporiasis.

4 Number three, the individual submitting
5 public comments also highlighted the need for robust
6 tools for identifying Cyclospora cayetanensis in the
7 environment, and the subcommittee agrees with these
8 comments.

9 Number four, in addition, we have obtained
10 clarification from the authors of the broad study in
11 which American genotypes/species of Cyclospora was
12 suggested. The clarification was that the word
13 American in this study is meant all the North American
14 continent and not of the United States of America.

15 This clarification was helpful, therefore,
16 the discussion on this topic was truncated, but did
17 not change the conclusion reached by the subcommittee
18 that the hypothesis that Cyclospora cayetanensis has
19 become established endemically in the United States
20 needs to be rigorously supported with data.

21 Number five, a reference to the study of new
22 2022, a report of an environmental survey for
23 Cyclospora cayetanensis using 18S ribosomal RNA genes
24 as a target for the sequencing of the amplicons was
25 also added to this section on methods in which

1 molecular detection was discussed.

2 Number six, there were other relatively
3 minor edits that improved cohesiveness of the
4 narrative. We removed some adjectives, but did not
5 change the conclusions, nor veiled data from completed
6 published but not yet peer-reviewed studies results of
7 which are already well known to the scientific
8 community. With this, I'd like to proceed to the
9 report which is now presented on your screen.

10 Executive summary of the findings.
11 Cyclospora cayetanensis is a coccidian protozoan
12 parasite belonging to the phylum Apicomplexa. Order,
13 Eucoccidiorida, Family, Eimeriidae described between
14 1993 to 1994 as a newly identified human
15 gastrointestinal pathogen.

16 Within the genus Cyclospora, only Cyclospora
17 cayetanensis is known to infect humans. However,
18 recent advances in genomics separated Cyclospora
19 cayetanensis into three proposed species with the two
20 new proposed species also considered parasitic to
21 humans. Cyclospora ashfordi species nov, and
22 Cyclospora henanensis species nov.

23 For the purpose of this document and to
24 reflect the proposed status of the new nomenclature,
25 Cyclospora cayetanensis refers to all three species of

1 Cyclospora parasitic in humans.

2 The parasite produces oocysts that are
3 resistant to harsh environmental conditions, and many
4 chemical treatments commonly used to reduce the
5 presence of bacterial pathogens in the specialty crop
6 environmental, and in agricultural inputs.

7 Cyclospora cayetanensis is the etiological
8 agent of cyclosporiasis. Its host range is limited to
9 humans. Detected in association with human illness in
10 many parts of the world, Cyclospora cayetanensis
11 previously was considered to be a pathogen acquired
12 during childhood in developing --

13 (Loss of audio)

14 (off the record)

15 (On the record)

16 DR. TEPLITSKI: -- is key to developing
17 infective prevention and management strategy. I will
18 now read the recommendations. I will pause, and I
19 will proceed with the wrap.

20 To facilitate research, for example
21 validation of surrogates, studies on environmental
22 systems and attachment, and identification and
23 validation or control strategies, the committee urges
24 development of practical methods to propagate
25 Cyclospora cayetanensis oocysts under laboratory

1 settings.

2 Recommendation number two, because of the
3 limited availability of Cyclospora cayetanensis
4 oocysts, research with surrogates, and specifically
5 with the close relative Eimeria, can be informative
6 for identifying control strategies and learn about the
7 persistence in the production environment.

8 Recommendation number 3, method development
9 for the detection of Cyclospora cayetanensis in food
10 and environmental samples should include the
11 evaluation of multiple genetic targets representing
12 different regions of the genome. Modifications to
13 current molecular methods for the detection of
14 Cyclospora cayetanensis should be thoroughly validated
15 for impacts on specificity before using modified
16 methods on food or environmental samples.

17 Conversely, detection methods should be
18 designed to be robust, reproducible, and tolerant of
19 minor modifications in methodologies. For example,
20 brand of equipment or reagents, minor deviations in
21 PCR conditions, et cetera, without sacrificing
22 specificity or sensitivity.

23 Recommendation number four. Given that the
24 hypothesized likeliest source of the parasite in the
25 food production environment, individuals with a

1 history of recent travel to areas where infections
2 with Cyclospora cayetanensis are common or other
3 exposures to the parasite, preventative measures
4 should center around clear sanitation guidelines,
5 ensuring onsite capacity for implementing sanitation
6 protocols, i.e. readily available handwashing stations
7 with soap, et cetera, and periodic training of the
8 employees. I'm going to pause now.

9 I will now read the charge from the FDA to
10 the NACMCF verbatim. Background Cyclospora species of
11 protozoan parasites in the phylum Apicomplexa that can
12 parasitize different species of mammals with
13 remarkable host specificity. Cyclospora has a complex
14 lifecycle and can only multiply within an infected
15 host.

16 Among the Cyclospora species, only
17 Cyclospora cayetanensis is known to infect humans.
18 All other species are associated with infections of
19 other animals. This parasite is characterized by
20 environmentally hardy oocysts that are shed in stools
21 of infected persons. These oocysts are shed
22 unsporulated and are not infectious.

23 Once released into the environment,
24 unsporulated oocysts require approximately seven to 14
25 days under certain environmental conditions to

1 sporulate and become infectious. The oocysts are
2 thought to be transferred to the surface of foods
3 through environmental routes, e.g. through human fecal
4 pollution carried by agricultural water, and
5 subsequently infect the hosts of the produce when
6 consumed.

7 Once consumed, a sporulated oocyst
8 replicates in the human gastrointestinal track and
9 continue the infection cycle as unsporulated oocysts
10 are shed in stool. This cycle continues as human
11 fecal pollution, again, contaminants the environment.

12 A limitation to widespread *Cyclospora*
13 *cayetanensis* research is the inability to directly
14 culture or propagate the organism. Researchers rely
15 solely on acquired oocysts to conduct research. Some
16 work has been done to use surrogate organisms to mimic
17 the lifestyle of *Cyclospora cayetanensis*, however,
18 with limited positive results. A positive *Cyclospora*
19 *cayetanensis* finding is indicative of the presence of
20 human fecal contamination as humans are the only known
21 reservoir.

22 Cyclosporiasis is characterized by symptoms
23 such as explosive diarrhea, vomiting, fatigue, and
24 weight loss. *Cyclospora cayetanensis* has become a
25 major public health and food safety concern during the

1 last few years. Outbreaks of Cyclosporiasis infect
2 thousands of individuals in the United States annually
3 with a steady increase in reported cases over the
4 recent years.

5 In 2020, CDC reported 1,221 laboratory
6 confirmed cases of cyclosporiasis in people who had no
7 history of international travel. In 2019 and 2018,
8 there were 2,408 and 2,299 cases reported each year
9 respectively. Comparatively, between 2000 and 2017,
10 the total number of cases reported for cyclosporiasis
11 in the United States was 1,730.

12 Additionally, Cyclosporiasis typically
13 results in symptomatic illness in the general
14 population regardless of age in the United States.
15 Whereas, in the endemic areas, young children and
16 immunocompromised individuals are most at risk for
17 severe illness.

18 Outbreaks of cyclosporiasis generally occur
19 during the warmer months of May through September for
20 the northern hemisphere, and November through March
21 for the southern hemisphere. Historically, outbreaks
22 have been linked to the ingestion of contaminated
23 berries, fresh cilantro, basil, and more recently,
24 ready-to-eat bagged salads.

25 Several efforts have been implemented to

1 develop molecular detection methods of Cyclospora
2 cayetanensis in food and environmental samples. These
3 methods have been used to assist epidemiological
4 investigations and surveys to estimate the prevalence
5 of Cyclospora cayetanensis in commodities in growing
6 regions. Despite these scientific efforts, there are
7 still several significant knowledge and data gaps that
8 hamper the implementation for effective measures to
9 prevent the contamination of produce with oocysts of
10 this parasite.

11 The subcommittee obtained 16 questions from
12 FDA. These are the questions. What is known about
13 the prevalence, incidence, and burden of disease of
14 cyclosporiasis in the United States and
15 internationally?

16 Are there specific segments of the U.S.
17 population that may be at higher risk for infection?
18 What is the geographic distribution of cases in the
19 United States? What is the diversity of Cyclospora
20 cayetanensis genotypes in the United States and
21 internationally? What factors may contribute to
22 contamination with Cyclospora cayetanensis? Are
23 certain factors more significant than others?

24 How does the seasonality, incidence and
25 prevalence of Cyclospora cayetanensis compare

1 throughout the United States and internationally, and
2 what factors may contribute? Extrinsic factors that
3 may influence sporulation and survival? Environmental
4 factors, influence in movement, others? What
5 environmental data exists for Cyclospora cayetanensis
6 in food products in environmental samples domestically
7 and internationally? What trends have been observed,
8 and what methods of detection were used?

9 What types of foods have been attributed to
10 outbreaks of cyclosporiasis domestically and
11 internationally? And what, if any, contributing
12 factors, sources, or routes of contamination have been
13 identified? Is monitoring for Cyclospora cayetanensis
14 by testing food products, agricultural environment,
15 and agriculture inputs being applied as a management
16 strategy currently?

17 Are there best practices for monitoring the
18 presence of Cyclospora in agricultural production?
19 Has monitoring led to the development and
20 implementation of effective preventive measures? What
21 are the available approaches for characterizing the
22 relatedness of different strains of Cyclospora?

23 What are currently available test methods?
24 What type of validation has the method undergone?
25 What are the matrices for which the methods have been

1 validated? What information exists on accessing
2 viability of oocysts? What preventative measures
3 exist for the control of Cyclospora? How effective
4 have then been? What impediments to the development
5 of effective preventative measures in the Cyclospora
6 and how have they been implemented?

7 What is known about Cyclospora persistence,
8 survival in food such as produce in the environment.
9 What is known about transfer and attachment of
10 Cyclospora cayetanensis from environmental samples to
11 produce? What other coccidian parasites could serve
12 as a surrogate?

13 Are there indicator organisms that can be
14 used to determine the likely presence or absence of
15 Cyclospora in various matrices? What is known about
16 the role of vectors in the transmission of Cyclospora?
17 What role do farm workers play in the transfer of
18 Cyclospora cayetanensis contamination? How can farm
19 workers serve as both sources and routes of
20 contamination and what strategies have been utilized
21 to mitigate the contamination from farm workers?

22 Are there practices for maintenance and
23 conveyance of wastewater, septage or human waste that
24 may increase the incidence of Cyclospora cayetanensis
25 contamination? Which waste water septage and human

1 waste treatments in the United States are effective
2 against Cyclospora cayetanensis? Which treatments may
3 not be effective against Cyclospora cayetanensis?

4 Does municipal water treatment adequately
5 reduce, control, or eliminate Cyclospora? Can
6 effective municipal water treatment systems be scaled
7 to treat agricultural water used in production? How
8 do practices compare for domestic growers versus
9 international growers?

10 What elements or points in the parasite
11 lifecycle are potential targets of strategies to
12 disrupt this progression, eliminate or destroy
13 oocysts, stop dissemination into the environment, and
14 prevent food contamination? What are the control
15 measures that should be evaluated for effectiveness
16 against Cyclospora?

17 What is a recommended protocol for
18 evaluating the effectiveness of control measures? And
19 what are the relevant factors, available data, and
20 data gaps needed to develop an informative
21 quantitative risk assessment model for Cyclospora
22 cayetanensis contamination and risk of illness?

23 I will highlight the approach by the
24 committee, and after that, we will look at blocks of
25 answers instead of me reading the entire document.

1 So approach by the subcommittee. A number
2 of comprehensive reviews for both peer-reviewed
3 literature on Cyclospora have been published recently
4 and consulted by this committee. However, in this
5 rapidly involving field, a reliance on only peer-
6 reviewed publications was deemed limiting by the
7 subcommittee.

8 Therefore, in addition to the peer-reviewed
9 studies accessible via PubMed, the committee consulted
10 scientific reports such as those found in the
11 databases of completed or ongoing projects found in
12 the United States Department of Agriculture Current
13 Research Information System, USDA CRIS database, and
14 the database is maintained by the Center for Produce
15 Safety.

16 The committee accessed documents released by
17 federal agencies into the public domain, and heard
18 semi-structured testimonies from academic, federal,
19 and industry researchers working with Cyclospora
20 cayetanensis and other parasites. Results of these
21 findings are presented in this report.

22 The subcommittee notes an ongoing
23 conversation about the nomenclature of Cyclospora and
24 a proposal to separate Cyclospora cayetanensis into
25 three species with the addition OF Cyclospora ashfordi

1 and Cyclospora henanensis. All three of these species
2 are parasitic to humans. However, because all prior
3 research functionally defines Cyclospora cayetanensis
4 as the only member of the genus responsible for the
5 human Cyclosporiasis, and to reflect that the
6 nomenclature is not widely accepted, and all prior
7 publications refer to this parasite as Cyclospora
8 cayetanensis or C. cayetanensis, the rest of this
9 report will continue to refer to these organisms as
10 Cyclospora cayetanensis or C. cayetanensis.

11 Finally, the committee notes recent peer-
12 reviewed and non-peer-reviewed studies from academic
13 and federal laboratories that demonstrated limitations
14 of the detection of Cyclospora cayetanensis relying
15 solely on the PCR primers designed to amplify 18S
16 regions of the organism ribosomal RNA genes, and/or
17 internal transcribed spacer ITS.

18 When PCR products from environmental samples
19 amplified with primers targeted regions of the 18S
20 ribosomal RNA gene were sequenced, the majority of
21 them, over 90 percent, were identified as low-side
22 (ph.) of the Eimeria species, parasitic in various
23 animals, but not humans, or failed to result in a
24 sequenced PCR product matching a sequence from
25 Cyclospora cayetanensis at least under some

1 conditions.

2 Sequencing of the low-side amplified using
3 primers targeting the ITS region resulted in three out
4 of 16 confirmations by sequencing. Therefore,
5 throughout this report, when discussing environmental
6 and food samples, the detection of amplicons in a PCR
7 reaction, unless a secondary positive identification
8 step was performed, does not confirm the presence of
9 *Cyclospora cayetanensis*, nor a presumptive presence of
10 the parasite regardless of the conclusion drawn by the
11 authors of the original publication at the time of the
12 original publication.

13 The committee organized the charge questions
14 into five groups, sources and routes, questions four,
15 11, 14, and 15. Group two, prevalence, persistence,
16 and indicators, questions 1, 2, 10, and 13.
17 Analytical methods, question 3, 6, 7, and 8. Group
18 four, control strategies and surrogates, questions 5,
19 9, 12, 15(b), 16, and 17. And five, relevant factors
20 and data gap, question 18.

21 I will now highlight groups of answers by
22 the subcommittee, and I will invite any discussion or
23 any comments. I see a hand raised from Dr. Warren.

24 DR. WARREN: Yes. Thanks, Max. Seeing if I
25 can get my video started here as well. Let's see.

1 There we go. My comment is back on line 354. If you
2 scroll for the whole paragraph there, I guess 352 to
3 the bottom.

4 This section is the approach by the
5 committee, and I think this is an area where we've
6 raised some issues in the language in the way that the
7 report talks about some of the studies that were
8 considered. For this particular section being the
9 overall approach taken by the committee, I would think
10 that we would be a little bit summative in nature.
11 That seems odd that we go into citing five different
12 studies at this point in the document.

13 But in terms of the language and some of the
14 concerns that we've raised through the drafting and
15 development of this report, these studies all used
16 different forms of methods, different types of
17 methods. They all target areas of the 18S portion of
18 the genome, but they use at times different primers.
19 They use different PCR conditions. In short, they're
20 different methods.

21 And I think one of the things that the
22 report is not clear on is when we discuss the results
23 from some of these studies, it's at times taken to
24 represent all 18S methodology, the results from one or
25 two of these studies, which is an over-generalization

1 where you wouldn't expect the same performance from
2 one method into a different method.

3 So that's just an ongoing concern here, and
4 it shows up in two other locations in the report. But
5 I think that is a comment that others have provided as
6 well. And I think the language needs to be modified
7 so it doesn't over-generalize the results of one study
8 to represent a multitude of methods.

9 DR. TEPLITSKI: Thank you, Dr. Warren. Are
10 there any other comments? All right. In the absence
11 of further comments, we will proceed to the sources
12 and routes.

13 I understand that the members of the
14 committee were presented with the draft of this
15 report. At this point, I will invite any comments
16 from the committee. In the absence of comments from
17 the committee, we're moving on to the next section of
18 the report.

19 MS. LOCKEY: Looks like we do have a comment
20 from Emilio.

21 DR. TEPLITSKI: I'm sorry. Emilio, go ahead
22 please.

23 MS. LOCKEY: You're unmuted. Please go
24 ahead.

25 DR. ESTEBAN: Made a comment, nobody reacted

1 to it, and I'm curious as to whether we can get some
2 reaction by the committee. Otherwise, I don't know
3 what the conclusion of that discussion was. So
4 specifically, I think at line 352 as well before you
5 scroll there, so what is it that the committee wants
6 to do with Dr. Warren's comment? Acknowledge it?
7 Acknowledge it and modify the text? Modify the text?
8 Or simply write it in as a comment from one of the
9 members? Would you like to sort of wrap up that
10 discussion before we move on to sources and routes?

11 DR. TEPLITSKI: Mr. Secretary, I appreciate
12 your comment. The committee had an in-depth
13 discussion on this topic. The reason this verbiage is
14 included on the front end is to highlight that over
15 the last decades there were a number of studies that
16 surveyed for the presence of Cyclospora in the
17 environmental samples.

18 These studies came from a diversity of labs.
19 Federal labs, academic labs. They came from the lab
20 domestically, the labs overseas. Those methods used a
21 diversity of targets, many of them targeted 18S
22 reagents or the ITS reagents.

23 Over the last two decades, the methodology
24 on the detection of Cyclospora has evolved. And the
25 reason we -- the reason this verbiage was provided on

1 the front end to highlight the fact that as the report
2 discusses, other published studies that conducted
3 various environmental surveys in different areas in
4 the United States and internationally.

5 Sometimes authors reached a conclusion about
6 the prevalence of Cyclospora in certain samples based
7 solely on the results of the PCR results at the time
8 based on the tools, and the data, and the approaches
9 that were available.

10 Here, we're highlighting the fact that
11 throughout the report, unless there was a secondary
12 identification steps, instead of stating that
13 Cyclospora was detected in the environmental sample,
14 the report will refer to the detection of amplicons
15 which is an accurate summary of those studies.

16 If an amplicon was subjected to either
17 sequencing, or if there was a secondary confirmation
18 step throughout the report, you will see the use of
19 the amplicon or the use of the term low-side
20 amplified. It may make for a clunky narrative, but we
21 attempted to make that narrative technically accurate.
22 So that's why there is this introduction on the front
23 end to explain why certain words were chosen
24 throughout the report, if that makes sense, Mr.
25 Undersecretary.

1 DR. ESTEBAN: No, no. Thank you, Max. And
2 you can call me Emilio. It's cool. I think the --

3 MS. LOCKEY: We lost Emilio for a second.

4 DR. ESTEBAN: Are we still on?

5 DR. TEPLITSKI: Yes.

6 MS. LOCKEY: Yes, you're on.

7 DR. ESTEBAN: Okay. So, Max, thank you very
8 much for that clarification. So if I interpret what
9 you're saying is that we're not criticizing the
10 method. There was a plethora of methods used, and so
11 because of that and because of the type of a
12 consistency and the variety of methods, you are
13 suggesting that the report simply makes a distinction
14 by highlighting either low-side or amplicons rather
15 than 18S approach.

16 So I appreciate your explanation, it makes
17 the rest of the report more understandable to me. And
18 since I'm not an expert, I'll just remain quiet and
19 let you guys continue. Thank you.

20 DR. TEPLITSKI: Yes, sir. And that's not a
21 comment on the 18S methodology because in some areas
22 of the world, the ITS was used as -- internal
23 transcribed spacer, my apologies -- was used as a
24 target for detection. But again, the science today is
25 better than the science yesterday, and certainly

1 better than the science half a decade ago.

2 And because the committee went back to
3 retroactively analyze some of the published reports
4 that were published, we wanted to provide clarity and
5 consistency in the verbiage. And this paragraph
6 explains why this report landed on the use of the word
7 amplicon or amplified low-side unless there was a
8 secondary confirmatory step.

9 DR. ESTEBAN: Yeah. Perfectly clear to me.
10 Thank you, sir.

11 DR. TEPLITSKI: Thank you. I'm sorry, if
12 there are no other comments on the source or routes,
13 we'll move on to the next cluster of questions. The
14 next cluster of questions focuses on prevalence,
15 persistence, and indicators.

16 All right. We'll move on to the next
17 cluster of questions. The next cluster of questions
18 focuses on analytical methods, isolation,
19 concentration, detection, and confirmation. Are there
20 any comments from the members of the committee? Dr.
21 Warren, I see your hand.

22 DR. WARREN: Yeah. So I apologize for that,
23 I was clicking the wrong button there for a minute. I
24 do have a comment on I believe it is line 1070. Okay.

25 DR. TEPLITSKI: 1017?

1 DR. WARREN: Oh, and this version of the
2 report is a little than the one I was looking at.
3 Okay. Hold on one second. The line numbers are
4 different on this one than the one that I was looking
5 at comments on. Hold on one second. Let's see.

6 So if you go to the start of the analytical
7 methods section, it's the second paragraph. And this
8 is, as I mentioned before in my opening comment, there
9 are three sections in the document where similar
10 language is presented.

11 This is a second one where some of these
12 studies that used some methods are represented as
13 indicating a common method targeting 18S ribosomal RNA
14 genes. Whereas, there are other methods, different
15 methods that you would expect different results. And
16 again, this language is over-generalizing the results
17 from these studies to mean this would be the expected
18 result for all methods targeting 18S.

19 DR. TEPLITSKI: Thank you, Dr. Warren. Are
20 there any other comments from the committee? All
21 right. Dr. Warren, do you have any other comments on
22 this section?

23 DR. WARREN: I believe I do. Hold on one
24 second, because our line numbers are different, I'm
25 trying to find which -- so on Question 3, it's just

1 the same -- it would be the third occurrence of
2 similar language. Sorry, didn't start my video here.
3 It would be the third occurrence of similar language
4 in the second paragraph under the response to Question
5 3(a). So just note the -- that additional location
6 within the report where the same language is
7 presented.

8 DR. TEPLITSKI: Noted. Thank you, sir. Are
9 there --

10 DR. WARREN: And then --

11 DR. TEPLITSKI: -- any other comments?

12 DR. WARREN: -- I had one more comment. I
13 want to make sure -- I think it's in the same section.
14 It's just on a question. Okay. This one would be
15 down under Question -- the response to Question 7.
16 And on mine it's -- okay, let's see. Under Question
17 7, there's a subheading PCR methods targeting the 18S
18 ribosomal RNA genes. Two, three. This would be at
19 the ending of the fourth paragraph.

20 DR. TEPLITSKI: I'm sorry. How does the
21 paragraph begin please?

22 DR. WARREN: It begins, "The current FDA
23 method for detection of Cyclospora cayetanensis in
24 agricultural water." So it's the -- or the paragraph
25 that talks about Chapter 19(c). Yes, that one.

1 Okay. So in this paragraph, the report is
2 discussing the multi-lab validation study that was
3 used to validate the FDA BAM for use with agricultural
4 water. Down at Line 1347, scroll down. So as part of
5 this publication, after the work was done for the
6 validation study, there were six samples that were
7 taken from open water sources in Maryland, and they
8 were analyzed using the BAM methodology. And from
9 those, there were three of six samples, as the report
10 indicates here, that were found to be positive by the
11 18S method for *Cyclospora cayetanensis*.

12 In that report, we also talk about other
13 work that is going on about other methods. And, you
14 know, certainly 18S methodology is, you know, and it
15 has its issues with specificity given that there's not
16 a lot of, you know, there's parasites that are out
17 there for which there's not full length 18S sequences
18 available. So developing methods for these types of
19 organisms is very difficult.

20 For that reason, we've acknowledged there's
21 work on other methodology underway. And we talked
22 about using one of those methods as a secondary
23 confirmation for these amplicons. A line there that
24 says 1351 -- or line number 1351 where it says,
25 "Amplicons resulting from these environmental samples

1 were not sequenced with questions about specificity of
2 detection." That line is not entirely correct.

3 The report of Durigan 2020 talks about one
4 of these tools that targets the mitochondrial genome
5 as being used as a secondary confirmation. It was
6 cited in that study as unpublished data. We provided
7 that second reference where that data was then
8 subsequently published in a '22 publication where
9 these three samples -- actually, all six of the water
10 samples were analyzed by the mitochondrial method,
11 which is later discussed in this report. And those
12 amplicons were also sequenced confirming the detection
13 by the primary 18S methodology for all three of these
14 samples. So this is an incorrect statement within the
15 report.

16 DR. TEPLITSKI: So, Dr. Warren, I
17 acknowledge that you have made a comment on the
18 previous version of the draft referencing a Durigan
19 2023 study.

20 DR. WARREN: It's 2022.

21 DR. TEPLITSKI: What?

22 DR. WARREN: It's Durigan and 2022. Max, I
23 think the line in the version you're looking at would
24 be 1411.

25 DR. TEPLITSKI: So this is the study that

1 you're referencing, Durigan 2022, development of a
2 molecular marker based on the mitochondrial genome for
3 detection of Cyclospora cayetanensis in food and water
4 samples?

5 DR. WARREN: Correct.

6 DR. TEPLITSKI: Correct?

7 DR. WARREN: Yes.

8 DR. TEPLITSKI: So this study, the Durigan
9 2022 is not the study that is discussed in this
10 paragraph. The study focuses on 18S targets, and this
11 study I believe focuses on the mitochondrial targets.
12 And what is your concern about the sequencing? Is
13 there an unpublished data showing that studies -- that
14 the samples from the previous study are referenced in
15 another study?

16 DR. WARREN: No, Max. I think the way that
17 the approach to this report was made was you said in
18 the beginning, you're going -- we were going to refer
19 to result as amplicons unless a secondary confirmation
20 was done. In this case, the primary PCR was an 18S
21 qPCR.

22 And then subsequent to that a secondary
23 detection and confirmation method was used to confirm
24 the results of that PCR. And in this particular case,
25 that secondary method was a mitochondrial-based method

1 where it amplified and then sequenced segments of that
2 part of the genome, and that successfully confirmed
3 the initial findings of the 18S.

4 So the language here is raising questions
5 whether or not the detection from the 18S primary qPCR
6 was specific. However, that was accomplished, that
7 was confirmed through the secondary mitochondrial
8 method. That's not dissimilar to some of the other
9 studies that are discussed in this paper where a
10 primary qPCR for 18S was used, and then a secondary
11 method in the case of one of them of full genotyping
12 method that uses eight different locations on the
13 genome was attempted to confirm.

14 So the way that the report reads here, it
15 infers that the initial 18S detection in the water
16 samples was never confirmed, and it raises questions
17 about its specificity. What we're saying is it was
18 confirmed by a secondary assay which not only included
19 detection of a different portion of the genome, but
20 also sequencing of that amplicon.

21 DR. TEPLITSKI: May I ask a clarifying
22 question?

23 DR. WARREN: Mm-hmm. Yes.

24 DR. TEPLITSKI: So in the Durigan 2022,
25 which is currently not cited, was it the 18S amplicon

1 that was sequenced, or was the mitochondrial amplicon
2 that was sequenced?

3 DR. WARREN: No, it was the mitochondrial
4 amplicon.

5 DR. TEPLITSKI: So just clarifying, and this
6 paragraph refers to the amplicons of the 18S ribosomal
7 RNA which were not sequenced as you're asserting. Is
8 that correct?

9 DR. WARREN: That's right. The, you know,
10 the way that the 18S in this particular method,
11 sequencing the 18S amplicon is not informative. So a
12 way that the targeted segment of the genome for the
13 18S method is designed and sequencing that amplicon is
14 not informative for confirmation purposes.

15 So that's why we have worked on other
16 methodology, other targets which include sequencing of
17 those targets to confirm detection. And in this case,
18 that's what was done, and it confirmed the initial
19 qPCR 18S detection.

20 DR. TEPLITSKI: May I ask another clarifying
21 question?

22 DR. WARREN: Again, Max, that's not
23 different than the way other studies that are
24 discussed in this report have approached attempts to
25 confirm initial results from an 18S qPCR.

1 DR. TEPLITSKI: May I ask a clarifying
2 question?

3 DR. WARREN: Yes.

4 DR. TEPLITSKI: Is this statement that the
5 18S amplicons resulting from the environmental samples
6 were not sequenced, is that the correct statement?

7 DR. WARREN: It is true that the 18S
8 amplicons were not sequenced. Yes.

9 DR. TEPLITSKI: Okay. So this statement is
10 accurate to the Durigan 2022, and in light of the
11 Durigan -- sorry. Is accurate per Durigan 2020 and is
12 accurate per Durigan 2022?

13 DR. WARREN: Well, it's accurate per 2020.
14 In that paper, we also discussed the results as
15 unpublished data at that time. It was just part of a
16 subsequent publication two years later. But it's
17 discussed in both papers.

18 DR. TEPLITSKI: May I ask another clarifying
19 question?

20 DR. WARREN: Mm-hmm.

21 DR. TEPLITSKI: So your assertion is that
22 even though the 18S amplicons in the study were not
23 sequenced as stated, there were other targets,
24 mitochondrial genes that were sequenced in a
25 subsequent study. Is that your assertion?

1 DR. WARREN: Max, what I'm saying is in this
2 paper, it discusses that the initial detection of 18S
3 through the 18S qPCR, those samples were further
4 analyzed by the method developed for mitochondrial
5 targets. The mitochondrial amplicon was sequenced,
6 and that sequencing confirmed via secondary detection
7 and sequencing method the 18S result.

8 There are no questions about the specificity
9 of the 18S results for the three water samples because
10 they were further confirmed by a secondary detection
11 and amplicon sequencing method.

12 DR. TEPLITSKI: The reason I'm asking these
13 questions is that I'm trying to find the verbiage that
14 will be accurate to represent what experiments were
15 conducted in these studies, and what results were
16 obtained. So is that your assertion that -- well, do
17 you agree with the first half of the sentence?

18 DR. WARREN: If it is -- if you add in to
19 specify that the amplicons from the 18S qPCR were not
20 sequenced, that is a true and accurate statement.

21 DR. COOK: But, Dr. Warren and Max, a lot of
22 this topic I believe we duly note this and address
23 this, and we can move on to other context of the paper
24 if acceptable.

25 DR. WARREN: It is to me. We can work

1 offline on agreeable language. But just noting that
2 this, you know, this was a statement that was not
3 correct in the report, and we need to modify it before
4 final -- the report is finalized.

5 DR. COOK: Okay. Okay.

6 DR. SOUTHERN: So --

7 DR. COOK: Thank you, Dr. Warren.

8 DR. SOUTHERN: So, yes, we can. In the
9 interest of time, it will be good to move on. There
10 was a lot of committee discussion about some of this
11 in the lead up. However, the intent is to vote on
12 adopting this report today, which is why we're going
13 through it. So if there is language that needs to be
14 addressed, it would not be that we're going to add
15 additional language after this meeting. But the co-
16 chairs will -- the co-chairs, Max and Peggy, will make
17 the decision on to handle each of the comments as they
18 come in.

19 DR. ESTEBAN: So --

20 DR. SOUTHERN: So I just want to make that
21 clear.

22 DR. ESTEBAN: Kristal, Ben, everybody, may I
23 suggest right now an insertion that addresses 50
24 percent of Ben's comments, and the proposal is simply
25 to insert the word on Line 1351 to say, however, the

1 18S amplicons were not sequenced, which I think Ben
2 has agreed is a correct statement. So let's at least
3 insert that language that specifies that the 18S
4 amplicons were not sequenced, which is I believe by
5 everybody a true statement. Is that correct?

6 DR. WARREN: Yes, that's correct.

7 DR. ESTEBAN: So if we are -- if we specify
8 that part, then that part of the question
9 (indiscernible). Then the next part is should we add
10 text at, you know, the paragraph says, however,
11 additional work conducted with this application
12 mitochondrial amplicons, we solved the issue by
13 whatever. I mean that's -- so just add one sentence
14 (indiscernible) additional work, and then you can
15 answer -- reference to Durigan in 2022 that refers to
16 the mitochondrial DNA, and then the whole paragraph is
17 resolved.

18 DR. WARREN: Well --

19 DR. SOUTHERN: Thank you. Thank you, Dr.
20 Esteban. Are there others from the committee who
21 would like to provide additional comments on this
22 discussion? We'd like to hear from other members of
23 the committee on this discussion as well as the
24 potential suggested language that has been offered by
25 Dr. Esteban.

1 Okay. Hearing none, and if you are trying
2 to speak and your audio isn't working, please try and
3 raise your hand. I'll leave that then to Max and
4 Peggy as the co-chairs, is it your decision to move
5 forward with adding that language as suggested by Dr.
6 Esteban so that we can move to the next section?

7 DR. TEPLITSKI: So I have a bit of a
8 technical issue which I'm trying to undo restricted
9 editing. But for some reason -- so I'm going to read
10 the suggested verbiage. "However, 18S amplicons
11 resulting from the environmental samples were not
12 sequenced." We'll put a period after it.

13 And then we'll acknowledge that a secondary
14 step using MITC targets resulted in sequencing of
15 Cyclospora cayetanensis products, something along
16 those lines. Is that acceptable to Dr. Warren and to
17 the rest of the committee? Are there any objections
18 to including that?

19 DR. WARREN: No, I agree with that. I think
20 we need to just make sure you reference the correct
21 mitochondrial target because there's multiple studies
22 with different mitochondrial targets. So as long as
23 you go back, it's the --

24 DR. TEPLITSKI: (Indiscernible) -- is that
25 correct?

1 DR. WARREN: It's the MIT3 was used in that
2 publication.

3 DR. TEPLITSKI: All right. MIT3 is noted.

4 DR. WARREN: Yep. Thank you.

5 DR. TEPLITSKI: Thank you for that comment.
6 Dr. Warren, are there any other comments on the
7 analytical methods?

8 DR. WARREN: No, that was my final comment
9 on that. Thank you.

10 DR. TEPLITSKI: Thank you, sir. Are there
11 any other comments from the committee on the
12 analytical methods section?

13 DR. MCMAHON: This is Wendy. I just wanted
14 to add -- I guess Ben's comment about the reference.
15 Like which -- is it the 2022 reference that would
16 follow that statement then?

17 DR. TEPLITSKI: Yes, ma'am. It'll be
18 followed by --

19 DR. MCMAHON: Okay.

20 DR. TEPLITSKI: -- a Durigan 2022.

21 DR. MCMAHON: Okay. Thank you.

22 DR. TEPLITSKI: Thank you, ma'am. All
23 right. We're moving to the next cluster of questions.
24 Control strategies and surrogates. Are there comments
25 from the committee? Hearing none, we're moving to the

1 next cluster of questions.

2 The next cluster focuses on relevant factors
3 and data gaps, what we know and what we don't know.
4 Are there any comments from the committee? Hearing
5 none, moving forward.

6 Dr. Southern, I believe that concludes the
7 discussion of the report by the committee.

8 DR. SOUTHERN: Okay. So thank you. Thank
9 you, Dr. Teplitski, for that, and also Dr. Warren and
10 others who participated in that discussion. Thank you
11 very much. And then also thank you, Dr. Teplitski and
12 Dr. Cook, for being -- serving as the co-chairs on
13 this subcommittee.

14 Before we move to public comments, are there
15 any additional questions or comments from the
16 executive committee or members of the committee on the
17 Cyclospora report and recommendations? If so, you can
18 raise your hand or if you are -- most of you should be
19 on the speaker line, you can unmute yourself.

20 DR. ESTEBAN: Kristal, this is Emilio. I
21 just wanted to thank all the committee for working on
22 this. It's been over two years of work, and to get to
23 a point where we can actually have consensus on the
24 report is very admirable. So thank you, all, for your
25 flexibility and willingness to agree on this advice

1 for the secretary.

2 DR. PRATER: Kristal, it's Don Prater here,
3 just adding my thanks to the committee and to the
4 subcommittee. So thank you for your work on this
5 report.

6 DR. SOUTHERN: Thank you, both. So the
7 Event Producer, are there any hands for the panelists?
8 I don't see any.

9 MS. LOCKEY: Not that I can see, no.

10 DR. SOUTHERN: Okay. All right. So we'll
11 keep it moving because we are a little behind, but
12 that's okay. We want to make sure that we allow time
13 for that discussion.

14 We'll now move to public comment. We had
15 one person that registered to -- that preregistered to
16 provide comment and sent confirmation through a follow
17 up email from the NACMCFP secretariat. So we'll now
18 move to Jennifer McEntire with Food Safety Strategy
19 LLC.

20 DR. MCENTIRE: Hi. Are you able to hear me?

21 DR. SOUTHERN: Yes.

22 DR. MCENTIRE: Wonderful. Well, thank you
23 for giving me the opportunity to comment on this
24 important issue. I am Dr. Jennifer McEntire with Food
25 Safety Strategy, previously with IFPA and United

1 Fresh.

2 There are challenges in trying to detect
3 this organism with confidence, as we've heard the
4 robust discussion, in both product as well as the
5 environment. And yet, there are large outbreaks, and
6 even more sporadic cases that clearly need to be
7 managed. So I would like to commend the subcommittee
8 for an outstanding job on the report. It really
9 presents a thorough review of what is known about this
10 organism, which is not much, compared to bacterial
11 foodborne pathogens.

12 I'd also like to commend FDA for charging
13 NACMCF with this topic and asking great questions,
14 questions that the industry has been asking for years.
15 There's been a lot of discussion earlier about the
16 subcommittee view that positives in many of the
17 research studies are presumptive, that 90 percent
18 false positive rate for environmental samples when
19 using 18S RRNA methods.

20 While I heard Dr. Warren's concerns and
21 understand that, these are peer-reviewed publications
22 that purport to have found *Cyclospora cayetanensis*.
23 These are the things we lean on, and it's clear that
24 things we thought we knew might not actually be right
25 and requires the use of methods, newer methods using

1 forward to have that confidence in the studies which
2 would lead to confidence in mitigations and
3 preventative measures.

4 I strongly support the subcommittee's
5 recommendation that additional research is needed with
6 respect to survival times and persistence of the
7 organism. I would add persistence in viability in the
8 various stages of its lifecycle and the committee also
9 recommends additional works on sporulation rates with
10 which I absolutely concur.

11 Given the difficulties in conducting such
12 research when you don't have oocysts because they
13 can't be propagated, and in light of the number of
14 confirmed illnesses in the U.S. each year, I urge FDA
15 to consider how to work with public health officials
16 to collect and harvest more oocysts from those who are
17 infected and share them around with the research
18 community so that we can do more work on this
19 pathogen.

20 I'd also like to comment on the committee
21 response to question 9 on preventative measures, and
22 specifically on washing. Here the report cites one
23 study that showed it was difficult to remove
24 Cyclospora from raspberries, but it's in conflict with
25 a later statement in response to question 17 that

1 suggests that washing dislodges the pathogen.

2 The report also notes in response to
3 question 9 that antimicrobials commonly used in
4 produce wash water are generally ineffective. Only
5 chlorine is mentioned, but PAA is in that header. If
6 the committee was not able to find research on PAA, it
7 would be good to call out that data gap. I did have
8 an inquiry last week from a colleague who was
9 approached by a PAA vendor who assured her, and I
10 quote from her message, "Was sure that PAA would kill
11 Cyclospora." So I think having some clarity around
12 that could be helpful if there's an opportunity to
13 make a quick edit.

14 Finally, I want to close by supporting a
15 statement in the report in response to question 18,
16 advocating for a risk-based and not hazard-based
17 approach. Given that detection methodology is still
18 evolving, it seems that with advances in genotyping,
19 we'll be able to learn so much more about outbreaks
20 and their vehicles, and from there be able to identify
21 risk factors.

22 For now, we think that humans are the sole
23 hosts, and I support the committee's recommendation in
24 response to question 5 that monitoring for fecal
25 pollution broadly may be more useful than trying to

1 find Cyclospora cayetanensis and figure out what that
2 means even if we do think we found it. So I
3 appreciate the committee's time, and I'm happy to
4 follow up on any of these topics.

5 DR. SOUTHERN: Thank you, Dr. McEntire.
6 I'll go to the co-chairs, Max and Peggy. Did you all
7 want to respond to that, or do we want to acknowledge
8 the comment and move on?

9 DR. TEPLITSKI: I certainly want to thank my
10 former colleague, Dr. McEntire, for providing the
11 comments. The question 9 and 17 and the PAA in the
12 heading are certainly important considerations. So
13 let's think of a way that we can move forward with the
14 vote this afternoon now, but also acknowledge that
15 minor edits restricted to these two questions may need
16 to be considered.

17 DR. COOK: Agreed.

18 DR. SOUTHERN: Okay.

19 DR. COOK: Yes. Yes, I agree, Max. Thank
20 you.

21 DR. SOUTHERN: Okay. So we did not have
22 others pre-register to comment. We are quite behind
23 in our agenda, but I will open it up because, again,
24 this is for the purpose of adopting -- voting on the
25 report to see if there are additional persons,

1 attendees would like to comment. If so, please raise
2 your hand. And you'll be acknowledged by the event
3 producer.

4 MS. LOCKEY: Yes. If you'd like to make a
5 comment, you can click the raise hand icon located at
6 the bottom of your screen. If you are on the phone
7 only, you can press pound two on your telephone
8 keypad. There is one comment in the chat if you'd
9 like me to read it.

10 DR. SOUTHERN: Yes, please.

11 MS. LOCKEY: Looks like from Bianca Preedo
12 (ph.). And they ask, hello, was the update on the
13 cronobacter in powdered infant formula charge already
14 given?

15 DR. SOUTHERN: Nope. We are going to be
16 moving to that after the vote. We are a little behind
17 on our schedule. That happens at times. But we do
18 complete the meeting. So we'll be moving to that
19 shortly. In the interest of time, we'll go ahead and
20 move forward if there are no additional comments from
21 the audience.

22 And so now I want to -- thank you to the
23 committee members and commenters who are participating
24 in today's discussion. We'll now proceed with a roll
25 call vote on adopting the report. Is there any

1 opposition to move forward with the vote, not voting
2 on but to move forward with the vote on adopting the
3 report titled response to questions posed by the Food
4 and Drug Administration Cyclospora cayetanensis in
5 produce?

6 Okay. Hearing no opposition to moving
7 forward with the vote, we'll now do a roll call vote.
8 Those that are in favor of adopting the report titled
9 response to questions posed by the Food and Drug
10 Administration Cyclospora cayetanensis in produce will
11 as their names are called respond aye, yes, or yay.
12 Those opposed will respond no or nay. To abstain, a
13 member may say present or abstain. If a member is not
14 ready to vote when called upon, you may also say pass
15 and request to be called on to vote again after the
16 roll call is complete.

17 As the designated federal officer for
18 NACMCF, I'll call -- I will call the role and the
19 assistant committee specialist, Mrs. Shantel Williams,
20 will repeat and record the vote. Just want to
21 confirm, Shantel, are you on the line? Okay.

22 MS. LOCKEY: Shantel is on the panelist
23 list, however, I'm not sure if she's able to unmute at
24 the moment.

25 DR. SOUTHERN: Okay. Let me confirm is

1 Kristi Akers, are you on the line?

2 MS. WILLIAMS: Are you guys able to hear me?

3 DR. SOUTHERN: Yes, we can hear you now.

4 Shantel, can you hear us?

5 MS. WILLIAMS: Yes. I can hear you loud and
6 clear.

7 DR. SOUTHERN: Okay. Great. So we'll go
8 ahead and move forward with the vote. Again, I will
9 call your name in alphabetical order. Please respond
10 in the affirmative, negative, abstain, or pass. And
11 Shantel, they'll be -- immediately after you give your
12 vote, Shantel will give your name and your vote to
13 ensure that we're -- she will repeat, and that -- make
14 sure we have -- we're recording the correct vote.
15 Okay. So we'll start with Dr. Peggy Cook.

16 DR. COOK: Yes.

17 MS. WILLIAMS: Peggy Cook, yes.

18 DR. SOUTHERN: DeAnn Davis.

19 DR. DAVIS: Yes.

20 MS. WILLIAMS: DeAnn Davis, yes.

21 DR. SOUTHERN: Francisco Diez-Gonzalez.

22 DR. DIEZ-GONZALEZ: Yes.

23 MS. WILLIAMS: Francisco Diez-Gonzalez, yes.

24 DR. SOUTHERN: Joseph Eifert.

25 DR. EIFERT: Yes.

1 MS. WILLIAMS: Joseph Eifert, yes.
2 DR. SOUTHERN: Betty Feng.
3 DR. FENG: Yes.
4 MS. WILLIAMS: Betty Feng, yes.
5 DR. SOUTHERN: Kathleen Glass.
6 DR. GLASS: Yes.
7 MS. WILLIAMS: Kathleen Glass, yes.
8 DR. SOUTHERN: Mahipal Kunduru.
9 MR. KUNDURU: Yes.
10 MS. WILLIAMS: Mahipal Kunduru, yes.
11 DR. SOUTHERN: Shannara Lynn.
12 MS. LYNN: Yes.
13 MS. WILLIAMS: Shannara Lynn, yes.
14 DR. SOUTHERN: Wendy McMahon.
15 DR. MCMAHON: Yes.
16 MS. WILLIAMS: Wendy McMahon, yes.
17 DR. SOUTHERN: Angela Melton-Celsa?
18 DR. MELTON-CELSA: Yes.
19 MS. WILLIAMS: Angela Melton-Celsa, yes.
20 DR. SOUTHERN: Joelle Mosso?
21 DR. MOSSO: Yes.
22 MS. WILLIAMS: Joelle Mosso, yes.
23 DR. SOUTHERN: Omar Oyarzabal?
24 DR. OYARZABAL: Yes.
25 MS. WILLIAMS: Omar Oyarzabal, yes.

1 DR. SOUTHERN: Scott Stillwell? And I
2 believe Scott may be having issues with his audio. My
3 understanding is that he is present. So if you're
4 able to, can you put your response in the chat? Okay.
5 We'll mark it -- we'll just leave it blank for now.
6 We'll move on and then, of course, Scott if you're
7 able to, you can put your vote in the chat to the
8 Event Producer or directly to me?

9 Robert Tauxe? And I believe Rob may also be
10 having audio issues. So if either of you, Robert or
11 Scott, can hear me, please enter your vote in the chat
12 so that we can record your vote. We can't -- if you
13 are trying to speak, we can't hear you. I'll keep
14 moving. Max Teplitski?

15 DR. TEPLITSKI: Yes.

16 MS. WILLIAMS: Max Teplitski, yes.

17 DR. SOUTHERN: Valentina Trinetta? Okay. I
18 also see that you are on the line. If you are having
19 trouble unmuting, can you please put your response in
20 the chat? Bing Wang?

21 DR. WANG: Yes.

22 MS. WILLIAMS: Bing Wang, yes.

23 DR. SOUTHERN: Benjamin Warren?

24 DR. WARREN: Yes.

25 MS. WILLIAMS: Benjamin Warren, yes.

1 DR. SOUTHERN: Teshome Yehualaeshet?

2 DR. YEHUALAESHET: Teshome Yehualaeshet,
3 yes.

4 MS. WILLIAMS: Teshome Yehualaeshet, yes.

5 DR. YEHUALAESHET: Yes.

6 DR. SOUTHERN: And Francisco Zagmutt?

7 DR. ZAGMUTT: Yes. Okay. Thank you,
8 everyone, for the vote. I want to follow up on Scott
9 Stillwell, are you able to unmute so that we can
10 record your vote?

11 MS. LOCKEY: Scott said yes in the chat.

12 DR. SOUTHERN: Okay. Thank you. And Robert
13 Tauxe?

14 MS. LOCKEY: Robert, if you can put your
15 response in the chat please.

16 DR. SOUTHERN: Okay. And we'll just do one
17 check back on Valentina Trinetta. Okay. That
18 concludes our voting. Shantel, I just want to confirm
19 that Francisco Zagmutt voted yes?

20 MS. WILLIAMS: Okay. Francisco Zagmutt,
21 yes.

22 DR. ELLIOTT: Hi. This is Phil Elliott.
23 You didn't call my name.

24 DR. SOUTHERN: My apologies. Phil Elliott?

25 DR. ELLIOTT: Yes.

1 MS. WILLIAMS: Phil Elliott, yes.

2 DR. SOUTHERN: My apologies for that.

3 DR. LAMBERTINI: You have my name, apologies
4 if I missed it. My vote is yes.

5 DR. SOUTHERN: Okay. That was Elisabetta
6 Lambertini. Okay.

7 MS. WILLIAMS: Elisabetta Lambertini, yes.

8 DR. SOUTHERN: Okay. And my apologies for
9 missing that, going down the list and trying to make
10 sure I'm only calling on the folks that are present,
11 and I ended up missing some of you, so my apologies.
12 Is there anyone else that is a committee member that I
13 did not call your name for the vote?

14 And then one last check, Robert Tauxe or
15 Valentina Trinetta, are you able to enter your vote
16 into the chat? Okay. We will consider those two
17 votes are not voting. Shantel, can you please provide
18 the results of the vote?

19 MS. LOCKEY: Valentina also --

20 MS. WILLIAMS: We have --

21 MS. LOCKEY: -- said yes in the chat.

22 DR. SOUTHERN: Oh, sorry, sorry. Let's go
23 back. Can you --

24 MS. LOCKEY: Valentina said yes in the chat.

25 MS. WILLIAMS: Valentina Trinetta, yes.

1 MS. LOCKEY: And if Joseph Doncore (ph.) a
2 committee member?

3 DR. SOUTHERN: Who was that?

4 MS. LOCKEY: Joseph --

5 MS. WILLIAMS: No, he's --

6 MS. LOCKEY: Okay.

7 DR. SOUTHERN: No. Okay. Shantel, so that
8 concludes the vote. Shantel, can you please tell us
9 the results of the vote?

10 MS. WILLIAMS: We have 21 for yes, zero no,
11 zero abstains.

12 DR. SOUTHERN: Thank you very much. The
13 yays -- yeah, and if you could, everybody, mute. Now
14 everyone can go back to muting. Okay. The yays have
15 it, and the report title Response to Questions Posed
16 by the Food and Drug Administration Cyclospora
17 cayetanensis in produce is adopted.

18 Undersecretary Dr. Esteban, as the chair of
19 NACMCF and acting director Dr. Prater as the vice
20 chair of NACMCF, the report has now been adopted
21 officially by the NACMCF committee.

22 For those who there was information put into
23 the chat, that link will take you to the report that
24 was posted for public comment. Public comment period
25 ended last Friday, August 25th. That is not the same

1 version as the report that we went over today. That
2 is the first version, and then the report that they
3 went over today is the version that has been updated
4 to account for additional committee discussions as
5 well as written comments that we received, and then of
6 course, as you saw today, some of the comments that
7 happened during the meeting. Once this report that
8 was discussed today has been finalized, that will be
9 posted on the FSIS website for your reading pleasure.

10 Okay. So, again, thank you, everyone. We
11 had a lot of discussion. Yes, we're behind in the
12 agenda, but we are going to move forward, and we will
13 complete the agenda so I hope you can stay on a little
14 longer with us because now we'll have some updates on
15 the cronobacter species in powdered infant
16 subcommittee on that charge. So this subcommittee is
17 led by our members Dr. Kathleen Glass and Dr.
18 Elisabetta Lambertini. Dr. Glass will provide the
19 updates for this subcommittee.

20 DR. GLASS: All right. Thank you very much.
21 I'm not seeing being able to go forward. Yep.

22 MS. LOCKEY: You should be able to click on
23 the screen there and then be able to control the
24 slides. I gave you presenting rights.

25 DR. SOUTHERN: Kathy, if you look at the top

1 of this slide, do you see a number 7 with an arrow --

2 DR. GLASS: Okay. There.

3 MS. LOCKEY: Yeah. And you (indiscernible)
4 that --

5 DR. GLASS: We have it. Okay.

6 MS. LOCKEY: -- too. Yeah.

7 DR. SOUTHERN: We can skip that slide.

8 DR. GLASS: All right. Thank you very much.

9 I would like to thank the -- specifically the
10 subcommittee on behalf of Elisabetta and myself for
11 working on this in a very short time period. We
12 received this charge in March and were able to have an
13 in-person meeting in May and all of the rest of it has
14 been done online.

15 What we are going to be giving today is an
16 interim report that is only going to include charge
17 question number one which is a charge from FDA about
18 cronobacter species in powdered infant formula.

19 So as the background that we got from FDA is
20 that cronobacter contaminated powdered infant formula
21 has been associated with infections in infants,
22 specifically it's cronobacter sakazakii. That's the
23 one that's most often associated with illness.

24 We know that cronobacter species can be
25 isolated from powdered and rehydrated formula, from

1 utensils, environment, animals, and other types of
2 foods. We also know that cronobacter can survive for
3 long periods of time in low-moisture foods such as
4 powdered infant formula.

5 Given that background, we look at it in
6 perspective of illnesses, specifically that which
7 occurred in 2021 and '22, and eventually resulted in a
8 large recall of powdered infant formula. And as the
9 manufacturer was looking through methods to be able to
10 mitigate this ended up having a powdered infant
11 formula shortage.

12 So with that, FDA came up with a strategy to
13 prevent cronobacter species illnesses associated with
14 powdered infant formula and released that in November
15 of 2022. To go forward, FDA is seeking advice from
16 NACMCF to address knowledge gaps in key issues related
17 to cronobacter species in four specific areas which
18 are our charge questions.

19 Our subcommittee will only take a look at
20 phase one, which is charge question one, which is
21 current prevalence and levels of cronobacter
22 contamination in powdered infant formula in the U.S.
23 market, what's known about cronobacter in other foods
24 and other home environment, and the frequency with
25 which these foods and environmental sources might

1 contribute to human infections.

2 Because this committee will be rotating off,
3 the rest of the charge will be on -- with the next
4 term. So phase two, which will be completed by next
5 year, is going to be what kind of factors specifically
6 virulence factors, host factors, dose of exposure that
7 place an infant at greater risk of cronobacter
8 infection and severe health outcomes.

9 Next thing is what kind of food safety
10 management practices can be applied at the
11 manufacturing level of powdered infant formula to help
12 reduce the risk of cronobacter species contamination
13 in the formula or in the production environment.

14 And lastly, given that powdered infant
15 formula is not sterile, how could food safety
16 messaging be improved for infant care providers with
17 an emphasis on sterile ready-to-use formula for
18 infants at greater risk, and also safe infant formula
19 preparation and storage for infant formulas in
20 general.

21 So as we said, this committee is charged
22 with question one, which, as we break it down, comes
23 into three sub-questions. What is the current
24 prevalence and level of cronobacter species
25 contamination in powdered infant formula specifically

1 in the U.S. market. Secondly, what is known about
2 cronobacter species and other foods and the home
3 environment, and the frequency with which these foods
4 or the environmental sources can contribute to human
5 infections.

6 As the committee looked at this, we realized
7 that questions one, two, three were actually related.
8 So there are going to be some partial answers to
9 questions two and three that are going to be
10 incorporated into our interim report.

11 Next, due to very little data on prevalence
12 and level of cronobacter species in powdered infant
13 formula, or other foods and environment in the U.S.
14 market, we have expanded the review to include
15 information from outside the United States.

16 Next, not all cronobacter species are going
17 to be pathogenic, so the interim report has expanded
18 the review to evaluate evidence on epidemiology and
19 risk factors contributing to illness, and which is
20 going to be a partial answer to question two.

21 Because as we take a look at the prevalence
22 and level information on powdered infant formula and
23 other foods, we need to understand that there's going
24 to be differences in identification and enumeration
25 and detection protocols. And those methods are going

1 to be at different stages of refinement that might
2 actually effect what the prevalence data is and what
3 the identification's going to be.

4 So the report has expanded the review to
5 evaluate current methodologies. We were also asked by
6 FDA to review a correlation between its presence of
7 cronobacter and other indicator organisms in
8 processing facilities because some of the information
9 that we reviewed for cronobacter specifically did
10 include information about indictor organisms.

11 We also took a look at what were going to
12 factors that were associated with the occurrence of
13 cronobacter in the review. We did not address
14 cronobacter infections in elderly populations even
15 though they are still going to be cronobacter species
16 that are going to be included in all of the
17 epidemiology numbers.

18 In that response, we organized it in these
19 different levels. One being the epidemiology. Next,
20 it's going to be the occurrence in powdered infant
21 formula, ingredients that are used in powdered infant
22 formula, and the production environments that are used
23 both in the dry diary situation as well as powdered
24 infant formula.

25 Next, what is going to be the occurrence in

1 other foods and in the home, and institutional
2 environments. And then as we were able to provide
3 data that are going to be in tables as well as in
4 appendices.

5 As we mentioned with epidemiology and the
6 nature of the pathogen, even though that specifically
7 wasn't in question one, it provided a lot of
8 background for us to be able to evaluate the further
9 questions. Specifically, when we're looking at the
10 occurrence of powdered infant formula -- in powdered
11 infant formula, we were looking at prevalence data,
12 but also expanded it to understanding survival and
13 growth characteristics, indicator organisms, and
14 factors that are associated with occurrence in these
15 facilities.

16 For a little bit of background, the
17 epidemiology and risk factors, organisms were
18 previously classified as *Enterobacter sakazakii*, and
19 they were reassigned to a new genus *Cronobacter* in
20 2007. Along with *sakazakii* are seven species.
21 *Cronobacter sakazakii* is the one that's most linked to
22 illness, but less frequently with *malonaticus*.

23 So, once again, as we're looking at
24 prevalence data, and if it's only including
25 *Cronobacter* species, is not necessarily going to be

1 identifying the ones that are most associated with
2 illness.

3 One of the difficulties in identifying what
4 are going to be some risks is that we have very few
5 cases that are going to be reported in the United
6 States every year with estimated incidence in the
7 United States of about 18 cases of invasive illness in
8 infants. The mortality rate is going to be high,
9 however, and that depending upon the disease
10 manifestation, where the outbreak was, where the
11 location and what kind of treatments were then, the
12 mortality rate may range between 20 to 80 percent.

13 Currently, it is only a reportable disease
14 in two states in the United States. However, this
15 will change effective January of 2024 where all states
16 will be required to report it. And this may be
17 beneficial to be able to trigger more detailed
18 investigations and get more information. Once again,
19 the committee did not include information about
20 infections related to elderly.

21 With other risk factors, it was determined
22 that the highest risk infants are going to be those
23 that are going to be premature or low -- and/or low
24 birth weight. Typically, the illnesses are going to
25 occur within 28 days of birth, but it can occur later.

1 Greatest risk factors have been associated
2 so far with the use of reconstituted powdered infant
3 formula. However, there have been reports of
4 illnesses associated with breast milk that was
5 contaminated with breast pump milk parts.

6 Now there's little on other information
7 about how other risk factors and correlations between
8 other foods and environmental and illness can occur
9 probably mainly because there -- we have a very low
10 rate of reported illnesses and it's difficult to make
11 that type of correlation.

12 Thus far, it's unclear what the dose --
13 infectious dose is going to be. Earlier reports
14 suggested that it was going to be 1,000 CFU. However,
15 it did not include what might be more and repeated
16 dosages within a short period of time. Enumeration
17 from outbreaks suggested that the number of colony
18 forming units were one to 10 colony forming units per
19 hundred grams of powdered infant formula, and that
20 there was a suggestion that there was potential growth
21 after reconstitution that may be contributing to
22 infection.

23 Effective in 2002, the recommendation that
24 neonate ICUs would use sterilized formula, or at least
25 reduce the hang time, which is basically the full time

1 that reconstituted formula would be used while it is
2 going to be fed to the infants to be no more than four
3 hours, which is in line with the food code.

4 However, CDC's advice to parents to reduce
5 the risk is to prepare the formula with hot water, 70
6 degrees Celsius and then cool, and then use within two
7 hours of preparation, or refrigerate immediately and
8 use within 24 hours.

9 Now as far as the detection methods, we do
10 now that the methods can affect the reporting of the
11 prevalence data. The detection methods that were used
12 for the various surveys were going to vary. Mostly,
13 they were going to be cultured based for presumptive
14 but also were whole genome sequencing for
15 confirmation. However, there are other phenotypic
16 methods that may be unreliable. So it was clear that
17 there was going to be research that's going to be
18 needed for rapid isolation, identification, and
19 quantification protocols for cronobacter at the
20 species level.

21 As far as occurrence in powdered infant
22 formula and dried dairy ingredients and the production
23 even related to these, all the published studies that
24 we could find specifically for powdered infant formula
25 are from outside the United States. Also, typically

1 they're going to be reported as cronobacter species
2 rather than specific pathogenic species.

3 Regardless, when we take a look at the
4 prevalence rates in the powdered infant formula, it's
5 going to be a wide range. In countries such as the
6 Netherlands, Switzerland, and South Korea, the
7 reported positive rates in powdered infant formula
8 range between 2 and 7 percent. However, ranges of --
9 in some countries range all the way up to 96 percent
10 of powdered infant included cronobacter.

11 We have very few enumeration data available.
12 Most of the information is from outbreaks. When they
13 did have surveys, they were less than one colony
14 forming unit per gram, and from the outbreaks, as I
15 mentioned before, was going to be one to ten colony-
16 forming units per 100 grams.

17 When we take a look at other dry powder,
18 milk powder facilities in the United States, there was
19 a good survey with that, but, once again, it was not
20 specifically for powdered infant formula. There was a
21 wide range of positive samples. Up to 69 percent of
22 the samples were found to have cronobacter species,
23 and about 4.4 percent of the environmental samples
24 were found to be positive. About 1 percent of the
25 one, which is food contact surfaces were going to have

1 cronobacter. Overall, the prevalence of cronobacter
2 was greater than what was found for salmonella.

3 What the survey also found out that there
4 was on specific correlation between specific type of
5 dried dairy ingredient and cronobacter. But rather it
6 was -- might be associated with the type of
7 manufacturing environment.

8 As far as understanding the cronobacter
9 survival and the harborage, we know that cronobacter
10 does not survive through milk pasteurization, but if
11 it is recontaminated, it will survive through the
12 spray drying. They have substantially longer D-values
13 with the dry heat than with wet heat.

14 More than likely there is going to be the
15 cross-contamination in the manufacturing environment
16 specifically spray drying towers, harborage sites in
17 air filters, transfer by air, in personnel. And if
18 there are any water events in the building or its
19 moisture accumulation, there is the potential of
20 exacerbating the situation. Once it is in the product
21 though, it is very tolerant to desiccation with long
22 survival times reported in diary powders and in
23 powdered infant formula for two years or longer.

24 Looking at correlation between indicator
25 organisms in cronobacter, we find out that

1 Enterobacteriaceae is a weak indicator. But currently
2 in the industry, there is found to be no better
3 indicator, and because they're both going to be gram
4 negative organisms, it is what is being used currently
5 as indicators.

6 As far as identifying cronobacter in other
7 foods and in the home environment, once again, most of
8 the evidence is from outside the United States. We
9 know that cronobacter's home is really in plant-based
10 foods, but it is going to be found in animal-sourced
11 foods and animals.

12 It has been found in teas, flowers, herbs
13 and spices, and cereals which could serve as cross-
14 contamination for powdered infant formula. It has
15 also been isolated from vacuum cleaners, specifically
16 dust, water, and from outbreaks, open bottled nursery
17 water. Also tap and bottled water in other countries.

18 Because cronobacter is so ubiquitous, it
19 suggests that other foods and the environment may
20 serve as a source of cross-contamination with powdered
21 infant formula even if the infant formula itself came
22 up as being negative. However, due to the low numbers
23 of illnesses and the difficulty to determine the
24 frequency with which these foods and environmental
25 sources contribute to human infections, we cannot

1 necessarily make a conclusion at this point.

2 So this is going to be the conclusion for
3 this particular term. However, there will be further
4 refinement to question one as new evidence become
5 available, and also listen to any comments that might
6 be coming through. And then we still have the
7 response to questions 2, 3, and 4 within the next
8 year. And with that, I'd like to open it up for any
9 kind of questions or comments from the committee.

10 DR. SOUTHERN: Thank you, Dr. Glass. And
11 thank you for opening it up. So are there any -- are
12 there any questions from the executive committee or
13 members of the committee on the cronobacter charge and
14 the presentation today?

15 DR. PRATER: Yes. It's Don Prater here from
16 FDA. I want to thank the committee for working on
17 this question. This is such an important issue for
18 us, and really appreciate your work on this. The
19 responses I think will be extremely valuable to us,
20 and so look forward to the questions ahead. But thank
21 you so much for the terrific work on this and very
22 much appreciate it.

23 DR. ESTEBAN: And, Kristal, this is --

24 DR. SOUTHERN: Thank you, Dr. Prater.

25 DR. ESTEBAN: This is Emilio. Kathy,

1 excellent job as usual. This is a very significant
2 issue as Don just stated and the advice of this
3 committee will give -- will really carry a lot of
4 weight. So I look forward to your recommendations for
5 the other questions, and hopefully won't have to face
6 another crisis like we did here in the month past. So
7 thank you very much for your work.

8 DR. GLASS: Thank you. Kristal, back to
9 you.

10 DR. SOUTHERN: Thank you. Thank you, Drs.
11 Prater and Esteban, as well as you, Dr. Glass, for the
12 presentation. And thank you, Dr. Lambertini and
13 Glass, for serving as the subcommittee co-leads on
14 this.

15 So we did not receive requests to comment on
16 the -- any pre-registration requests to comment on the
17 cronobacter charge. So we'll open it up to the
18 audience. We are a little past our 1:00 o'clock
19 period, but I do want to make sure that those who want
20 to comment have an opportunity to do so.

21 So just a reminder, each person, if you want
22 to comment, you can raise your hand to get in the
23 queue. You'll have three minutes to make your
24 comment, and then we'll move on to the next person.
25 I'll now hand it over to the Event Producer to receive

1 public comments on the cronobacter charge.

2 MS. LOCKEY: And if you'd like to make a
3 comment, you can please use the raise hand icon
4 located at the bottom of your screen to enter the
5 queue. If you're on the phone only, you can press
6 pound two. You will have three minutes, and then
7 please provide your name and affiliation before
8 speaking.

9 I do not see any hands raised at this time.
10 There is a comment in the chat from Carol Coulane
11 (ph.). Is there a link to the cronobacter report of
12 the NACMFC?

13 DR. SOUTHERN: So thank you for that
14 comment. Because this is just an interim update on
15 the first question, there is not a full report yet.
16 Once the committee, which will be in the next
17 committee term, will complete the remaining questions,
18 then a full report will be available similar to what
19 we did with the Cyclospora report.

20 However, this is being recorded, and once
21 the video is prepared and ready to -- we'll be posting
22 it online and you can go back and review it. And
23 we'll also have a transcript of this meeting available
24 on our website as well. Thank you. Are there --
25 sorry, are there any other comments?

1 MS. LOCKEY: I do not see any in the queue
2 at this time.

3 DR. SOUTHERN: Okay. So thank you. I think
4 we'll move on. Just want to say thank you to everyone
5 that participated in today's meeting, especially our
6 committee members and our commenters. It was a great
7 discussion. This brings us to the end of our agenda,
8 but before we go, we have a special presentation for
9 the committee. For that, I'll now turn it over to Dr.
10 Esteban.

11 DR. ESTEBAN: Thank you, Kristal. And
12 clearly, I've said this a couple times, and I want to
13 say it one more time, which is I want to show my
14 appreciation for NACMCF and the -- not only for the
15 NACMCF members because you guys are good scientists
16 and that's why you were appointed to this job. But
17 also for the NACMCF staff team.

18 It's not easy to hold these meetings, to
19 moderate the meetings. And you cannot even imagine
20 the paperwork that goes behind all the work to this
21 committee to get this committee really -- to work out.
22 So I want to actually thank in particular some of the
23 NACMCF members that have worked on the charges for --
24 those three charges for the last three years.

25 Both USDA and FDA rely on you to provide a

1 lot of evidence-based pathogen control and prevention
2 recommendations. Really you are actually our
3 guideline for our agency and department. We thank you
4 for your outstanding contributions to the national
5 advisory committee on micro bacteria and foods.

6 But if you look at the list here, many
7 people are giving tremendous amount of time and
8 expertise to help us making our food supply safer.
9 Your work on the committee and the advisory board is
10 instrumental for us to control pathogens, and so we
11 appreciate it immensely. Thank you. Thank you very
12 much. I see a lot of very good friends on this list,
13 I hope to continue to work with you, and thank you
14 again. Thank you very much. I'll leave it at that.

15 DR. SOUTHERN: Thank you, Dr. Esteban. I
16 also want to thank all of the NACMCF members for your
17 commitment to the work of the committee, and a special
18 thank you to our outgoing members for this 2021/2023.
19 It's truly been a pleasure working with you over the
20 last several months. And I want to say thank you to
21 the executive committee for supporting NACMCF.

22 So as you all know, we put out a call for
23 nominations earlier this year. We're still going
24 through that process. And the next time that we meet,
25 we will -- after the new committee members are

1 appointed by the secretary, the next plenary, which
2 should be later this year, that will be our
3 opportunity to present to you those new members.

4 We're still going through that process, so
5 if you did apply, just know that that process is still
6 ongoing and decisions, appointments have not yet been
7 made and we'll be updating you all shortly.

8 So, again, I just want to say thank you to
9 everyone. And then also thank you to our subject
10 matter experts for consulting with the committee and
11 helping them with information that they need to answer
12 the charge questions, and also to the public for
13 continuing to support NACMCF.

14 A special thanks to our NACMCF secretariat,
15 and especially our advisory committee specialist, Ms.
16 Shantel Williams. I greatly appreciate all of you and
17 your efforts and energy invested to supporting us.

18 So we have completed the purpose of today's
19 NACMCF Plenary meeting. If there is no objection, we
20 will adjourn. I don't think anyone will object. So I
21 don't hear any, raised hands or anything. Being there
22 is no objection, we now stand adjourned. Thank you
23 and have a wonderful rest of the day.

24 COURT REPORTER: Off the record at 1:09.

25 (Whereupon, at 1:09 p.m., the meeting was

1 concluded.)

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24

C E R T I F I C A T E

This is to certify that the attached proceedings
in the matter of:

NATIONAL ADVISORY COMMITTEE ON
MICROBIOLOGICAL CRITERIA FOR FOODS

PLENARY SESSION

August 30, 2023

were held as herein appears, and that this is the
original transcription thereof for the files of the
United States Department of Agriculture, Food Safety
and Inspection Service.



TOM BOWMAN, Reporter

FREE STATE REPORTING, INC.