

UNITED STATES DEPARTMENT OF AGRICULTURE

+ + + + +

NATIONAL ADVISORY COMMITTEE ON
MICROBIOLOGICAL CRITERIA FOR FOODS

+ + + + +

PLENARY SESSION

+ + + + +

May 16, 2023
10:35 a.m.FDA Wing 3 Cafeteria
1400 Independence Avenue, SW
Washington DC 20024CHAIR: DR. J. EMILIO ESTEBAN
Under Secretary for Food Safety, USDAFACILITATOR: DR. KRISTAL SOUTHERN
Designated Federal Officer, USDA FSIS

NACMCF EXECUTIVE COMMITTEE MEMBERS:

DR. ERIC OLSEN
DR. DENISE EBLEN
DR. ARTHUR LIANG
DR. JOHN BELL
COL ALISA WILMA

NACMCF COMMITTEE MEMBERS:

DR. STAN BAILEY
DR. PEGGY COOK
DR. FRANCISCO DIEZ-GONZALEZ
DR. KATHLEEN GLASS
MS. JANELL KAUSE**Free State Reporting, Inc.**
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

NACMCF COMMITTEE MEMBERS (Continued):

DR. ELIZABETTA LAMBERTINI
MS. SHANNARA LYNN
DR. WENDY MCMAHON
DR. ANGELA MELTON-CELSA
DR. OMAR OYARZABAL
DR. ROBERT TAUXE
DR. MAX TEPLITSKI
DR. WING WANG
DR. BENJAMIN WARREN
DR. TESHOME YEHUALAESHET
DR. FRANCISCO ZAGMUTT
DR. JOSEPH EIFERT
DR. PHIL ELLIOTT (Virtual)
MS. MILLIE COLE (Virtual)
DR. SAFF STILLWELL (Virtual)

ALSO PARTICIPATING:

MS. SANDRA ESKIN
MS. SUSAN HAMMONS
MR. JOHN JAROSH (Virtual)
DR. JAMES KINCHELOE
DR. SUSAN MAYNE
DR. EVELYNE MBANDI

I-N-D-E-X

1		
2		
3	<u>AGENDA ITEM</u>	<u>PAGE</u>
4		
5	Opening Remarks and Roll Call	4
6	Dr. Kristal Southern	
7	Designated Federal Officer, USDA FSIS	
8		
9	Introductory Remarks	12
10	Dr. J. Emilio Esteban	
11	Under Secretary for Food Safety, USDA	
12		
13	Dr. Susan Mayne, Director	
14	Center for Food Safety and Applied Nutrition, FDA	
15		
16	Update on USDA Charge: "<i>Cyclospora cayetanensis</i> in	
17	Produce"	21
18	Dr. Max Teplitski and Dr. Peggy Cook	
19	Subcommittee Co-Chairs	
20		
21	Public Comment - On the FDA Charge: "<i>Cyclospora</i>	
22	<i>cayetanensis</i> in Produce	46
23		
24	Update on FDA Charge: "<i>Cronobacter</i> species in	
25	Powdered Infant Formula"	47
26	Dr. Kathleen Glass and Dr. Elisabetta Lambertini	
27	Subcommittee Co-Chairs	
28	Error! Bookmark not	
29	defined.	
30	Public Comment - On the FDA Charge: "<i>Cronobacter</i>	
31	species in Powdered Infant Formula"	54
32		
33	Closing Remarks	61
34	Dr. Kristal Southern	
35	Designated Federal Officer, USDA FSIS	
36		
37		
38		
39		
40		
41		
42		
43		
44		

1
2

1 P-R-O-C-E-E-D-I-N-G-S

2 (10:35 a.m.)

3 DR. SOUTHERN: Good morning, everyone.
4 Welcome to the Plenary Meeting of the National
5 Advisory Committee on Microbiological Criteria for
6 Foods, commonly referred to as NACMCF. So we do
7 apologize for the delay in getting started. We did
8 have an emergency in the room, and of course, you
9 know, we take care of our people first.

10 As important as this meeting is, the people
11 that go into making this committee great is more
12 important. So, we're just happy that everything is
13 worked out and we're all set and ready to go.

14 Due to the time difference, we may have some
15 abbreviated agenda items, but we will try to get
16 through the entire agenda as is. With that said,
17 we'll go ahead and get started.

18 Now, for NACMCF, the purpose of the
19 committee is to provide impartial, scientific advice
20 and/or peer reviews to federal food safety agencies,
21 for use in the development of an integrated national
22 food safety systems approach that ensures the safety
23 of domestic, imported and exported foods.

24 My name is Dr. Kristal Southern. I'm with
25 the USDA Food Safety and Inspection Service. I've

1 served as the Designated Federal Officer for NACMCF,
2 and the Director of the NACMCF Secretariat.

3 Today the committee will provide updates on
4 their work to address the Food and Drug
5 Administration's charges on *Cyclospora cayetanensis* in
6 produce and *Cronobacter* species in powdered infant
7 formula. Now this meeting is a bit unique, in that it
8 is the first hybrid NACMCF plenary, in which we will
9 have in-person and virtual attendees.

10 Before we dive in, I want to provide a few
11 housekeeping items to keep in mind as we move forward.
12 First, please note that this morning's plenary is
13 being recorded. FSIS will post the plenary and
14 transcript when they become available on the FSIS
15 website, at www.fsis.usda.gov.

16 And because this meeting is being recorded,
17 we have a court reporter in the room, and we ask that
18 all attendees, whenever you speak, even if you've
19 spoken before, please announce your name.

20 For online attendees, with the exception of
21 our committee members joining virtually and our
22 designated subject matter experts, your microphones
23 are automatically muted when you join the meeting, and
24 you will not have the ability to use your camera
25 during the meeting.

1 And again, for our in-person attendees, we
2 request that you use one of the microphones provided,
3 or to come to the podium when you are speaking. A
4 sign language interpreter is present for the duration
5 of the meeting. In addition, closed captions can be
6 enabled by clicking the closed caption, or CC bubble
7 at the bottom left of your screen.

8 There will be two comment periods, two
9 public comment periods today for members of the
10 public. The first public comment period will be to
11 receive comments on the *Cyclospora cayetanensis* in
12 produce charge, and the second comment period will be
13 to receive comments on the *Cronobacter* species in
14 powdered infant formula charge.

15 If you preregistered to speak, and are here
16 in person, I will call on you during the respective
17 comment period. And for those online, you can use
18 your "raise hand" feature, and the event producer will
19 unmute you when it is your turn to speak.

20 Again, we request that all attendees please
21 to introduce yourself by providing your name and
22 affiliation before providing comment. Each person
23 will be provided up to three minutes to make their
24 comments, and then the event producer will move on to
25 the next person in the queue.

1 Lastly, for online feature -- excuse me,
2 attendees, the chat feature is available to also
3 insert comment and questions. Any comments or
4 questions made in the chat will be shared with the
5 committee after today's meeting.

6 And in addition, attendees may submit
7 written comments, according to the options and
8 directions outlined in the *Federal Register* notice
9 announcing this meeting. These comments will also be
10 shared with the committee when they become available.

11 So I'll now move on to taking the role of
12 our NACMCF Executive Committee and the members of the
13 NACMCF Committee. When your name is called, please
14 announce yourself by stating here, or present.
15 Starting with the NACMCF Executive Committee, U.S.
16 Department of Agriculture's Under Secretary for Food
17 Safety and NACMCF Chair, Dr. Emilio Esteban. He's
18 here.

19 And Food and Drug Administration's Director
20 of the Standards for Food Safety and Applied
21 Nutrition, and our NACMCF Vice Chair, Dr. Susan Mayne.

22 VICE CHAIR MAYNE: Here.

23 DR. SOUTHERN: Food Safety and Inspection
24 Service Liaison, Dr. Denise Eblen.

25 DR. EBLEN: Here.

1 DR. SOUTHERN: Food and Drug Administration
2 Liaison Dr. Eric Olsen.

3 DR. OLSEN: Here.

4 DR. SOUTHERN: And to our online executive
5 committee members, Centers for Disease Control and
6 Prevention Liaison, Dr. Arthur Liang. Silas (ph.), is
7 Dr. Liang on the call?

8 EVENT PRODUCER: Dr. Liang was just --

9 DR. LIANG: Oh, thank you both. I was on
10 mute and couldn't get off mute. Art Liang, CDC,
11 present, over.

12 DR. SOUTHERN: Thank you. Department of
13 Commerce Liaison, Dr. John Bell.

14 (No response.)

15 DR. SOUTHERN: Silas, is Dr. John Bell
16 online?

17 EVENT PRODUCER: Dr. John Bell is on the
18 call. He is able to unmute his phone.

19 DR. BELL: Great, thank you. I'm here,
20 thank you.

21 DR. SOUTHERN: Thank you. And we have one
22 other Executive Committee member from the Department
23 of Defense, our Department of Defense Liaison, Colonel
24 Alisa Wilma. Silas, I don't know that she'll be
25 joining, but if she is here, can you please announce

1 her present?

2 EVENT PRODUCER: If you are here, can you
3 press the "raise hand" button on your screen to
4 announce your presence?

5 It does not look like she is present on the
6 Webex.

7 DR. SOUTHERN: Okay. We weren't exactly
8 expecting her, but maybe. All right, so I'll now move
9 on to our NACMCF committee members. Again, when your
10 name is called, please announce your presence by
11 stating here or present. I will start with our in-
12 person attendees and then move to our committee
13 members attending virtually.

14 And this is in alphabetical order, Dr. Stan
15 Bailey.

16 DR. BAILEY: Present.

17 DR. SOUTHERN: Dr. Peggy Cook.

18 DR. COOK: Present.

19 DR. SOUTHERN: Dr. Francisco Diez-Gonzalez.

20 DR. DIEZ-GONZALEZ: Present.

21 DR. SOUTHERN: Ms. Janell Kause.

22 MS. KAUSE: Present.

23 DR. SOUTHERN: Dr. Elizabetta Lambertini.

24 DR. LAMBERTINI: Present.

25 DR. SOUTHERN: Ms. Shannara Lynn.

1 MS. LYNN: Present.

2 DR. SOUTHERN: And Dr. Wendy McMahon.

3 DR. MCMAHON: Present.

4 DR. SOUTHERN: Dr. Angela Melton-Celsa.

5 DR. MELTON-CELSA: Present.

6 DR. SOUTHERN: Dr. Omar Oyarzabal.

7 DR. OYARZABAL: Here.

8 DR. SOUTHERN: Dr. Robert Tauxe.

9 DR. TAUXE: Present.

10 DR. SOUTHERN: Dr. Max Teplitski.

11 DR. TEPLITSKI: Here.

12 DR. SOUTHERN: Dr. Bing Wang.

13 DR. WANG: Present.

14 DR. SOUTHERN: Dr. Benjamin Warren.

15 DR. WARREN: Present.

16 DR. SOUTHERN: Dr. Teshome Yehualaeshet.

17 DR. YEHUALAESHET: Present.

18 DR. SOUTHERN: Dr. Francisco Zagmutt.

19 DR. ZAGMUTT: Here.

20 DR. SOUTHERN: Okay. And Silas, I'll now

21 move on to our online committee members attending

22 virtually. So after I call the name, if you could

23 raise your hand, or she'll unmute you. Dr. James

24 Dickson.

25 DR. EIFERT: I don't think he was going to

1 be able to attend.

2 DR. SOUTHERN: Okay. We don't think that
3 he's in attendance, so we'll move on. Dr. Joseph
4 Eifert.

5 DR. EIFERT: Here.

6 DR. SOUTHERN: Oh, in person. Sorry, my
7 apologies.

8 Dr. Phil Elliott.

9 DR. ELLIOTT: Phil Elliott's here.

10 DR. SOUTHERN: Thank you. Dr. Mahipal
11 Kunduru.

12 (No response.)

13 DR. SOUTHERN: Okay. Hearing none, we'll
14 move on. Lt. Col. Audrey McMillan-Cole.

15 LTC COLE: I'm here, present.

16 DR. SOUTHERN: Thank you. And Dr. Scott
17 Stillwell.

18 EVENT PRODUCER: Dr. Stillwell is here.
19 He's able to unmute himself.

20 DR. STILLWELL: Dr. Stillwell is here.

21 DR. SOUTHERN: Thank you. We have 20 of 29
22 members present, which meets quorum for today's
23 meeting.

24 Next we'll proceed with opening remarks by
25 the Under Secretary for Food Safety and NACMCF Chair,

1 Dr. Emilio Esteban, followed by the Food and Drug
2 Administration's Director of the Centers for Food
3 Safety and Applied Nutrition, and our NACMCF Vice
4 Chair, Dr. Susan Mayne. Welcome, Dr. Esteban.

5 (Applause.)

6 CHAIR ESTEBAN: Well, good morning and
7 welcome to the first hybrid session of NACMCF. We
8 always provide excitement, and so the excitement cost
9 us 30 minutes of the meeting. But I think, I hear
10 that Ethan (ph.) will be out, and I imagine he will
11 join us in a few minutes. This is good news.

12 Well, as Under Secretary, it's my honor to
13 chair this committee. As you know, both FDA and USDA,
14 we need all the science we can get, all the
15 perspective we can get, all the inputs we can get from
16 the stakeholders, to make the best science and to
17 best, to support the best -- efforts.

18 During this meeting, we'll gear up to -- of
19 the charges. One has to do with *Cyclospora*, the other
20 one is the *Cronobacter*. And I think that you, we're
21 aware that -- within public health is extremely --.
22 So, you are a group of experts that we look to for
23 advice, and we revisit, in many ways, that we did for
24 the last few years. So, we appreciate -- we thank
25 you, and I appreciate your being here today to share

1 with us your knowledge, your time.

2 Hopefully, we get to a conclusion, and I
3 look forward to a lot of great advice and great
4 relations related to public health. So with that, I
5 will just turn it over to Susan, and -- then we'll get
6 going with the committee. Thank you very much.

7 (Applause.)

8 DR. MAYNE: So good morning. I'm Susan
9 Mayne. I direct the Center for Food Safety and
10 Applied Nutrition. And I just want to give a very
11 warm welcome to Dr. Esteban, who's attending in his
12 role, and thanks to Sandy Eskin, who has played the
13 leadership role of this committee for some time, and
14 who will be missed, but we you know you're still going
15 to be involved.

16 I also want to thank everybody who's here,
17 and all the time that you've all spent participating.
18 The work that you do, and the advice that you give us
19 as federal agencies is critically important.

20 A few comments from me, and I may spend a
21 few extra moments because this is my last NACMCF
22 meeting. I'm retiring at the end of this month, from
23 the FDA. And I've been serving on this committee for
24 the last eight years, so this is a moment for me to
25 reflect on a few things.

1 So, first of all, the work that you do is
2 incredibly important. Yeah, we know we have two
3 charges here, *Cyclospora*, the *Cyclospora* and the
4 *Cronobacter* charges. I've seen firsthand -- and I am
5 a scientist by training. I've seen firsthand how
6 important the science is that you all do to inform us
7 on the next steps.

8 And these are pathogens where there are big
9 data and scientific gaps, and we will critically
10 benefit from the work that you are all putting in, to
11 help advise us as federal agencies. I know it's a lot
12 of work. Before I came to FDA, I was an academician,
13 and I served on federal advisory committees, and so I
14 know what work that you're doing, and I really am very
15 grateful. And on behalf of FDA, I express my most
16 sincere appreciation for all the work that you're
17 doing.

18 I want to thank all the exec sec folks here
19 at NACMCF, who have supported us. Kristal's stepping
20 into this important role, and she's replacing John
21 Jarosh, who I don't think is here, but has done an
22 enormous amount of work on behalf of NACMCF. And we
23 are very grateful for the hard work that goes on
24 behind the scenes, for all the accomplishments that
25 come up with NACMCF.

1 The public comment period, we're very much
2 looking forward to. As a federal agency, we really
3 benefit from all of your input, all the public
4 comments, and the resulting reports that will come
5 out, and give us the information that we need, moving
6 forward.

7 Special thanks to the chairs, who have spent
8 an extra amount on this, specifically Dr. Max
9 Teplitski and Peggy Cook, for their continued work on
10 the *Cyclospora cayetanensis* charge, and as well, the
11 *Cronobacter* chairs, Dr. Kathleen Glass and Elizabetta
12 Lambertini, and so transitioning away from the very
13 important work they've been doing on salmonella in
14 poultry.

15 So *Cronobacter*, I'll just comment on, this
16 has been such a unique food safety experience that we
17 have had, with a pathogen that we really don't know a
18 whole lot about. It's common in the environment.
19 There are enormous data gaps, and we're very much
20 looking forward to learning from you. We are a
21 science-based regulatory agency and we need that
22 science in order to continue to do our work.

23 So it's very important for us, and as we
24 work with our federal partners, including the CDC on
25 issues involving *Cronobacter*, so thank you for all of

1 that work. It's critically important as we tackle
2 that new area of work.

3 A few final comments. While I won't be with
4 FDA much longer, I can tell you I'm going to be very
5 much looking forward to the output of this committee,
6 and I'll be looking for that. And so, all the work
7 that you continue to do is incredibly, incredibly
8 important to us.

9 So with that, I'm going to turn it back to
10 Dr. Kristal Southern, who will continue with today's
11 important agenda, and I thank the USDA for hosting us
12 here today.

13 (Applause.)

14 DR. SOUTHERN: Thank you, Dr. Esteban and
15 Dr. Mayne. Dr. Esteban, both of us have taken on new
16 roles in new months, and having worked closely with
17 you in my previous role, it is an honor to continue
18 that partnership, and I look forward to working with
19 you on the leadership of NACMCF.

20 Dr. Mayne, though our time together has been
21 limited, I know you're leaving the committee in great
22 hands, and I wish you the very best in your
23 retirement.

24 So, I know that we have a little time crunch
25 here, but we do have a special presentation. And so

1 we're going to divert briefly from the agenda, and I
2 will bring Dr. Esteban to the podium to do that.

3 CHAIR ESTEBAN: Thank you. So, I know that
4 we're running short on time so I want to keep it
5 brief. But sometimes people skip over on things, you
6 know, recognizing what -- coming in. And so Kristal
7 said that she's been proud of working with me for a
8 long time. Oh, poor thing.

9 (Laughter.)

10 She doesn't know what she's walking into.
11 But anyway, I just want to -- I want to just recognize
12 Susan Mayne. You --. I've known Susan for many
13 years, and I've always admired her for her belief in
14 science, her communications ability, and the work she
15 does every single day.

16 And so, Susan, we're really going to miss
17 you here, your leadership, your management. And we're
18 going to give you a little recognition for your
19 distinguished service.

20 (Applause.)

21 CHAIR ESTEBAN: She says it's beautiful.

22 DR. MAYNE: Sorry, it's wrapped, so I don't
23 want unwrap it, but it's a placard. And I want to
24 thank Emilio. Yes we have worked together for many
25 years. I'm thinking back, of --. Back in 2015 is when

1 I first started working with Emilio. So, we've had
2 many years together.

3 I'm very, very grateful for all of his
4 leadership, and everybody here who's advancing food
5 safety, the work you're planning on the committee, as
6 well as your professional work, to advance food
7 safety. So thank you all, and I'm very grateful for
8 this. Thank you.

9 CHAIR ESTEBAN: And uno mas, one more,
10 please. For the last many years, Sandra Eskin --,
11 leading this committee, and she's done a fantastic
12 job. As you know, we're passionate about salmonella
13 at FSIS, and the big push behind that has been Sandra.

14 We're not there yet. And we are -- the
15 first chapter of that, and continue to push it. And
16 it is frankly because I think, a lot of it, you've
17 been giving us and hopefully you'll continue to give
18 us --. So Sandra, please.

19 (Applause.)

20 So Sandra, thank you very much for all you
21 do. She got to put up with me for another couple of
22 years at least, so --.

23 (Applause.)

24 MS. ESKIN: Thank you. I appreciate it.
25 Thank you all. It's a pleasure working with you. --

1 so quick, because I can't bring it home to my family.

2 DR. SOUTHERN: And so we thank you, Dr.
3 Payne and Ms. Eskin.

4 We have one more special presentation, so
5 Dr. Emilio Esteban, come on back up. We have one
6 more.

7 CHAIR ESTEBAN: This one actually is, it's
8 also a very special family. The designated federal
9 official for this committee, leaving, is John Jarosh.
10 And I met John Jarosh when we were working at the
11 Midwest Lab in St. Louis. Then I dragged him over to
12 the West Coast, to California, to work at the Western
13 Lab. Then I dragged him to D.C. to work here, at
14 headquarters.

15 And I think John --, a bench microbiologist,
16 to work, and he went to the agency. And so I'm very,
17 very proud. He's not here today, but Evelyne is here,
18 as --.

19 Would you mind receiving it for him?

20 DR. MBANDI: My pleasure.

21 CHAIR ESTEBAN: Come up and join us and --.

22 (Applause.)

23 CHAIR ESTEBAN: John, if your online, turn
24 your camera on.

25 DR. MBANDI: So, we want to say thank you so

1 much, John. The last couple of years have been very
2 challenging but, you know, you pulled us through.
3 John had, I'd say, three jobs, you know.

4 DR. SOUTHERN: Yeah.

5 DR. MBANDI: Yeah. So, thank you so much,
6 John. We sincerely appreciate you. And thank you
7 all.

8 DR. SOUTHERN: Okay, thank you. Thank you,
9 everyone. I echo the remarks provided and extend a
10 special thank you to you John, online. I have greatly
11 appreciated your guidance during this transition and
12 this, my transition into this role, and I know I have
13 big shoes to fill. So thank you all.

14 And so before we move to our subcommittee
15 updates, I want to remind the audience that the NACMCF
16 report, addressing the charge, "Enhancing Salmonella
17 Control in Poultry Products," has been posted to the
18 FSIS website.

19 This report was adopted by the committee on
20 November 15, 2022, and finalized in response to public
21 comment in May -- excuse me, March 2023. We are now
22 in the process of preparing the report for publication
23 in the *Journal of Food Protection* later this year.

24 I will now begin with the updates, starting
25 with *Cyclospora cayetanensis* in Produce Subcommittee.

1 The subcommittee is led by our members, Dr. Max
2 Teplitski and Dr. Peggy Cook. Dr. Teplitski will read
3 in the updates for the subcommittee.

4 DR. TEPLITSKI: Well thank Kristal very
5 much, and thank you for all NACMCF subcommittee
6 members, and that's a tremendous day.

7 For the *Cyclospora*, I'm going to provide a
8 quick overview, and just to clarify, we're going to
9 use shorthand, *Cyclospora*, when we intend to mean
10 *Cyclospora cayetanensis* --.

11 We received 18 questions from FDA. We
12 formed three working groups that joint meetings. And
13 we had quarterly meetings, as a subcommittee. In
14 addition to the initial subject matter expert
15 presentations, experts from academia, USDA, ARS, CDC
16 and testing labs following the short -- work of the
17 subcommittee.

18 We presented peer-reviewed publications. We
19 went through all the reports in the cruise database.
20 We went through media presentations, so we searched
21 everything that there is to search.

22 A quick summary. What we've learned is that
23 the data on and isolation from the environment,
24 although *Cyclospora cayetanensis* has been -- with
25 great caution, resulting in what someone said the,

1 with the --, some uncertainty with the products that
2 we use for the detection of *Cyclospora cayetanensis* in
3 the environmental samples.

4 -- rates will bend the assertion that
5 *Cyclospora cayetanensis* is a reasonable and -- in
6 domestic or production environment should be dealt
7 with lots of caution.

8 We also note that *Cyclospora cayetanensis* is
9 a parasite that's found in humans with a history of
10 travel to regions where it is endemic. There is no
11 robust data to support the conclusion that *Cyclospora*
12 *cayetanensis* established itself as an endemic parasite
13 in the domestic --.

14 So with that, we'll transition to the
15 presentation by -- Southern. Thank you.

16 DR. SOUTHERN: And just a reminder for our
17 our presenters, because we do have something to --,
18 that yes, we want to speed up our presentations
19 because we lost a little time, but we want to be
20 mindful that there is a person trying to interpret our
21 notes for our attendees. So, thank you.

22 DR. COOK: Hi. I will be reporting for Team
23 1, assigned to work with me.

24 DR. SOUTHERN: Can you eat the mic?

25 DR. COOK: Yes.

1 DR. SOUTHERN: The IT people told me the
2 phrase.

3 DR. COOK: Okay.

4 DR. SOUTHERN: Eat the mic.

5 DR. COOK: Okay. So question 1, "What is
6 known about the prevalence, incidence and burden of
7 disease of *Cyclospora cayetanensis* in the U.S. and
8 internationally?"

9 And quick question, Kristal, you put in the
10 slides, correct?

11 (Off mic conversation.)

12 DR. COOK: Okay. Part A is, are there
13 specific segments of the population that have a higher
14 risk for infection? What is the geographic
15 distribution in the cases in the U.S.? What factors,
16 as an example of food safety practices, location of
17 the farms, may contribute to the contamination of
18 *Cyclospora cayetanensis*, and are certain factors, in
19 other words, a type of food, the seasonality, where
20 the food is produced, degree of hand contact during
21 growing and harvesting, more significant than others?

22 Cyclosporiasis occurs in persons of all
23 ages, in either immunocompetent or immunocompromised
24 hosts. Children are most likely to show
25 cyclosporiasis than adults, and people with

1 immunocompromised conditions have highly significant
2 higher rate of an infection.

3 The prevalence of *Cyclospora* infections is
4 highly variable. The reasons for the variability are
5 poorly understood, but include such factors as the
6 area of the world, sanitary conditions, season, and
7 personal attributes, such as age, duration of stay in
8 an area, the social economics, status, livelihood and
9 prior *Cyclospora* infections, and immunocompetence.

10 In the U.S., several isolated cases of
11 cyclosporiasis, possibly associated with the exposure
12 to drinking or recreational water, or with sewage, and
13 consumption of contaminated produce has been reported.
14 Domestically-acquired cases were concentrated in time,
15 primarily in the spring and summer, and in the Eastern
16 and Southeastern states.

17 Transmission of oocysts by contamination in
18 soil is not still well understood. Early studies
19 indicate identifying risks, factors and specific modes
20 of transmission are necessary to understand the risk
21 of the infection due to soil.

22 Surface water contamination of oocysts have
23 the potential to transport and disperse the oocysts
24 over long distances, and reintroduce them into crops
25 with the use of irrigation or other product content

1 applications.

2 Question 2, "How does the seasonality, the
3 incidence and prevalence of cyclosporiasis compare
4 throughout the U.S., internationally, and what factors
5 may contribute to it? Extrinsic factors, as well as
6 influencing sporulation and survival, as well as
7 environmental factors, influencing movement, such as
8 rainfall."

9 Seasonal increases in the reported cases
10 during the rainy season, spring and summer months are
11 reported. The reasons for the apparent absence of
12 symptomatic human infections for prolonged periods,
13 where the parasite is present in the environment, and
14 which biological conditions are needed for the
15 survival of the parasite during the prolonged periods
16 is unknown.

17 Factors such as rainfall, temperature,
18 humidity and perhaps photo period could affect the
19 seasonality, which clearly cannot be related to
20 rainfall alone, as there is a marked seasonal
21 variation in very dry environments.

22 Second part of B, on extrinsic factors, is
23 oocysts are excreted and unsporulated in the feces.
24 Infectious dose does not occur in the host. It's the
25 sporulation of the oocyst which is thought to take

1 about one week in the environment. Under laboratory
2 conditions, at 22 and 30C, *Cyclospora* oocysts stored
3 in deionized water or potassium bicarbonate can
4 sporulate in 7 to 14 days.

5 Sporulation was observed when oocysts were
6 exposed to 37 in four days and 50C at one hour.
7 Storage at 4C and 37C for 14 days retarded
8 sporulation. Using information from the transmission
9 vehicles implicated in outbreaks, an ambient, moist
10 environment is most likely to encourage survival in a
11 dry land.

12 Question 10, "What is known about *Cyclospora*
13 *cayetanensis* persistence, survival in food such as
14 produce and the environment," and references soil,
15 water and food contact surfaces.

16 Due to the lack of animal or in vitro
17 infectivity models, oocyst sporulation is often used
18 as an indicator of viability, or other surrogate
19 organisms were used in experimental studies related to
20 the control of *Cyclospora*.

21 Methods that rely on temperature and time of
22 storage have been evaluated for inactivating the
23 parasite. Chemicals have been tested for the ability
24 to interfere with the sporulation of *Cyclospora*.
25 Surrogate parasites, such as *Eimeria* and *Toxoplasma*

1 were used in an evaluation of treatments, such as
2 gamma radiation, freezing, heating and high
3 hydrostatic pressure.

4 Question 14, "What is known about the
5 relevant vectors such as nonhuman organisms in the
6 transmission of *Cyclospora*?"

7 Of the 22 currently identified species of
8 *Cyclospora*, only *Cyclospora cayetanensis* is known to
9 infect humans. Humans are the only known naturally-
10 occurring host of *Cyclospora*. The oocysts have been
11 found in the feces of various animals.

12 Passive transport and spreading of
13 *Cyclospora* oocysts sheds in human feces may be
14 possible during the coprophilic practices of certain
15 animals. Note, animals have been implicated as a
16 cause of cyclosporiasis outbreaks, and no natural
17 animal reservoirs have been identified.

18 The second part of question 14, "What is
19 known about the role of the vectors, such as nonhuman
20 organisms, if any, in the transmission of *Cyclospora*?"

21 Attempts have been made to infect a variety
22 of animals by experimentally exposing them to the
23 sporulated *Cyclospora* oocysts. This exposed chickens,
24 ducks, mice, rats, sand rats, gerbils, hamster,
25 rabbits, ferrets, pigs, dogs, a variety of monkeys and

1 baboons. No evidence of *Cyclospora* infections was
2 observed in any of the animals.

3 Later studies with Swiss albino mice were
4 able to show infections in the intestines of the
5 animal. Despite this, the involvement of animals
6 should not be discounted for the epidemiology of
7 cyclosporiasis associated with fresh produce.

8 Question 15, "What role do the farm workers
9 play in the transfer of *Cyclospora* contamination
10 during the pre-harvest, harvest and post-harvest
11 handling? Are there particular approaches that result
12 in the selective identification of serotypes of public
13 health concerns? How might the farm workers serve as
14 both sources and routes of contamination, such as
15 through contamination of agricultural water, or
16 transfer of contaminated soil to food surface contacts
17 or produce?

18 "What strategies have been utilized to
19 mitigate the cross-contamination of farm workers?
20 Have efforts to mitigate contamination from farm
21 workers been successful?"

22 Fresh produce growers, harvesters,
23 processors and shippers need to be aware of the
24 potential mechanism of fresh produce to be
25 contaminated with *Cyclospora* en masse, but use best

1 practices to manage the potential risk.

2 Farm workers can be carriers of *Cyclospora*,
3 and may or may not be symptomatic and aware of their
4 illness. Food safety programs at growing operations
5 should include training or general hygiene, sick
6 worker policy, personal protective equipment,
7 management of the sanitary facilities, assessment of
8 agricultural water for the potential human waste
9 contamination, and appropriate handling of tools and
10 equipment.

11 The second part of the 15th question, "What
12 strategies have been utilized to mitigate
13 contamination from farm workers?" This primary
14 strategy to mitigate contamination of fresh produce
15 with *Cyclospora* has been to focus on the prevention
16 via farm worker training, including the topics of
17 personal hygiene, clean clothing and other protective
18 gear, such as gloves and boots, equipment management
19 and appropriate sanitary maintenance of toilet
20 facilities.

21 Testing is rarely used for reviewing
22 equipment, services or irrigation water due to the
23 expected low levels. Some operations may also use
24 routine health evaluations and clinical testing.

25 Question 16, "Are there practices for the

1 maintenance and conveyance of wastewater, septage or
2 human waste that may increase the incidence of
3 *Cyclospora* contamination? Are there practices that
4 may be useful in the management of waste to reduce the
5 potential for contamination of *Cyclospora*, third-party
6 toilet service or municipal wastewater treatment.

7 "Which wastewater treatment and septage and
8 human waste treatments in the U.S. are effective, and
9 what treatments may not be effective against
10 *Cyclospora*? Does municipal water treatment adequately
11 reduce, control or eliminate *Cyclospora*? And can
12 effective municipal water treatment systems be scaled
13 to treat agricultural water used in a production area,
14 or the produce production?

15 "How do the practices compare for domestic
16 and international growers?"

17 *Cyclospora* is considered a wastewater-
18 associated pathogen. There's minimum evidence that
19 the current wastewater treatment practices are
20 sufficiently effective to reverse the potential health
21 risks. Factors affecting removal include the type and
22 level of treatment, use of multiple stage with long
23 retention times, and exposure to different
24 environmental factors, such as pH or sunlight.

25 Further work is needed to understand

1 specific wastewater treatment practices that will
2 demonstrate sufficient effectiveness to benefit public
3 health in the context of contributing populations.

4 Does municipal water treatment adequately
5 reduce, control or eliminate *Cyclospora*? Existing
6 regulations for water treatment are insufficient to
7 protect the public from *Cyclospora*, because there are
8 no regulations or management in drinking water or
9 wastewater.

10 Can the effective municipal water treatment
11 system be scaled to treat agricultural water in
12 produce production? Our understanding of the
13 effectiveness of municipal water treatment systems and
14 their applicability to treat agricultural water is
15 limited by the methodology applied to gather data.

16 Note that this remains important
17 considerations for the specificity and sensitivity of
18 the detection methods applied to management --
19 measurements.

20 And next, we'll have Dr. Francisco Diez
21 present for Team 2. Thank you.

22 DR. DIEZ-GONZALEZ: Thank you, Peggy.

23 Good morning, everyone. It's a pleasure to
24 be here. So I'm going to be reporting to you the
25 finding from Team 2. I had the pleasure of chairing

1 you. Many thanks, Audrey McMillan-Cole and Joelle
2 Mosso.

3 So, the questions that we had, the six
4 questions, question 3, asks, "What sampling data
5 exists for *Cyclospora cayetanensis* in food products
6 and environmental samples, domestically and
7 internationally?"

8 The two parts of this question, to include
9 what trends have been observed, what methods of
10 detections were used? Yeah. The first answer was,
11 that while we were able to find 18 fresh produce
12 surveys in the nine endemic and three nonendemic
13 countries, from 1997 to 2017, mostly on lettuce and
14 leafy greens.

15 And the values were, ranged from 1.6 to 25.7
16 in the endemic, and the nonendemic were from 1.3
17 percent to 12.2 percent.

18 For the question, part A, the trends were,
19 so far, we couldn't find any because those studies are
20 very limited, so there was not sufficient data to
21 indicate it was a trend. The methods that were in use
22 were predominantly culture methods, and went from
23 using microscopy, the earlier studies and more
24 recently, PCR and also utilizing -- PCR and also --.

25 Question 4, this reads, "What types of foods

1 are being attributed to outbreaks of cyclosporiasis
2 domestically and internationally, and what if any
3 contributing factors, sources or routes of
4 contamination that have been identified?"

5 This question, we were able to find a volume
6 of evidence on the fact that in addition to water on
7 the foods, it's predominantly fresh fruits and
8 vegetables that cause the cyclosporiasis infection,
9 the *Cyclospora* infections.

10 Many semi-outbreaks were being reported, 54
11 percent of it being through fresh produce, and the top
12 commodities or products have been linked to
13 raspberries, basil, cilantro and salad mixes, but
14 there's various other products that also be --.

15 Regarding the factors, clearly seasonality
16 has a big effect, and the fact that the many
17 domestically grown products are coming, are causing
18 international outbreaks, it is suspected mostly from
19 human feces. But no other factors have been reported,
20 so clearly, there is need for more research into this
21 topic.

22 Question 5, "Is monitoring for *Cyclospora*
23 *cayetanensis* by testing food products, agricultural
24 environment and agricultural inputs being applied as a
25 management strategy currently?"

1 So far, in all our conversations with
2 different groups and stakeholders, it doesn't seem
3 that there's a routine testing program going on, in
4 the U.S., at least.

5 The other parts of this question is whether
6 our best practice for monitoring for presence of
7 *Cyclospora cayetanensis* in agricultural production,
8 including timing, sample collection, there is
9 relatively limited information available. The -- the
10 *cayetanensis* is listed as a pathogen of concern, but
11 there is no details about how we're going to be
12 addressing this.

13 The second part of the question, has
14 monitoring led to development and implementation of
15 effective preventive measures? If so, how effective
16 have they been? So far, I guess we are relatively in
17 the early stages. The CDC and the FDA have formed a
18 task force on *Cyclospora* management, response and
19 research action --.

20 And they've been recommending working with
21 industry, academia and -- to develop test kits in
22 order to be able to implement monitoring programs.
23 And the FDA completed a four-year microbiological
24 surveillance of fresh herbs and results. And they are
25 still getting the news.

1 Question 8, this is about the, how we can
2 assess the viability of oocysts. And there are three
3 methods that the viability can be assessed. One is by
4 culturing, is basically identifying live oocysts.
5 Oops. I am here.

6 Question 8, let's see. Okay, good. So the
7 oocyst can be placed in a nutrient-rich medium and
8 then what we are able to detect by microscopy are the
9 formation of sporozoites. Those are the -- when we
10 will be able to tell whether the oocysts are viable or
11 not. The problem with culturing methods are labor
12 intensive, and requires the expertise and specialized
13 equipment.

14 So, that's -- and the second methodology to
15 use for assessing viability, that has been proven to
16 be very successful, is using PCR or qPCR, because with
17 this approach, we're targeting genes that are only
18 expressed in lipolysis, such as -- genes.

19 So we can, we're able to determine whether
20 oocysts are present in a sample, that it could be
21 viable. The only tradeoff with this approach is that
22 it requires very specialized equipment and expertise.

23 The third approach is flow cytometry), which
24 seems very promising because it could be conducted
25 with, using fluorescent light, and it requires less, a

1 lot less expertise. So it relies on the fact that
2 oocysts, or live oocysts will be showing higher
3 fluorescence intensity compared to dead oocysts.

4 Question number 9, "What current measures
5 exist for the control of *Cyclospora cayetanensis*, for
6 example using filtration?" How effective -- let's
7 start -- I'm concentrating on moving up my screen.
8 That's a problem when you're looking at the -- sorry.
9 Well, you're all going to have to download the slides.
10 But anyway, thank you for correcting me.

11 So, how effective have they been? "What are
12 the impediments to development of effective preventive
13 measures for *Cyclospora cayetanensis*, and how can they
14 be overcome?"

15 So the approaches on those preventive
16 measures, you could divide it into physical removal,
17 physical inactivation and chemical inactivation. The
18 physical removal, there are different research that
19 use filtration, one study using sand, another one with
20 zero --.

21 Washing, there's one study of looking at the
22 effectiveness of washing. It seems that *Cyclospora*
23 was hard to remove. Physical inactivation, that's an
24 approach that's been tested extensively, but then we,
25 in the case of UV irradiation, an area has to be in

1 use.

2 Heating, it's being tried with effective
3 inactivation of there, one hour at 60 degrees Celsius,
4 or 70 degrees for 50 minutes.

5 Freezing, it's been tried, and the data
6 indicates that the *Cyclospora* survival after 48 hours
7 at minus 20 degrees Celsius. High-pressure processing
8 has been tested in, and using *Eimeria* surrogate.

9 Chemical inactivation, for the most part,
10 was studied, trying chlorine. It's -- for the most
11 part, most of the concentration has not been effective
12 to inactivate the *Cyclospora*, and chlorine without
13 dioxide was not effective at 4.1 milligrams per liter.
14 Ozone has yet to be tested on *Cyclospora*.

15 Now what are the impediments involved in
16 effective preventive measures for *Cyclospora*
17 *cayetanensis* and how can they be overcome? First, the
18 lack of methods. That's the main problem with the
19 *Cyclospora*. We don't have a source of available
20 oocysts, consistently.

21 We have a minimal study with surrogates, and
22 there is an inability to culture oocysts. So
23 methodology has been a big issue. So how can this be
24 overcome as long as the -- plenty of research needs to
25 be conducted in this area.

1 So, question 12, "What other coccidian
2 parasites could serve as surrogate research models for
3 *Cyclospora cayetanensis* behavior, for example, for
4 evaluation of control measures?"

5 So, the responses we have for this question
6 is, first, *Eimeria* is a very closely related coccidia
7 to *Cyclospora*. They share very similar life cycle and
8 -- characteristics, but *Eimeria* has been in limited
9 use on using as a surrogate for inactivation on foods.

10 It's probably considered the best surrogate
11 because of its taxonomy, and the other main reason
12 why, is the availability of -- animal model. And
13 *Eimeria* has an extensive number of tools that have
14 been available for study.

15 The other proposed surrogate in the
16 literature is *Toxoplasma gondii*, which is also a
17 coccidia, but is farther related to *Cyclospora*.
18 *Toxoplasma gondii* presents a number of benefits, some
19 of them that we know a lot about this parasite. There
20 are plenty of animals models, well defined, and it has
21 even the, both -- because it has greater oocyst
22 survival, good for a good safety factor for
23 *Cyclospora*.

24 However, there is a main concern about the
25 safety for lab workers in the case of *Eimeria*. You

1 don't have that limitation because it's very specific
2 for animal, other animals, like chickens, and also,
3 the current public concern for the use of cats in
4 research. And also, they are fairly far, in terms of
5 their lifecycle and the taxonomy.

6 We don't have, unfortunately, validation of
7 those proposed surrogates yet. So again, one of those
8 areas that we -- there is great need for additional
9 research. And with that, I conclude my remarks, and
10 I'm very pleased to now introduce Angela Celsa.

11 DR. MELTON-CELSA: Good morning. I'm Angela
12 Melton-Celsa. I'm going to report for Subgroup 3.
13 The questions we address are listed there, and I had
14 the pleasure of working with Joe Eifert and Shannara
15 Lynn in running out these questions.

16 So the first question was what are the
17 available approaches for characterizing relatedness of
18 different strains of *Cyclospora*. So, the genotyping
19 methods using the targeted -- sequencing seem to be
20 useful for -- investigations, and this has been
21 applied to more than 600 samples submitted to the CDC.

22 But some outbreak cluster analysis suggests
23 that we do need additional markers. It's not --
24 spore, a single genotype -- analysis as well for
25 genome sequencing. They both look at sequence typing.

1 So for the next question, 7, the question
2 about the available test methods for detecting and
3 isolating *Cyclospora* from different matrices, and
4 validation, and the matrices that have been validated.

5 So of course, by classification you can use
6 for clinical samples. For this you do need intact
7 oocysts, and it is impractical for food. You also
8 need special people that have special -- meaning
9 they're very knowledgeable on that kind of thing.

10 So other methods have been published for the
11 BAM -- and filter filtration from water has already
12 been mentioned. There's also a Chapter 19b that uses
13 real-time PCR on 18S rRNA. It's been validated in
14 several labs, and that matrices listed here, berries,
15 herbs, lettuce, lime, guacamole.

16 On PCR plus for the 18S rRNA plus an eternal
17 transcribed spacer has also been suggested. And the
18 -- he already mentioned, whether it be sequencing, it
19 means having real-time samples from a NACMCF source.

20 So question 11 is, "What is known about the
21 transfer and attachment of *Cyclospora* from
22 environmental samples such as water and soil to
23 produce?"

24 So we do know that *Cyclospora* is transmitted
25 by the fecal-oral route. Environmental water is

1 likely contaminated with feces. This was so
2 demonstrated from this -- outbreak. I already had
3 mentioned that there's inadequate hygiene facilities
4 for workers that may contribute, as well as unclean
5 food contact surfaces.

6 One thing we need to know a little bit more
7 about is berries. So, we attach an -- parasite to the
8 berry surface, may be enhanced due to those uneven
9 surfaces, and some additional knowledge about
10 adhesions that *Cyclospora* use for that kind of
11 attachment would be helpful.

12 So for question 13, "Are there indicator
13 organisms that can be used to determine the presence
14 or absence of *Cyclospora* in various matrices?"

15 So, sorry this slide is a little bit
16 crowded, of course. The presence of *Cyclospora*
17 suggests there's fecal contamination. So, we had --
18 that's in question, are there some bacteria that we
19 could use? Because parasites are difficult to
20 identify, that might be used as indicator organisms.
21 Another gap that might be useful is to try to find
22 chemical indicators for *Cyclospora* presence.

23 For question 17, it's a question about what
24 points in the parasite's lifecycle are potential
25 targets or strategies to disrupt and control the

1 organism, and which of those control measures should
2 we evaluate. And finally, what is the recommended
3 protocol for evaluating the effectiveness of those
4 control measures?

5 So, for the first part of the question, we
6 think we need to target both the sporulated and
7 unsporulated forms. In terms of environmental
8 controls, we've already mentioned proper facilities
9 for field workers and clean water. Another one would
10 be to promptly transport produce from the field.

11 Again, I already also mentioned, due to the
12 overlap of some of these questions, that typical
13 chemicals are ineffective, and temperature is
14 unreliable. And again, the question that was -- water
15 is still up in the air. However, Altinox (ph.) and
16 acid pepcid do remove *Cyclospora* from produce and has
17 been used to detect *Cyclospora*.

18 So the recommended protocol for evaluating
19 this, obviously on that would be to do spiking studies
20 and then apply the various methods for the *Cyclospora*.

21 So for question 18, this is about relevant
22 factors, the data and data gaps. We need to develop
23 an informative, quantitative risk assessment model for
24 *Cyclospora* contamination and risk of illness. So, we
25 came up with a number of categories that need to be

1 addressed, such as sources and routes of
2 contamination, prevalence and persistence of
3 *Cyclospora*, and methods to -- risk and control
4 strategies.

5 And in the interest of time, there are quite
6 a few slides on this, I'm going to jump forward since
7 we've already talked about numbers 1, 2 and 3. So I'm
8 going to jump to 4, about the public health risk. So,
9 for this one, it would be helpful to have an
10 estimation of the effective dose. And when you do
11 detect doses, are they infective?

12 And in areas where *Cyclospora* is endemic,
13 asymptomatic infections may be more frequent, and the
14 susceptible population are typically the young and the
15 old. Where they're not endemic, most people are
16 probably susceptible. And the infections though, may
17 be asymptomatic.

18 So finally, for our control strategies,
19 we've talked about some things that may or may not
20 work, such as washing and temperatures. It is found
21 that antimicrobials are not effective, but we may need
22 more research on this, and additional control measures
23 to eliminate *Cyclospora* may be needed.

24 And finally, we want to encourage anti-
25 *Cyclospora* environmental and/or product sample data to

1 identify trends associated with produce as well as
2 just for our research. Thank you.

3 (Applause.)

4 DR. SOUTHERN: Thank you to the *Cyclospora*
5 Subcommittee for those updates. As a note to
6 everyone, the *Cyclospora* Subcommittee is on track to
7 complete their work and hold a plenary meeting to
8 discuss and vote to adopt the report in August of
9 2023.

10 As we move closer to August, we will begin
11 the process of scheduling a date for the plenary
12 meeting, and that will be announced in a *Federal*
13 *Register* notice.

14 So, before we move to public comment, I want
15 to address the Executive Committee, or members of the
16 committee, if there are any questions on the
17 *Cyclospora* charge.

18 So, can you use the mic, please?

19 DR. BAILEY: Is this one that --

20 DR. SOUTHERN: I'm not sure if that one
21 works, so that's important.

22 DR. BAILEY: So, the question I have is, you
23 talked a lot about washing off the oocysts from the
24 product. Is there any research about how attachment
25 factors, or attachment -- how promptly it's attached?

1 How do we deal with that --? I'm just curious.

2 DR. SOUTHERN: Susie will get you a mic.

3 MS. HAMMONS: Okay. I'll swap him.

4 DR. MELTON-CELSA: Angela Melton-Celsa. We
5 did not find anything really in the literature about
6 how they attach, so we believe there's a gap.

7 DR. SOUTHERN: Okay, thank you. Thank you,
8 Dr. Bailey as well as Dr. Melton-Celsa.

9 Are there any other questions in the room
10 for the *Cyclospora* Subcommittee?

11 (No response.)

12 DR. SOUTHERN: Okay, seeing none, Silas,
13 we'll move to the Executive Committee or committee
14 members, as well as subject matter experts that should
15 have speaker links, to see if there are any questions
16 before we move to public comment.

17 EVENT PRODUCER: Those on the speaker link
18 should be able to unmute themselves, or you can raise
19 your hand if -- for the Executive Committee, if you
20 would like to make a comment.

21 DR. SOUTHERN: Okay. And Silas, do we have
22 any hands?

23 EVENT PRODUCER: There are no hands up from
24 the Executive Committee at this moment.

25 DR. SOUTHERN: All right, awesome. Well

1 thank you very much. So we'll now move to public
2 comment. We did not receive any requests to comment
3 on the *Cyclospora* charge during preregistration, but
4 we will open it up to the room and our virtual
5 attendees.

6 As a reminder, this person making public
7 comments will be provided three minutes to make your
8 comment, and then we'll move on to the next person in
9 the queue. We will let you know when you have 30
10 seconds remaining, so that you can start wrapping up
11 your comments.

12 And to our virtual attendees, another
13 reminder that if you want to comment, please use the
14 "raise hand" feature, and the event producer will
15 acknowledge you. Please state your name and
16 affiliation before commenting.

17 So, I'll move to the room. Are there any
18 members of the public who wish to make comments or
19 questions about the *Cyclospora* charge?

20 (No response.)

21 Okay. We have no comments in the -- there
22 is no one requesting a comment in the room on this
23 charge. I'll now hand things over to the event
24 producer to receive public comments on the *Cyclospora*
25 charge from our online audience.

1 EVENT PRODUCER: As we move to the virtual
2 public comment, you can press the "raise hand" icon at
3 the bottom of your screen to enter the queue. If you
4 are called in to our phone-only line, you can press
5 pound 2 to enter the comment queue.

6 As a reminder, each attendee has three
7 minutes to make a comment. You will be given a 30-
8 second warning, and a stop warning before your line is
9 muted and we move to the next person in the queue.
10 Once again, that's the "raise hand" icon for the Webex
11 attendees, and pound 2 for our phone-only attendees.

12 We are not seeing any hands up in the queue
13 at this time.

14 DR. SOUTHERN: Okay. We will -- thank you,
15 everyone, for your presentations of the *Cyclospora*
16 Subcommittee. We, in the interest of time, will go
17 ahead and move forward with the updates for the
18 *Cronobacter* Species in Powdered Infant Formula
19 Subcommittee.

20 This subcommittee is led by our members, Dr.
21 Kathleen Glass and Dr. Elizabetta Lambertini. Dr.
22 Lambertini is here to provide the updates from the
23 subcommittee. Please welcome Dr. Lambertini.

24 (Applause.)

25 DR. LAMBERTINI: Good morning, everyone.

1 It's a pleasure to be here in person finally, for this
2 -- committee. So, I only have ten slides, so that I
3 pick up date on where we are and the plans for the
4 next steps. So, we should be able to end on time.
5 I'm going to talk about a little background, and then
6 our approach.

7 As a quick reminder of why we're here,
8 *Cronobacter* contamination in powdered infant formula
9 has been associated with infections in infants, in
10 particular from *Enterobacter sakazakii*, has been
11 mostly associated with illnesses.

12 And *Cronobacter* presents some specific
13 challenges, being isolated from a variety of foods and
14 environment, including powdered formula, and baby
15 formula, as well as in -- environments, and a dozen
16 other foods, so complex etiology here.

17 Also, *Cronobacter* can survive in all kinds,
18 in moist or humid environments. I know you're aware
19 of the recent event with infant illnesses and recalls,
20 including one happening as we speak, and resulting
21 shortages in formula.

22 So this focused a variety of -- questions.
23 Central here is, what control measures and type
24 corrective actions are for -- environments.

25 So, the NACMCF committee has been tasked

1 with advising the FDA strategy on the development, and
2 we know, yeah, they then said that we have the task,
3 but can we inform the strategy. So I think --
4 advancing my slide, now just --

5 I will briefly go through the charges,
6 charge questions. We know that for this phase of the
7 work, for this group, we are tasked with question 1 of
8 the 4. Question 1 looks like, "What is the current
9 prevalence and levels of *Cronobacter* species
10 contamination in powdered infant formula in the U.S.
11 market? What is known about *Cronobacter* species in
12 other foods and in the home environment and the
13 frequency with which these foods and environmental
14 sources contribute to human infections?"

15 So you see that there are many sub questions
16 here that we are tapping. We have September 2023 --
17 for this question

18 For the other questions, this is Phase 2,
19 that we will pass on to the next group, and we'll have
20 a later model sometimes in 2024, okay.

21 Question 2 asks, "What factors, such as
22 virulence factors, host factors, dose of exposure,
23 place an infant at greater risk for infection and
24 serious adverse health consequences?"

25 Question 3 looks like, "What food safety

1 management practices," so getting at control, "for
2 example, facility and equipment design, hygienic
3 zoning and packaging, preventive controls and
4 verification activities should manufacturers of
5 powdered infant formula employ to further reduce the
6 risk of contamination of formula and/or the production
7 environment?"

8 And lastly, question 4, "Given that powdered
9 infant formula is not sterile, how could food safety
10 messaging be improved for infant care providers, with
11 emphasis on use of sterile, ready-to-use formulas for
12 infants at greatest risk and safe infant formula
13 preparation and storage for infant formula in
14 general?"

15 So, as we work on question 1, we are also
16 keeping in mind what comes next, and how we can inform
17 the next questions. So, I worked on our approach. We
18 have already started --, so we are still at the
19 beginning and not quite sure what our findings does
20 get.

21 We had three working groups, and we had
22 subject matter experts, a couple of which we asked to
23 meet with us during these three days, and they already
24 made presentations, and we'll add more.

25 Our approach on this, span molecular

1 synthesis as a focus, but no -- is not a literature
2 review. And these steps will go to -- synthesis,
3 including defining the scope of specific searches, and
4 finding inclusion and exclusion criteria, all the
5 evidence, documenting the searching and vetting
6 process, and -- synthesis that consolidates the
7 evidence available.

8 We are assigning different searches to
9 different members, to keep things going. We only have
10 about two months, so we have all our specific searches
11 to give you an idea of the sections that we will
12 include in the report, or in the paper report.

13 Just to give you an idea, although they may
14 be stated differently in the final, but we're looking
15 at *Cronobacter* in infant formula in the U.S. but also
16 keeping an eye on the evidence that we see worldwide,
17 *Cronobacter* ingredients indicators that can predict
18 occurrence or levels of *Cronobacter* involved.

19 The product ingredients environments, and
20 then it can point of the etiology, looking at the
21 various burden associated with formula and with
22 *Cronobacter*, high-risk categories, infectious dose,
23 that may not be a risk assessment, and those infection
24 equations that needs a risk assessment.

25 And moving to classification and attribution

1 with different foods or harvest. And last but not
2 least, *Cronobacter* indicators in our food and in
3 environment, so we are skipping ahead, like in
4 production, other foods, environments, and then we tie
5 it all together.

6 So, in terms of sections, you will see three
7 working groups, one with introduction and etiology,
8 one focused on -- and in production environments, and
9 one with other foods and environments.

10 Now, just a word on our timeline, we are
11 exceedingly, in this table, it looks like we're
12 halfway. We're not quite halfway. But we have
13 started work. We have met, divided in working groups.
14 We have already collected several evidence,
15 literature, and defined the scope of our searches.
16 And we hope we can keep the scope focused and aligned.

17 Now, we have -- I'm old school, but I'm, in
18 this three days, in particular, finalizing the
19 references that we have, and doing the bulk of the
20 abstraction and tabulation. So, after that, we will
21 continue meeting regularly, about twice a week.

22 And the next steps will be towards the end
23 of July, possibly with an in-person meeting, doing --
24 for some of us. And at the point we should have a
25 fully fleshed out draft ready for committee review.

1 Now, about a month later, end of August, we
2 are sending the final draft to the committee. So as
3 you see, we have just a couple of months to really do
4 the bulk of the work, and then about a month for
5 polishing it. So that is all I have, and I welcome
6 any questions from the committee. Thank you.

7 So, and I want to thank everyone else on
8 behalf of Kathy, who is not here in person, but she
9 will join us for part of this meeting, when she does.

10 DR. SOUTHERN: Thank you, Dr. Lambertini.

11 As Dr. Lambertini mentioned in her update,
12 the *Cronobacter* Subcommittee is on track to complete
13 the first question of the *Cronobacter* charge by
14 September 2023, when the current committee term ends.
15 So this committee will provide a progress update at
16 the same plenary in August, where we will vote to
17 adopt the *Cyclospora* report.

18 The 2023 to 2025 committee, for which we
19 will appoint members later this year, will work to
20 address the remaining *Cronobacter* charge questions.
21 So before we move to public comment, I will ask if
22 there are any questions from the Executive Committee
23 or members of the committee on the *Cronobacter* charge
24 for our in-person guests.

25 And I've received notice that, at our --

1 when we use these mics, they couldn't quite hear us
2 online, so we'll use this mic to -- bring it to you,
3 except when there is public comment, we'll request
4 that the person come up to speak.

5 So, are there any questions from the
6 Executive -- excuse me, comments or questions from the
7 Executive Committee for the *Cronobacter* Subcommittee?

8 (No response.)

9 DR. SOUTHERN: All right. Are there any
10 questions from the members of the committee for the
11 *Cronobacter* Subcommittee?

12 (No response.)

13 DR. SOUTHERN: All right. And Silas, can we
14 check with our executive committee members and
15 committee members online to see if there are any
16 questions or comments to the *Cronobacter* Subcommittee?

17 EVENT PRODUCER: As a reminder to the
18 virtual Executive Committee, you should be able to
19 unmute yourself if you would like to make a comment,
20 or press the "raise hand" icon. All right, we're not
21 seeing any hands up at this time.

22 DR. SOUTHERN: Okay, thank you very much.
23 So let's move on to the public comment portion. So,
24 we did receive one request for preregistered comments.
25 We'll move quick, start with Dr. James Kincheloe,

1 who's present in the room.

2 Dr. Kincheloe, will you please come to the
3 podium to make your comment?

4 DR. KINCHELOE: Thanks, you all, for having
5 me speak today. As noted, I'm Dr. James Kincheloe
6 with the Center for Science in the Public Interest.
7 We're a consumer advocacy organization.

8 The 2022 Abbott's recall that prompted the
9 infant formula shortage and more recent 2023 Reckitt
10 recall were preceded by a history with food safety
11 problems at the production plants. These problems
12 included positive *Cronobacter* test results that were
13 not required to be shared with FDA.

14 I have three recommendations for the
15 committee, setting from these root situations. First,
16 the committee should prioritize examining and
17 recommending manufacturer practices that FDA can take
18 action on.

19 FDA already sent a letter to infant formula
20 manufacturers in March of this year that described the
21 areas of concern of how infant formula manufacturing
22 facilities are controlling water in dry production
23 areas. The committee should determine if there are
24 additional important areas and practices to emphasize
25 for these manufacturers, so that inspection actions

1 and agency policy decisions can be better.

2 Second, the committee has an opportunity to
3 confirm the need for Congress to grant FDA's
4 *Cronobacter* legislative authority request. This year,
5 FDA asked Congress for the authority to require the
6 reporting of final product test results from
7 manufacturers of infant food for relevant pathogens
8 like *Cronobacter*.

9 The agency also asked to be able to require
10 more frequent environmental monitoring in
11 manufacturing facilities, and for these test results
12 to be available for FDA. The committee should closely
13 examine the relevance of these practices for
14 protecting public health.

15 If found to be important, they should be
16 highlighted in the committee's reports to draw
17 attention to the issue in Congress.

18 Third, the committee should emphasize the
19 importance of requiring reporting of *Cronobacter*
20 cases. The committee is charged with determining how
21 food and environmental sources contribute to human
22 infections. This charge is complicated by the facts
23 that cases may not come to the attention of public
24 health authorities, as *Cronobacter* is not a notifiable
25 disease, and generally not a reportable disease.

1 Again, thank you for taking these
2 recommendations into consideration, and thank you for
3 your services.

4 DR. SOUTHERN: Thank you, Dr. Kincheloe.
5 So, before we move to our virtual attendees, I just
6 want to ask the room again if there any public
7 commenters that would like to comment on the
8 *Cronobacter* charge.

9 (No response.)

10 DR. SOUTHERN: Okay, seeing none, okay so
11 we'll move to our online audience. I'll now hand
12 things over to the event producer to seek public
13 comments from our online audience.

14 EVENT PRODUCER: As a reminder to attendees,
15 if you would like to make a public comment, you can
16 press the "raise hand" icon on Webex, or press pound 2
17 on our phone-only line. Once again, you will be given
18 three minutes to make your comment, and please state
19 your name and affiliation before you state your
20 comment.

21 We do have one caller in the queue. Caller,
22 your line is unmuted. Please go ahead.

23 MS. BAUM: My name Mitzi Baum. I'm the CEO
24 of STOP Foodborne Illness, the voice for safe food.
25 STOP works on behalf of everyone who eats, to ensure

1 that food products put into commerce are safe for your
2 families.

3 I'd like to thank the subcommittee for the
4 time on this issue. *Cronobacter sakazakii* has been a
5 known pathogen in the U.S. for almost 50 years. It
6 has been known equally as long to cause neonatal
7 meningitis, and can be lethal to infants less than two
8 months of age, and infants born prematurely.

9 *Cronobacter* is known to be present in
10 powdered infant formula manufacturing facilities. It
11 contaminates the product and then ultimately the
12 product is put into commerce to be fed to the most
13 vulnerable population, infants.

14 The first charge of the subcommittee, to
15 identify the prevalence and level of *Cronobacter*
16 contamination of powdered infant formula in the U.S.
17 market, is important. And the second, to identify
18 risk factors that place an infant at greater risk for
19 infection, serious health consequences or death, these
20 are critical.

21 The background of the subcommittee work
22 states there's a high prevalence rate of up to 15
23 percent contamination, which is very alarming. It
24 also states that from 21 C.F.R., FDA regulations
25 specify that manufacturers of infant formula must

1 establish a system of production and in-process
2 controls covering all stages of processing is designed
3 to ensure that infant formula does not become
4 adulterated due to the presence of *Cronobacter*.

5 The American consumer trusts manufacturers
6 to produce safe products for their infants. And what
7 was discovered in September of 2021 was truly
8 deplorable, by any standard.

9 As for the third charge, it's focused on the
10 manufacturer. STOP questions why the industry is
11 unable to determine how to reduce the risk of
12 contamination to their product, and the facilities
13 today, and why they aren't being held accountable to
14 solve the problems that occur in their plants every
15 day.

16 As to the fourth charge, it is abundantly
17 clear that consumers do not know the risks associated
18 with these powdered infant formula. First and
19 foremost, it's not widely understood that powdered
20 infant formula is not sterile, and it can inversely
21 impact a baby.

22 It's listed on the label, however it's so
23 overwhelming that it takes a minimum of six pictures
24 to provide all the information on an infant formula
25 label online. It's also not well known by parents

1 that *Cronobacter* will grow exponentially at 95 degrees
2 Fahrenheit.

3 STOP Foodborne Illness is focused on
4 developing an education campaign to increase the
5 awareness of *Cronobacter sakazakii* for consumers and
6 medical practitioners on how to reduce risk. STOP
7 Foodborne Illness urges the subcommittee to continue
8 working with urgency to protect the most vulnerable
9 population, our children. Thank you.

10 DR. SOUTHERN: Thank you. Do we have other
11 commenters online in the queue?

12 EVENT PRODUCER: There are no further
13 comments in the queue at this time.

14 DR. SOUTHERN: Okay. Surprisingly enough,
15 we actually made up all of our time. I was prepared
16 to say that we'll go until 12:30 and we'll -- instead
17 of starting at 1, we'll start at 1:30. But I do
18 appreciate everyone for being mindful of the time and
19 still getting through the entire agenda without any
20 shortcuts.

21 So, this -- we do have -- I'll just give one
22 last opportunity to those in the room, as well as
23 those online, if there are any other public comments.

24 (No response.)

25 DR. SOUTHERN: There are no comments in the

1 room. Do we have any last comments online?

2 EVENT PRODUCER: There are no comments
3 online.

4 DR. SOUTHERN: Okay, thank you. Thank you
5 very much. Thank you to all the commenters who are
6 participating in today's meeting.

7 So, this brings us to the end of our agenda.
8 But before we conclude, I'd like to make everyone
9 aware that the subcommittee meetings will begin this
10 afternoon at 1 p.m. Eastern. And at 4 p.m. Eastern on
11 Thursday, May 18th, the full committee will reconvene
12 for a brief public meeting, excuse me, to provide
13 progress updates on their work from their meetings
14 this week.

15 For everyone that preregistered, you should
16 have received an email with an update on the public
17 portions of the sessions this week. Notably, the
18 *Cyclospora* Subcommittee meetings and the Thursday
19 afternoon regular session will have a hybrid form that
20 meeting, meaning in person and virtual and open to the
21 public. The link you received when you registered
22 will take you to these meetings.

23 Because the *Cronobacter* Subcommittee is
24 completing preparatory work on how to address the
25 charge, and will be separated into small work groups

1 throughout the week, public participation will be
2 limited to in-person for these meetings. We invite
3 you to learn more about the *Cronobacter* charge in
4 further subcommittee updates during the Thursday wrap-
5 up session at 4 p.m. to 5 p.m. Eastern. Again, the
6 link you received when you preregistered will take you
7 to those meetings.

8 With that, I want to say thank you to the
9 Executive Committee for supporting NACMCF, and I want
10 to thank all of our NACMCF members for your updates
11 and your commitment, excuse me, to the work of the
12 committee. And thank you to our subject matter
13 experts for consulting with the committee, and helping
14 them to get the information they need when they need
15 to answer charge questions.

16 I also want to thank the members of the
17 public for contributing to and supporting NACMCF, and
18 a special thanks to the NACMCF Secretary, Dr. Evelyne
19 Mbandi, Mr. John Jarosh, Mr. Bryce Merrill (ph.), Dr.
20 Susie Hammons, and our new advisory committee
21 specialist, Ms. Chantel Williams (ph.). I greatly
22 appreciate all of your efforts invested in supporting
23 NACMCF.

24 So we have completed the first of today's
25 NACMCF Plenary Meeting. We now stand adjourned.

1 Thank you. I will see you back at 1 p.m.

2 (Whereupon, at 11:56 a.m., the meeting was
3 concluded.)

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

C E R T I F I C A T E

This is to certify that the attached proceedings
in the matter of:

NATIONAL ADVISORY COMMITTEE ON
MICROBIOLOGICAL CRITERIA FOR FOODS

PLENARY SESSION

Washington, D.C.

May 16, 2023

were held as herein appears, and that this is the
original transcription thereof for the files of the
United States Department of Agriculture, Food Safety
and Inspection Service.

TOM BOWMAN, Reporter

FREE STATE REPORTING, INC.