

NATIONAL ADVISORY COMMITTEE
ON MICROBIOLOGICAL CRITERIA FOR FOOD
(NACMCF)

PLENARY MEETING

Virtual Meeting

Monday, June 24, 2024

1:04 P.M. - 2:19 P.M.

PAGES: 1 - 80

JOB NO.: 44185

REPORTER: Jemima Brennan

- I N D E X -

	PAGE
Call to Order.....	3
Introductory Remarks.....	14
Update on FDA Charge:	
Cronobacter spp. in Powdered Infant	
Formula.....	19
Public Comment on FDA Charge.....	37
Update on FSIS Charge:	
Genomics.....	43
Public Comment on FSIS Charge.....	63
Closing Remarks.....	75
Meeting Adjourned.....	79

1 P R O C E E D I N G S

2 - ooOoo -

3 DR. KRISTAL SOUTHERN: Good

4 afternoon, everyone, and welcome to the Plenary
5 Meeting of the National Advisory Committee on
6 Microbiological Criteria for Foods, commonly
7 referred to as NACMCF.

8 My name is Dr. Kristal
9 Southern. I work at the USDA Food Safety and
10 Inspection Service where I serve as the
11 Designated Federal Officer for NACMCF and the
12 Director of the NACMCF Secretariat.

13 So, I will now proceed to
14 taking roll call. One moment. Sorry. I will
15 now proceed to taking roll call of the NACMCF
16 Executive Committee and the NACMCF Committee
17 members. When your name is called, please unmute
18 and announce yourself by stating here or present.

19 So, we'll start with the NACMCF
20 Executive Committee. Our USDA Undersecretary for
21 Food Safety and NACMCF Chair, Dr. Emilio Esteban.

22 DR. EMILIO ESTEBAN: Here.

1 DR. KRISTAL SOUTHERN: Thank
2 you. And the Food and Drug Administration's
3 Acting Director of the Centers for Food Safety
4 and Applied Nutrition and the NACMCF Vice Chair,
5 Dr. Donald Prater.

6 DR. DONALD PRATER: Present.

7 DR. KRISTAL SOUTHERN: Thank
8 you.

9 So, then we'll move onto the
10 NACMCF liaison from the Food Safety and
11 Inspection Service, Dr. Denise Eblen.

12 DR. DENISE EBLEN: I'm here.

13 DR. KRISTAL SOUTHERN: Thank
14 you.

15 And our liaison with the Food
16 and Drug Administration, Dr. Eric Olson. And
17 maybe he'll join us later.

18 And our liaison with the
19 Centers for Disease Control and Prevention,
20 Dr. Megin Nichols.

21 DR. MEGIN NICHOLS: Present.

22 DR. KRISTAL SOUTHERN: Thank

1 you, welcome.

2 And our liaison with the

3 Department of Commerce, Dr. Jon Bell.

4 **DR. JON BELL:** Present.

5 **DR. KRISTAL SOUTHERN:** Welcome.

6 And last for our Executive

7 Committee is the Department of Defense liaison,

8 Colonel Alisa Wilma.

9 **COLONEL ALISA WILMA:** Present.

10 **DR. KRISTAL SOUTHERN:** Welcome.

11 So, just a reminder, our

12 Executive Committee helps to ensure that NACMCF

13 is in compliance with the Federal Advisory

14 Committee Act regulations and provides guidance,

15 support, and assistance on processes required by

16 USDA and FSIS.

17 So, that you all for your

18 support and leadership.

19 We'll now move to roll call for

20 the NACMCF Committee members. And again, when

21 your name is called, please unmute and announce

22 your presence by stating here or present.

1 In alphabetical order, we'll

2 start with Dr. Bledar Bisha.

3 **DR. BLEDAR BISHA:** Here.

4 **DR. KRISTAL SOUTHERN:** Welcome.

5 Dr. Heather Carleton.

6 Dr. Anna Carlson

7 **DR. ANNA CARLSON:** Here.

8 **DR. KRISTAL SOUTHERN:** Thank

9 you.

10 Dr. Hayriye Cetin-Karaca.

11 **DR. HAYRIYE CETIN-KARACA:**

12 Here.

13 **DR. KRISTAL SOUTHERN:**

14 Dr. Ben Chapman.

15 Dr. Vik Dutta.

16 **DR. VIK DUTTA:** Present.

17 **DR. KRISTAL SOUTHERN:**

18 Dr. Betty Feng.

19 **DR. BETTY FENG:** Here.

20 **DR. KRISTAL SOUTHERN:**

21 Dr. Larry Figgs.

22 **DR. LARRY FIGGS:** Here.

1 DR. KRISTAL SOUTHERN:

2 Dr. David Goldman.

3 Dr. Michael Hansen.

4 DR. MICHAEL HANSEN: Here.

5 DR. KRISTAL SOUTHERN: Hello.

6 And Dr. Aris Havelaar.

7 DR. ARIS HAVELAAR: Present.

8 DR. KRISTAL SOUTHERN: Ms.

9 Janelle Kause.

10 Dr. Ramin Khaksar.

11 DR. RAMIN KHAKSAR: Here.

12 DR. KRISTAL SOUTHERN:

13 Lieutenant Colonel Noel Kubat.

14 Dr. Elisabetta Lambertini.

15 DR. ELISABETTA LAMBERTINI:

16 Present.

17 DR. KRISTAL SOUTHERN: Ms.

18 Shannara Lynn.

19 MS. SHANNARA LYNN: Present.

20 DR. KRISTAL SOUTHERN: Thank

21 you.

22 Dr. KatieRose McCullough.

1 DR. KATIEROSE McCULLOUGH:

2 Here.

3 DR. KRISTAL SOUTHERN:

4 Dr. Indaue Mello.

5 DR. INDAUE MELLO: Present.

6 DR. KRISTAL SOUTHERN: Dr. Eric

7 Moorman.

8 DR. ERIC MOORMAN: Here.

9 DR. KRISTAL SOUTHERN:

10 Dr. Abani Pradhan.

11 DR. ABANI PRADHAN: Present.

12 DR. KRISTAL SOUTHERN: Welcome.

13 And Mr. Shiv Rana.

14 MR. SHIV RANA: Present.

15 DR. KRISTAL SOUTHERN: Thank

16 you.

17 Dr. Marcos Sanchez Plata.

18 Dr. Kristin Schill.

19 DR. KRISTIN SCHILL: Present.

20 DR. KRISTAL SOUTHERN: Okay.

21 Dr. Nikki Shariat.

22 DR. NIKKI SHARIAT: Here.

1 DR. KRISTAL SOUTHERN:

2 Dr. Abigail Snyder.

3 DR. ABIGAIL SNYDER: Here.

4 DR. KRISTAL SOUTHERN: Welcome.

5 Dr. Max Teplitski.

6 Dr. Bing Weng.

7 DR. BING WENG: Here.

8 DR. KRISTAL SOUTHERN: Dr. Ben

9 Warren.

10 DR. BEN WARREN: Here.

11 DR. KRISTAL SOUTHERN: Welcome.

12 Dr. Randy Worobo.

13 And Dr. Rishome Yeloweshea.

14 DR. RISHOME YELOWESHEA:

15 Present.

16 DR. KRISTAL SOUTHERN: And is

17 there any committee member who did not have an

18 opportunity to announce themselves or joined

19 after we did the roll call?

20 Okay, hearing none, I recognize

21 that we have twenty-three committee members

22 present, which meets quorum, and I now call this

1 meeting to order.

2 So, now I'll go back a slide.

3 NACMCF is a Federal Advisory Committee that
4 provides impartial scientific advise and
5 recommendations to the US Department of
6 Agriculture and other government agencies on
7 public health issues relative to the safety and
8 wholesomeness of the US food supply.

9 The Food Safety Programs of the
10 USDA Food Safety and Inspection Service and the
11 Food and Drug Administration are strengthened
12 through NACMCF recommendations.

13 The programs of other federal
14 agencies concerned with food safety including the
15 Centers for Disease Control and Prevention, the
16 Department of Commerce, National Marine Fishery
17 Service, and the Department of Defense.
18 Veterinary Services also benefits from NACMCF
19 work.

20 NACMCF members are appointed by
21 the Secretary of Agriculture through a rigorous
22 process that helps to ensure that membership is

1 fairly balanced and can support the functions to
2 be performed.

3 Committee members are chosen
4 based on their expertise in microbiology, risk
5 assessment, public health, food science, and
6 other relevant disciplines in order to obtain the
7 scientific perspective, experience, and points of
8 view of all stakeholders.

9 It is an honor to be appointed
10 to the NACMCF and we are incredibly thankful to
11 the members that provide the scientific advise to
12 our federal agencies involved in food safety.

13 At today's meeting, the
14 committee will provide progress updates for their
15 work on the FDA Cronobacter species in Powdered
16 Infant Formula Charge and the USDA FSIS Genomics
17 Charge.

18 So, before we dive in, I wanted
19 to provide a few housekeeping items to keep in
20 mind as we continue through the agenda. If
21 you've joined our previous NACMCF Plenary
22 Meetings, you should be familiar with these. So,

1 it might just be a little reminder.

2 But first, I want to please
3 note that this morning Plenary Meeting is being
4 recorded. FSIS will post the recording and
5 transcripts when they become available on the
6 FSIS website.

7 This is a virtual meeting, and
8 with the exception of our committee members and
9 designated speakers, your microphones are
10 automatically muted when you logged in, and you
11 will not have the ability to use your camera
12 during the meeting.

13 A sign language interpreter
14 will be present for the duration of the meeting.
15 In addition, closed captions can be enabled by
16 clicking the closed caption or cc bubble in the
17 bottom left of your screen.

18 If, during registration, you
19 indicated that you wish to provide oral comments
20 and confirmed your intent to do so via a
21 follow-up E-mail from the NACMCF Secretariat, I
22 will call on you during the respective comment

1 period.

2 The event producer will provide
3 additional instructions when we reach that point
4 in the agenda.

5 And lastly, the chat feature is
6 available for all attendees. Any comments made
7 in the chat will be shared with the committee
8 after today's meeting. Attendees may also submit
9 written comments according to the options and
10 directions outlined in the Federal Register
11 Notice announcing this meeting. These comments
12 will also be shared with the committee when they
13 become available.

14 So, I will now turn it over to
15 the USDA Under Secretary for Food Safety and
16 NACMCF Chair, Dr. Emilio Esteban for opening
17 remarks, followed by remarks from the Food and
18 Drug Administration's Acting Director of the
19 Center for Food Safety and Applied Nutrition and
20 NACMCF Vice Chair, Dr. Donald Prater.

21 Welcome, Dr. Esteban.

22

1 - ooOoo -

2 INTRODUCTORY REMARKS

3 - ooOoo -

4 **DR. EMILIO ESTEBAN:** Thank you,
5 Kristal, and good afternoon to all of you or good
6 morning, or good evening, depending on where
7 you're joining us from.

8 I want to welcome the Executive
9 Committee members, the committee members
10 themselves, and the public. The work that you do
11 for us is essential in us having a consistent and
12 positive food safety system.

13 We have a full agenda today,
14 and I look forward to hearing the updates from
15 the two work groups that are working on genomics
16 and food, and the work that is happening on
17 Cronobacter species in Powered Infant Formula.
18 Those are the two charges that we have active
19 today.

20 As I said, I look forward to
21 hearing all the progress you've made and to see
22 what the next steps are. Your contributions are

1 essential to NACMCF, to public health, and, of
2 course, to food safety. So, I very much look
3 forward to hearing where you are with those
4 charges.

5 I'd like to turn it over to
6 Dr. Prater, please, from the FDA, my colleague.

7 **DR. DONALD PRATER:** Greetings.
8 Thanks, Dr. Esteban, I really appreciate that
9 warm welcome, and let me add my welcome to all
10 the committee members of NACMCF with its diverse
11 committee membership including academia,
12 industry, federal, state, and consumer
13 representation, as well as gender, racial,
14 ethnic, and broader diversity is ideally suited
15 to advise us on these subjects, and we look
16 forward to the information that NACMCF will
17 provide on these charges.

18 Before I talk about the
19 charges, I also wanted to share some information
20 here about the Human Foods Program Reorganization
21 at FDA. As many of you know, the reorganization
22 of the FDA's work on foods has been approved by

1 Congress, and we plan to fully implement it in
2 October. We anticipate a smooth transition,
3 which will lead us to a strong Human Foods
4 Program. The proposed reorganization is really
5 the largest in recent history and a major
6 undertaking for the agency.

7 Jim Jones is our first Deputy
8 Commissioner for the Human Foods Program, and we
9 are already benefitting from having all of our
10 work under a unified single leader.

11 Now that we're working through
12 the plans to merge all the Human Foods functions,
13 resources, and personnel, from the Center for
14 Food Safety and Applied Nutrition, the Office of
15 Food Policy and Response as well as certain
16 Office of Regulatory Affairs Functions and
17 Personnel into the Human Foods Program. This
18 shift will streamline our work, allowing for
19 faster decision making and breaking down the
20 siloed functions related to human foods.

21 Besides offices dedicated to
22 specific areas of our foods work, we've designed

1 one new one that will focus on data signals and
2 risk prioritization. This office is called the
3 Office of Surveillance Strategy and Risk
4 Prioritization, and it has a mission to detecting
5 risks related to human foods.

6 To be honest, in the past,
7 we've really been awash in data and not had the
8 capacity or technology sometimes to analyze them.
9 But now with technological advances like whole
10 genome sequencing and artificial intelligence,
11 we'll be able to more efficiently and effectively
12 find out what those data can really tell us about
13 risk.

14 For example, FDA has been doing
15 some cutting-edge research using genomics to
16 detect sources of risk to produce and other
17 foods. Because of advances in technology, we can
18 do this work now, even in a time of limited
19 resources. We can use whole genome sequencing to
20 look for food-borne microbial pathogens that pose
21 a risk and better focus our resources to address
22 those risks.

1 The committee's work on the
2 Cronobacter Species in Genomics charges that has
3 taken place will provide great signals and
4 information that we use as part of our risk
5 prioritization, and I'm really looking forward to
6 hearing the updates about the work on these two
7 charges later this afternoon.

8 I'm also excited to discuss an
9 upcoming call for new charges, charges that could
10 provide us with information and data that will
11 really help us to better assess food safety
12 risks.

13 So, I wanted to thank you all
14 -- all of the committee for all the hard work
15 you've done. I know it takes a lot of your
16 valuable time, and we really recognize and
17 appreciate that.

18 I also want to thank the
19 Executive Secretariat staff who are so critical
20 in making everything work smoothly including
21 today's meeting.

22 So, with that, let me turn it

back over to Dr. Kristal Southern.

DR. KRISTAL SOUTHERN: Thank
you. Thank you, Dr. Esteban. I know how -- how
diligent and hard the committee has been working
on the charges, so I know that they've got some
really good presentations for you both.

And then also thank you,
Dr. Prater, for the update on the changes that
will be coming soon with FDA. We look forward to
continuing that relationship with NACMCF and FDA
as you all go through these new changes.

So, with that, we'll now
proceed with the presentation from Dr. Elisabetta
Lambertini and Abby Snyder, who are the co-leads
for the Cronobacter Subcommittee. Welcome,
Doctors Lambertini and Snyder.

- ooOoo -

UPDATE ON FDA CHARGE

- ooOoo -

DR. ELISABETTA LAMBERTINI:
Good afternoon, everyone. In the next half hour,
myself and my co-chair, Abby Snyder, will provide

1 updates on three charge questions. And before
2 that, I want to do a quick recap of all the four
3 charge questions.

4 Question 1, which is
5 substantially completed, so we won't talk about
6 it today, asks what is the current prevalence and
7 level of Cronobacter species contamination in
8 powdered infant formula and their association
9 with human infections.

10 Question 2 asks what factors,
11 for example, virulence factors, host factors,
12 dose of exposure, place an infant at greater risk
13 for Cronobacter species infection and serious
14 adverse health consequences or death.

15 Question 3 asks what food
16 safety management practices, for example facility
17 and equipment design, hygienic zoning and
18 packaging, preventative controls, verification
19 activities, should manufacturers of powdered
20 infant formula employ to further reduce the risk
21 of Cronobacter species contamination of formula
22 and/or the production environment.

1 Question 4 asks, given that
2 powdered infant formula is not sterile, how could
3 food safety messaging be improved for infant care
4 providers with emphasis on use of sterile,
5 ready-to-use formulas for infants at greatest
6 risk and safe infant formula preparation and
7 storage for infant formula in general.

8 So, progress so far on all
9 these questions, now we are seven months in from
10 November to now. We had two subcommittees
11 working meetings in November and April. We had
12 to find the scope and outlines for all the
13 questions, and we have consulted several subject
14 matter experts, SMEs. We have two systematic
15 reviews in progress, and one being prepared. We
16 are moving along with drafting the charge with
17 the goal of having the first full draft this
18 fall.

19 This is just a quick reminder
20 of the composition of our working group, which we
21 are using to address different questions.

22 So, for question 2, which asks

1 which factors in the organisms and in the host
2 are associated with serious adverse consequences.

3 So far, the working group has
4 defined which main topics should be included in
5 the answer, which you can see in the box on the
6 left. The green dots simply signal the order in
7 which these topics are being addressed.

8 The work so far is focused on
9 virulence factors for Cronobacter species
10 including survivability, stress adaptation,
11 pathogenicity traits, and others such as
12 antimicrobial resistance and others that may
13 arise from the literature.

14 Other topics that we will be
15 included includes host factors such as
16 age-specific vulnerability and impact of
17 comorbidities and the impact of microbiome
18 factors.

19 And the dose of exposure,
20 although we have seen there is very little
21 information on this topic.

22 In terms of approaches used so

1 far, which you can see on the right, we have a
2 comprehensive literature review in progress, and
3 we have received excellent input from Professor
4 Steve Forsythe, who has devoted much of his
5 career to Cronobacter. So, we are very grateful
6 for this information.

7 For the literature review, you
8 can see here some parameters. The literature
9 review is reaching its end. We had two databases
10 consulted. Search criteria included terms for
11 the organism and terms for virulence,
12 pathogenicity factors, and host factors. There
13 was no restriction on age, as we want to also
14 look at adult infections potentially, and no
15 restriction on time.

16 After the screening, we found
17 417 articles that were considered relevant and
18 are not being synthesized in the draft.

19 A couple of slides on
20 preliminary findings for question 2 based on both
21 input from subject matter experts and the
22 literature.

1 Now, we can confirm that
2 Cronobacter Sakazakii is the species primarily
3 associated with infections in newborns and
4 babies, followed by Cronobacter Malonaticus with
5 a lower number of cases.

6 There are other species that
7 have been found in infant food or ingredients or
8 relevant environments, but their pathogenicity is
9 still in question.

10 Using multilocus sequence
11 typing, primary pathovars that have been
12 identified include Sakazakii ST4 associated with
13 infant meningitis, Sakazakii ST12 associated with
14 necrotizing enterocolitis, and Malonaticus ST7
15 associated with adult infections.

16 Now, in terms of the genetic
17 factors, there are putative virulence factors
18 that have been identified, but their role in
19 pathogenicity remains inclusive so far. So, we
20 expect to have a lot of caveats here.

21 For example, there are
22 limitations in the study models with tissue

1 culture and animal studies not fully representing
2 the condition in the neonatal GI tract, which
3 impacts our understanding of the role of this
4 factor in pathogenicity.

5 There are classification
6 challenges with the assays currently available
7 leading to potential misclassification of
8 Cronobacter isolates and the association between
9 genetic elements and isolates. So, there can be
10 misunderstanding on the pathogenicity of
11 individual isolates.

12 And other overlooked factors
13 such as the potential effects of residual like
14 polysaccharides or endotoxin from dead bacterial
15 content in infant formula that may lead to an
16 increased permeability of the GI walls and has
17 increased susceptibility. This is something that
18 is still being researched, but interesting to
19 point out.

20 So, this is all we have for now
21 for question 2. The work continues and I'm
22 passing the microphone to Abby for the next two

1 questions. Thank you.

2 DR. ABBY SNYDER: Thanks,
3 Elisabetta. Thank you, okay.

4 So, I'll be describing the
5 progress for work group 3, who is addressing this
6 question related to manufacturing practices. So,
7 note here in the charge question, it specifically
8 mentions these topics; facility and equipment
9 design, hygienic zoning and packing, preventative
10 controls, and verification activities. And the
11 charge question goes on further to ask what
12 practices within those topics should be employed
13 to further reduce the risk of Cronobacter.

14 So, some of this work group's
15 discussion so far is focused on whittling down to
16 specific activities that further reduce
17 Cronobacter risks rather than attempting to
18 simply summarize all the foundational programs
19 that are commonly used to ensure food safety and
20 manufacturing. Thank you.

21 So, the work group has taken --
22 each work group has taken a slightly different

1 approach to managing their workflow.

2 Work group 3 has been meeting
3 monthly to outline and initiate drafting
4 responses to the charge.

5 So, here you can see the
6 outline that the group has developed and the
7 sections where writing has started.

8 The great points here outline
9 an introductory or summative material, and the
10 black text are topics where the main responses
11 will be housed.

12 So, the first major point in
13 the response will concern strategies to reduce
14 the risk coming from incoming dry ingredients
15 that will not receive a subsequent kill step
16 before product release.

17 The second major point concerns
18 facility and equipment engineering such as zoning
19 and hygienic design to reduce cross contamination
20 during manufacture.

21 The third is process preventive
22 controls designed to inactivate Cronobacter. And

1 so, this includes review of potential novel
2 processes.

3 And the fourth is sanitation
4 preventive controls, which includes a review of
5 common and novel methods, their benefits and
6 weaknesses, as well as discussion on
7 environmental monitoring.

8 And the final section, which is
9 not in the drafting stage yet, concerns root
10 cause investigations and corrective and
11 preventive actions. Next slide.

12 Working group 3 has heard from
13 two subject matter experts early in their
14 process, and they plan to engage with additional
15 subject matter experts in the coming months. The
16 two experts who have already spoken to this group
17 include Jeff Kornacki and John Alvey, and this is
18 in addition to the subject matter experts that
19 presented at our initial Plenary meetings. So,
20 this is simply an update on subject matter
21 experts that they've heard from since then.

22 So, this single slide provides

1 some summary of the points collected from those
2 presentations as well as initial discussion
3 within the working group.

4 So, taking a look at points 1
5 and 3 here, stating the PIF is produced using
6 either a straight-through process or through dry
7 blending, and then also acknowledging the
8 important role then of suppliers in ensuring the
9 microbial safety of those ingredients. So, you
10 can see how this point resulted in that
11 standalone discussion topic and the outline
12 regarding suppliers.

13 Additionally, the work group
14 heard about maintaining hygiene in the
15 environment through sanitation and the
16 application of different microbial targets within
17 environmental monitoring programs.

18 So, this included some evidence
19 on the benefits and limitations of indicators
20 such as the group Enterobacteriaceae or Eb. Eb
21 are commonly used indicators that are useful in
22 sanitation verification programs, but these

1 indicators do not replace the need for
2 environmental monitoring that specifically
3 targets Cronobacter.

4 And then finally, the
5 importance of excluding water from the
6 manufacturing environment was discussed and the
7 committee is considering future additional
8 subject matter experts on this topic related to
9 identifying sources and methods of elimination
10 for moisture ingress. Next slide.

11 So, those findings and the
12 initial work on the outline are based on
13 noncomprehensive assessments of the literature as
14 well as discussion with experts. And work group
15 3 has also initiated a comprehensive literature
16 search by working with the National Ag Library to
17 define search times and a search protocol for
18 systematic review. And in particular, this
19 search is targeting research on existing control
20 measures for Cronobacter species in the
21 production of PIF. And so generally speaking,
22 the body of literature available on this topic is

1 relatively small. Next slide.

2 Okay. Transitioning now into
3 the final charge question addressed by work group
4 4, this is the communications question. It asks
5 how food safety messaging to infant care
6 providers can be improved. And the messaging
7 topics emphasized within the charge question
8 really get at two points. The first is the use
9 of ready-to-feed infant formulas and the second
10 is the preparation and storage of PIF. Next
11 slide.

12 So, in terms of workflow, work
13 group 4 has prioritized hearing from subject
14 matter experts and is still in the process of
15 developing their systematic search.

16 And so, to date, they have
17 heard from a number of risk communication experts
18 at USDA, FDA, and CDC, and they've also reached
19 out to schedule future presentations from the
20 American Academy of Pediatrics as well as INCA,
21 the Infant Nutrition Council of America, which
22 represents infant formula producers.

1 Work group 4 has adopted the
2 following structure for outlining their response.
3 They are generally responding to the charge
4 question through the following structure by
5 answering questions related to what should be
6 communicated, that is what is the content of the
7 message that should be delivered to improve food
8 safety messaging to caregivers.

9 They're also answering to whom
10 that messaging should be delivered and by whom,
11 which implies that it should consider important
12 risk communicators outside of the traditional
13 caregivers in this effort.

14 Finally, the question of how is
15 also being addressed, meaning what practices in
16 risk messaging should be employed to deliver food
17 safety messaging to infant care providers.

18 Group 4 has also started
19 preparing and discussing some of their draft text
20 based on this noncomprehensive review of the
21 literature in discussion with experts, and they
22 have again future plans for the scoping review.

1 Next slide.

2 So, under the topic of what to
3 communicate, the work group has identified the
4 following topics of discussion.

5 And so, the first is
6 prioritizing messages towards caregivers of
7 high-risk infants defined by age and other risk
8 factors. So, review on messaging, supporting the
9 use of ready-to-feed formula for the high-risk
10 groups. This would also include messaging on PIF
11 prep and storage.

12 A notable area of importance
13 for risk communication is messaging around the
14 use of hot water to prepare formula, and it's
15 been a major topic of discussion in the group.
16 The work group's initial assessment of the
17 literature also indicates there's a lack of
18 research on caregiver practices.

19 So, for example, given this
20 messaging, how do caregivers actually behave in
21 practice? What is caregiver awareness about the
22 risks of Cronobacter, and so on. Next slide.

1 The next topic concerns
2 identifying the groups who are important targets
3 of this messaging. So, this of course includes
4 primary caregivers like parents, but also
5 caregivers who may have infant feeding
6 responsibilities such as grandparents or daycare
7 staff, and therefore, communication messaging
8 should acknowledge generational and cultural
9 differences addressed more under the how to
10 communicate section.

11 Additionally, the work group
12 identified groups who themselves serve as sources
13 of information for infant caregivers, which is
14 various healthcare professionals who then are
15 therefore important recipients of risk
16 communication messaging as well because of that
17 role. Next slide.

18 So, healthcare providers are
19 both important groups who receive messages on
20 risk and then communicate it to the caregivers.

21 So, for example, a high-risk
22 infant in the NICU, that neonatologist and

nursing staff are essential risk communicators
engaging with caregivers on infant feeding
practices during this critical period in the
infant's life.

Other important risk
communicators include, of course, public health
organizations and also depict manufacturers
themselves, considering the potential role for
product packaging labels or inserts in
communicating preparation and storage
information.

Other settings outside of
traditional guidance materials or even social
media include birth classes and healthcare
appointments early in the infant's life during
the period of greatest risk from Cronobacter.

Next slide.

Finally, the work group
considered how and when to communicate and so
currently, that includes noting the types of
communication or communication frameworks in use.

1 So, for example, situational
2 messaging like that used for ongoing regular use
3 of PIF versus messaging that's used in crisis
4 settings like that during an outbreak or recall.

5 And so, the importance of
6 visuals, language, and literacy to promote access
7 and understanding is being considered by the work
8 group, and as a note on the additional findings,
9 the work group has identified gaps in research on
10 ways to measure success on accessibility and
11 understanding.

12 The work group has heard
13 subject matter experts discuss different
14 theoretical frameworks that distinguish between
15 hazard and risk, so increasing caregiver
16 awareness about Cronobacter and potential
17 mitigation strategies as opposed to explicit do
18 this and not that type of instruction. Next
19 slide.

20 And so, with that, we'll go
21 ahead and wrap up our discussion on this charge
22 question. I will just add that in terms of next

1 steps, we are planning for our Plenary meeting in
2 September, and the further development of a first
3 draft of the subcommittee's response later in the
4 fall. Thanks.

5 - ooOoo -

6 PUBLIC COMMENT ON FDA CHARGE

7 - ooOoo -

8 **DR. KRISTAL SOUTHERN:** And
9 thank you. Thank you Dr. Lambertini and
10 Dr. Snyder for your leadership of the Cronobacter
11 subcommittee and the updates you provided today.

12 So, we're going to start moving
13 into questions and comments on the Cronobacter
14 charge progress updates. We'll start with the
15 Executive Committee members. Are there any
16 questions or comments from our Executive
17 Committee members on the updates that were just
18 presented?

19 I see you popped up onscreen,
20 Dr. Prater. Go ahead, feel free to go ahead and
21 speak.

22 **DR. DONALD PRATER:** Thank you

1 very much, and sincere thanks for all the work.

2 I can tell a lot of progress has been made on the
3 whole number of questions. So, I don't -- I
4 don't have any reflections or comments to offer
5 right now other than just I really appreciate all
6 the good work, particularly on the
7 communications, and that's really a multifaceted
8 area in terms of what to communicate, where, and
9 by whom. So, I appreciate all the good thinking
10 on that. So, thank you so much for that great
11 readout. No specific questions at this point,
12 but I'll look forward to hearing more. Thank
13 you.

14 **DR. KRISTAL SOUTHERN:** Thank
15 you. And also, if you think of anything,
16 certainly let us know and we'll make sure that
17 the committee receives those questions or any
18 additional comments.

19 Okay. So, I'm going to now
20 move to the committee members. Oh, I see a hand
21 up. Please, go ahead.

22 **DR. EMILIO ESTEBAN:** So, again,

1 much like Don, this is a lot of work to get us to
2 this point. So, I agree that one of the main
3 outcomes of this is going to be messaging.

4 So, I was wondering, have you
5 had any thoughts about how you're going to target
6 that? I know you mentioned some specific
7 avenues, but how are we going to be able to
8 measure the effectiveness of that communication
9 message? Have you -- has the committee discussed
10 that, effectiveness measures?

11 **DR. KRISTAL SOUTHERN:** And
12 Elisabetta and Abby, if you want to pop on, thank
13 you.

14 **DR. ABBY SNYDER:** Sure, I will
15 take a stab at it unless Elisabetta wants to
16 chime in, that's great too.

17 I think the committee has
18 targeted or identified a gap in research on the
19 top, and so would like to see research on that in
20 the future.

21 For example, surveys of
22 caregivers and focus groups, which I think some

1 of the regulatory research teams are currently
2 doing, to assess people's perceptions about the
3 relative risk of Cronobacter. Do they know what
4 it is? Do they know which groups of infants are
5 at risk for it? Do they know what practices are
6 used to mitigate it? So, that would be one
7 measure of success.

8 There's also a need, I think,
9 for observational studies. So, the committees
10 discussed if we develop a message of this kind
11 and then you give it to a consumer and have them
12 follow the instructions, for example, for PIF
13 preparation, what do they actually do?

14 I think the committee has
15 identified a big gap. You give them instructions
16 with time, temperatures, or some combination of
17 those, and it still could be quite variable in
18 terms of what activities are actually done. So,
19 those would be two examples of the type of
20 research that would help us get success of risk
21 messaging.

22 DR. EMILIO ESTEBAN: Thank you.

1 DR. KRISTAL SOUTHERN: Thank
2 you. Thank you for the question and also thank
3 you for chiming in.

4 Do we have any other questions
5 from our Executive Committee members or comments?
6 All right. Okay.

7 We'll move on to questions or
8 comments from the NACMCF Committee members on the
9 Cronobacter charge progress updates. If you have
10 -- if you're a committee member and you have a
11 question or comment, please raise your hand and
12 you may unmute yourself when your name is called.

13 Okay. I am not seeing any
14 hands. So, we're going to go ahead and move on
15 to see if there's any members of the public that
16 wish to provide an oral comment on the FDA
17 Cronobacter charge and the progress updates.

18 We did not receive any request
19 for oral comments on this topic during
20 registration. So, we'll open it up to members.
21 If you do have -- if you do -- if you would like
22 to provide an oral comment on this topic, excuse

1 me, we request that all commenters please
2 introduce yourself by providing your name and
3 affiliation before providing comment. Each
4 person will be provided three minutes to make
5 their comments, and then the event producer will
6 move onto the next person in the queue. So, I'll
7 now turn it over to the event producer to provide
8 any additional instruction and let us know if
9 there are any hands raised and persons in the
10 queue.

11 **EVENT PRODUCER:** If you would
12 like to make a public comment on this subject,
13 please press the raise hand icon at the bottom of
14 your Webex screen to enter the oral queue.

15 If you are dialed in through
16 the phone only line, you can please press *2 on
17 your telephone keypad to enter the queue.

18 And finally, if you would like
19 to submit a written comment, you can please send
20 your written comments through the Webex chat
21 panel on the right side of your screen.

22 **DR. KRISTAL SOUTHERN:** Thank

1 you. And do we have any?

2 **EVENT PRODUCER:** I am not
3 seeing any comments in the queue at this time.

4 **DR. KRISTAL SOUTHERN:** Awesome
5 sauce. Well, we'll keep it moving.

6 So, there will be another
7 public comment period if you do think of
8 something, and if we have time, we can go back to
9 this topic.

10 So, we'll now proceed with the
11 presentation from Drs. Vik Dutta and KatieRose
12 McCullough, who are the co-leads for the Genomics
13 Subcommittee. Welcome Drs. Dutta and McCullough.

14 **DR. VIK DUTTA:** Thank you,
15 Dr. Southern. Can you hear me okay and see me?

16 **DR. KRISTAL SOUTHERN:** Yes.

17 - ooOoo -

18 UPDATE ON FSIS CHARGE

19 - ooOoo -

20 **DR. VIK DUTTA:** Good afternoon
21 and good morning, everyone, and thank you for the
22 opportunity to share the update from the Genomics

1 Subcommittee on behalf of all the members.

2 We have been working hard.

3 Although our time scale has been not as long as
4 the Cronobacter team, but nonetheless we're
5 hoping to share the update with you and look
6 forward to answering your questions.

7 Just real quickly, the charge
8 question is for the agency FSIS, how can the
9 genomics be used to rank pathogens subtypes --
10 rank pathogens by subtypes, and so that is a wide
11 question that has been subsequently broken down
12 into four subparts, the first one being how can
13 genomics be used to differentiate microbial
14 pathogens, i.e. Salmonella, STEC, LM, and
15 Campylobacter subtypes by risk to public health
16 in the food products that are regulated by the
17 FSIS.

18 The second piece of that
19 question is what type of genomic-based methods or
20 approaches are currently being used in the US and
21 by similar public health entities
22 internationally.

1 The third being what are the
2 research and knowledge gaps that should be
3 addressed to fully operationalize a
4 genomics-based approach.

5 And lastly, around the
6 strategic vision on how might genomics inform
7 FSIS and other agency actions along the
8 farm-to-fork continuum.

9 So, as you can see, it is a
10 wide charge with a diverse set of knowledge and
11 expertise that was needed, and the subcommittee
12 decided to focus on question 1 and question 2
13 first to really prepare ourselves before we dive
14 into more of the planning and analysis of the
15 research and the knowledge gaps and before we
16 really started jumping into the strategic vision.

17 So, with that, we have
18 organized ourselves into two work groups, one for
19 question 1, and the other one for question 2.
20 The question 1 also has subsequent subgroups that
21 Dr. McCullough will speak to.

22 The question 2 workgroup

1 started off with multiple subgroups, but then we
2 came back to address question 2 as one work
3 group.

4 At this point, the ongoing
5 meetings are happening with a goal to meet in
6 person on September 23rd and our hope is to have
7 at least first draft if not a context to our
8 response created with these questions and then
9 when we meet in September, our hope is to really
10 start asking question 3 and question 4, at least
11 the basis structure of how we would like to
12 respond to them.

13 So, in order to do that, thus
14 far we have relied heavily on our resources, i.e.
15 the subject matter expert presentations. We have
16 had twelve of those and four are pending, and
17 this is likely going to grow as the subcommittee
18 leads itself through this process.

19 And in terms of the resources,
20 we have just started engaging with the National
21 Ag Library, although the work has been going on
22 for a while. We are finally seeing some outputs

1 that the subcommittee can use. Obviously
2 peer-reviewed literature and the expertise of the
3 members themselves.

4 For the methods, we are relying
5 heavily on the validation databases, and I'll
6 speak to that in a minute.

7 And, of course, the NACMCF
8 Secretariat's help and guidance is very much
9 needed as we continue our work.

10 So, here's a list of subject
11 matter experts. Instead of trying to sort of
12 break them into question 1 and question 2 and the
13 subsequent subgroups, we have kind of provided
14 you a list to give you an idea that we are
15 seeking feedback from a diverse source of
16 expertise from very different backgrounds. Just
17 to hone into, you know, how we responded to this
18 question, and this is more critical for the
19 methods piece of our response because a lot of
20 these methods are not mentioned in any literature
21 out there. So, we are relying heavily on our
22 subject matter experts to guide us, to show us a

1 path forward, because we may not be able to cite
2 any references.

3 At the same time, we want to
4 also keep our eye on the horizon as it relates to
5 emerging technologies. And so, the goal is to
6 utilize not just the literature itself in terms
7 of what's available but also to rely on the
8 expertise of a lot of our subject matter experts.

9 So, the other leg to stand on
10 is the literature source itself. Like I said, we
11 have been going back and forth with the National
12 Ag Library for a few months now, and what we are
13 showing you here is a criteria that we have come
14 up with together as a committee where we're using
15 search terms from the pathogen's name, the
16 subtype terms, but also to define the public
17 health risk. We have decided to use the human
18 health outcomes as one of the criteria to limit
19 how we search.

20 At this point, we are beginning
21 to get our early literature results back and the
22 subcommittee plans to go forward and start diving

1 into it and start sorting through the literature.

2 We have also decided not to
3 split between questions 1 and 2 because the
4 methods tend to get cited within these sort of
5 larger epidemiological and risk-based papers.
6 So, the goal is to have one search done at the
7 National Ag Library, and then all of us can then
8 dive in and take our respective pieces and start
9 pulling out the literature that are relevant to
10 our response.

11 With that, I will pass the
12 microphone to Dr. McCullough to see if she has
13 anything else to add.

14 **DR. KATIEROSE McCULLOUGH:**

15 Thank you, Dr. Dutta, and excellent, excellent
16 job outline our progress to this point.

17 You know, the NAL update that
18 I'll give is that we're still in the process of
19 finalizing all of our search terms as indicated,
20 and so, we are really looking forward to leaning
21 on this heavily. We already have a lot of gold
22 standard literature that the committee is pulling

1 as needed as they're continuing their writing for
2 question 1 and 2 right now, and we're hoping that
3 as the literature search is finalized and we
4 continue it, it will help us bolster the
5 literature we've already pulled and really help
6 us identify those question 3 gaps of what we're
7 seeing after the search. So, thank you so much.

8 The next slide will cover what
9 we've done so far on question 1.

10 So, question 1, as Dr. Dutta
11 indicated, we've separated into three subgroups,
12 and that is 1A, 1B, and 1C.

13 And so, 1A, we've classified to
14 be appropriate genomic and pathogen attributes,
15 and so our leaders for that are Heather Carleton
16 and Aris Havelaar, and they have done a fantastic
17 job pulling some of the foundation of what we
18 really need for that question section, which we
19 break it down a little bit on the next slide
20 later. But so far, those sections have been
21 assigned, and writing has started.

22 Like I've indicated, we've

1 pulled some gold standard papers that are really
2 important for this section that need to be
3 included to make sure our literature search
4 criteria is appropriate.

5 We've also started listing
6 different datasets and what those contain and
7 what they've pulled. So, what are the datasets
8 out there that are available that look at
9 different genomic or pathogen attributes that we
10 can lean on and point to, and where would they be
11 helpful. Where would we think modifying those or
12 adding to or supplementing that information.

13 For 1B, that genomic data and
14 risk assessment. Abani has done a fantastic job
15 leading that with the support of Aris again.
16 We're making him pull double duty. And those
17 sections have been assigned and writing has
18 started. I think this 1B, as far as question 1
19 goes, has made the most progress up to date.
20 They've pulled a tremendous amount of papers
21 already, and they have also started listing out
22 those papers that use different genomic data for

1 hazard identification, characterization, exposure
2 assessment, or risk characterization. So,
3 essentially pulling those different important
4 things and what they have from each of those
5 papers that were really helpful. So, the writing
6 of those sections has already commenced.

7 And then 1C, which will really
8 be supported by the group efforts in 1A and 1B
9 has started. Those sections have been assigned,
10 and we've already started a table of health
11 outcomes that really was drafted to help us with
12 our NAL search but lists the different health
13 outcomes for each pathogen that hopefully we can
14 tie to different genomic attributes or genes to
15 those that would be important to, you now, assign
16 risk and say, you know, in a perfect world, are
17 we able to select for those things that are
18 identified and classified pathogens based on if
19 they're associated with these different health
20 outcomes. So, we've started that work of
21 brainstorming and look to further build that out
22 as the efforts continue.

1 On the next slide, we have it
2 broken down into sections for 1A, 1B, and 1C. We
3 are calling this our questions map. So, you can
4 see the contributing authors to each of those
5 sections and their draft dates to present at this
6 point.

7 So, for question 1A, which is
8 appropriate genomic and pathogen attributes, we
9 have available datasets and the associated
10 metadata to, again, include all of the
11 information that's already out there and
12 available, and making sure we're aware that even
13 though it might be in different places and then
14 outline different attribution approaches.

15 That's going to be something
16 that's important to understand as we're leaning
17 on any sort of data that is indicating or a
18 health outcome, you know, how we attribute to
19 certain species, I think is going to be
20 important, especially as we build out our
21 strategic vision in question 4 as well as those
22 epidemiological risk factors of concern.

1 We started a list as well,
2 listing out different risk factors that may be
3 important in leading to more severe illnesses by
4 pathogen.

5 In 1B, again, this one is the
6 risk assessment one that has done the most work
7 for question 1 thus far, the hazard analysis and
8 hazard identification. Janelle and Eric have
9 done a tremendous job building out our outline
10 and will be starting to write that section very
11 shortly. That's really building out how we use
12 the literature behind hazard analysis and
13 identification when incorporating genomic data,
14 part of risk assessments.

15 Abani and Max are leading the
16 exposure assessment portion, and they have done
17 good work on outlining again a lot of the
18 literature and pulling the important things from
19 those gold standard papers for exposure
20 assessment.

21 And then Aris and Hayriye are
22 leading the hazard characterization and dose

1 response section, and then once all that work is
2 done, the group in total will put that all into
3 context with risk characterization.

4 And then on genomic attributes,
5 product risk factors and identified genes, like I
6 said, a lot of the work in 1C will be bolstered
7 off of what's done in 1A and 1B. And so, both
8 from the human health and product characteristic
9 perspective, we want to identify different genes
10 and risk factors associated with those genes that
11 may really be able to help us build out what we
12 want done in question 4. And, like I said, that
13 table of health outcomes, we've already built
14 that out as a draft 1, and we'll continue to
15 build that out as we dig through literature and
16 get some results back from NAL.

17 With that, I'll pass it back to
18 you, Dr. Dutta, to talk about our progress in
19 question 2.

20 **DR. VIK DUTTA:** Thank you,
21 Dr. McCullough. So, in terms of question 2, if
22 you just read the text of the question, it became

1 clear to us over time that we just need to make
2 sure that we do a good job and as comprehensive
3 as possible, and that in itself, we're realizing,
4 is a multi-layered approach. So, we spent quite
5 a bit of time in talking about the structure of
6 our response and that includes the criteria for
7 what is genomics-based method that should be
8 included.

9 As you can imagine, certain
10 methods like PCRs are widely cited everywhere,
11 but on the other hand, things like shot-gun
12 genomics don't have enough literature. So, we
13 are trying to balance that out and making sure
14 that we are as comprehensive as we can be as we
15 respond to the question.

16 And then also the
17 categorization of the methods and technologies.
18 Not all methods are reporting results that are
19 pertinent to food safety decision-making. Some
20 of them are actually building blocks towards that
21 final tool that has been utilized for reporting
22 the results. And so, there was an important work

1 done to make sure that the different tools and
2 methods and technology, the CIDT databases,
3 they're all getting categorized appropriately,
4 and I'll share an example with you about how we
5 are going about that.

6 And, of course, the validation
7 sources are wide and diverse, and they go beyond
8 our borders into international arenas, and even
9 there they have, you know, most of the countries
10 have their own -- all developed have their own
11 validation systems and databases. So, we are
12 looking to those as well to make sure that we are
13 as comprehensive as we can be.

14 And that work is, I would say,
15 is on the tail end of it. Of course, it's going
16 to be a virtual cycle, and we will continue to
17 look for any new methods as they emerge. But the
18 collection of the data in itself was happening
19 simultaneously, so we have built a large database
20 where we are not only capturing the methods for
21 the categorization but also all the different
22 pertinent information that are, you know,

1 required -- would be required for the agency to
2 consider, for example, the computation of
3 resources and reliance on sequencing and so on
4 and so forth.

5 So, there's a big database that
6 is being built despite what will be actually
7 captured in the text itself. So, at the end of
8 it, we expect to have a very large and
9 comprehensive methods database available to the
10 agency.

11 And with that said, we have
12 started drafting our response. This was our way
13 of kind of forcing ourselves to start putting pen
14 to paper and start documenting what we have been
15 doing so far.

16 And so, that work is
17 continuing, and we hope to have -- I'll show you
18 in a minute -- we hope to have that completed
19 within the next couple of months.

20 So, here's an example of how we
21 are categorizing different methods. If you're a
22 bioinformatician, you're probably familiar with

1 most of these, if not all of them, and
2 Dr. Shariat did a wonderful job leading this work
3 for the subcommittee. But the idea was to
4 categorize all these different tools that are
5 available in the public realm for easy sort of
6 tracking of how do they help with the larger data
7 analysis and ultimately decision-making.

8 And so this kind of allows us
9 to know all the pertinent information as it
10 relates to categorization versus annotation and
11 so on and so forth.

12 This is done for the whole
13 genome sequencing tools, and there's another one
14 coming up with CIDT/genomics, and again, Dr.
15 Shariat is doing good work for us along with
16 other committee members.

17 So, as Dr. McCullough said, we
18 have a roadmap -- a question map that we have
19 both built to track the progress and Dr. Shannara
20 Lynn has led the work in terms of drafting our
21 response from all the sort of materials that have
22 been -- that have been created so far like the

1 database I shared with you for question 2 and
2 question 2A with help from myself and
3 Dr. Shariat. And this response, I would say the
4 first draft has already been presented and we are
5 beginning to sort of start going back to the --
6 and fine tune the response that I mentioned and
7 then also this, like I said, it's a virtual cycle
8 as it relates to the database. You know, we're
9 kind of working back and forth to make sure we
10 are as comprehensive as we can.

11 With that said, the question
12 2B, Dr. Khaksar is leading that work. We are
13 looking forward to taking a stab at it tomorrow
14 and this is again worked on in partnership with
15 Dr. Kristin Schill and Dr. Shariat and then after
16 that, we plan to attack the whole question 2C
17 with Dr. Sanchez leading that part. And, like I
18 said, we hope to have this completed within a
19 month or so. Again, this is an idea to kind of
20 put a deadline in place so we can start drafting,
21 even if it's an early draft, that's, you know,
22 that's a good place to start.

1 So, with that, I will share the
2 microphone back with Dr. McCullough.

3 **DR. KATIEROSE McCULLOUGH:**

4 Thank you, Dr. Dutta, and we -- we discussed a
5 little bit this outlining our plan for how we're
6 tackling the question. But here's the sort of
7 next steps that we have. We have another --
8 tomorrow we have one more SME presentation,
9 likely more to come, especially as we continue to
10 write into the work and identify additional SMEs
11 that we may need to bring in for a gap we've
12 identified or to answer a question more
13 specifically. But we really want to start
14 reviewing draft sections of question 1 and 2.

15 As Dr. Dutta said earlier, we
16 really envision a lot, and the bulk of the
17 technical work is in question 1 and 2.

18 In question 3, the gaps that
19 need to be filled will be based off of the work
20 done in question 1 and 2. And so, we want a very
21 strong draft of question 1 and 2 prior to really
22 commencing our work on question 3. And then

1 question 4, we need to understand the gaps and
2 what's possible and what's not possible based off
3 of the work in 1, 2, and 3 before we can really
4 build out a strategic vision.

5 And so, the goal is for our
6 in-person meeting in September to have a very,
7 very strong close to final draft of questions 1
8 and 2 that we will be able to review again in
9 close to final format by that point, and then
10 really spend a lot of time in person
11 brainstorming on questions 3 and 4 and building
12 out our plan for this.

13 And so, here's our roadmap for
14 our next meetings coming up when we will be able
15 to review those things. We certainly have a high
16 likelihood of adding additional meetings, whether
17 those are for SMEs or just additional meetings
18 where we have to review drafts as we build that
19 forward. But here's sort of our agenda from here
20 until September at a minimum of what we are
21 expecting to continue to make so we're on track
22 to meet the overall goals and objectives in the

1 timeline given from USDA.

2 And so with that, Dr. Dutta and
3 I are happy to take any questions. Dr. Southern,
4 back to you.

5 - ooOoo -

6 PUBLIC COMMENTS ON FSIS CHARGE

7 - ooOoo -

8 **DR. KRISTAL SOUTHERN:** Thank
9 you. Thank you both and so, we will now -- thank
10 you Dutta and Dr. McCullough for your leadership
11 of the Genomics Subcommittee and the updates you
12 provided today.

13 In the standard format, we're
14 going to start with the Executive Committee to
15 see if any of you all have any questions or
16 comments for the committee on the genomics
17 charge.

18 Dr. Esteban, go ahead. I see
19 you.

20 **DR. EMILIO ESTEBAN:** Not
21 surprisingly, I have questions for you. Vik and
22 KatieRose, thank you very much for this work.

1 You know how important this is and on a personal
2 level, I love this work. I really look forward
3 to the output.

4 Just a quick question. I
5 looked at all the presentations that you just
6 provided. It seemed to me that there was nothing
7 dedicated to one pathogen or another. It seemed
8 to be generic. And so, my question is clearly
9 there's different levels of knowledge of the
10 genomes or different types of pathogens. So, are
11 you planning on at some point splitting pathogens
12 or are you going to keep it all on a generic
13 framework, if you will?

14 **DR. KATIEROSE McCULLOUGH:** I'm
15 happy to take a first stab at that and then
16 Dr. Dutta, please.

17 Absolutely we'll be breaking it
18 down by pathogen and our discussions have it
19 broken down by pathogen.

20 I can answer for question 1 as
21 it relates to different human health outcomes and
22 different epidemiological factors that we're

1 evaluating, those can be very pathogen specific.

2 Some of them are generic, but there's a lot of
3 very pathogen-specific health outcomes that we
4 would like to ideally be able to select for,
5 right?

6 If there's a certain type of E.
7 Coli, which we know there's a lot of research
8 that shows certain genetic components of E. Coli
9 lead to higher HUS. And so, we are breaking it
10 down by pathogens. We have no pathogen-specific
11 conclusions at this time, but we envision to be
12 able to have those by our September in-person
13 meeting.

14 So, yes, our brains are
15 aligned, and I don't know if that's a good thing
16 for you or a scary thing to say that our brains
17 are aligned. I guess we could hopefully
18 rediscuss that at our September meeting.

19 Vik, what did I miss?

20 **DR. EMILIO ESTEBAN:** Thank you,
21 KatieRose. If all scientists agreed, we would
22 have a very boring life. So, yes, I'm glad we

1 disagree.

2 A second question, if I may,
3 unless somebody else has another question.

4 **DR. KRISTAL SOUTHERN:** Vik, did
5 you want to add to anything that KatieRose was
6 saying? Yeah, we'll do that and then move on to
7 your next question.

8 **DR. VIK DUTTA:** Perfect. So,
9 Dr. Esteban, to answer your question, the first
10 component is absolutely right. We have to look
11 at the pathogens because that is how we have
12 collected data so far. But that is the lens of
13 GGS, right, or genomics as we know it.

14 What we are also realizing as
15 we do our work is that there's a range of
16 emerging technologies such as amplicon-based
17 sequencing or the shotgun metagenomics. All
18 these tools are less dependent on the pathogen
19 itself and they are more sort of matrix dependent
20 and/or technology dependent. And so, are there
21 any answers that -- and we are kind of clumping
22 them into CIDTs, which may not be a perfect way

1 of doing it, which kind of tells you the
2 challenges we are facing every day. But
3 nonetheless, I feel that there is a pathogen
4 component and then there's going to be a generic
5 component such as deploying sequencing technology
6 on the enrichment itself that may give us even
7 more insight that we are not thinking about
8 today.

9 Now, the implications of that,
10 the practicality of it, you know, all of that
11 will have to be considered. But it may be one
12 and two as we continue our work.

13 **DR. EMILIO ESTEBAN:** Okay,
14 thank you. Thank you very much. I think
15 Dr. Goldman had put something in the chat, but
16 I'll let your moderate manage that.

17 **DR. KRISTAL SOUTHERN:**
18 Dr. Esteban, did you have another question and
19 then we'll --

20 **DR. EMILIO ESTEBAN:** Let --
21 let's answer the one from David and then if I may
22 come back, I will come back.

1 DR. KRISTAL SOUTHERN: Okay.

2 So, we'll come back to you then.

3 So, David, are you able to
4 unmute yourself? I don't think so. I think
5 Dr. Goldman may be having some issues with
6 unmuting himself. So, there is a --

7 DR. DAVID GOLDMAN: I'm -- I'm
8 here.

9 DR. KRISTAL SOUTHERN: Oh,
10 great. Did you want to --

11 DR. DAVID GOLDMAN: But I'm in
12 an airport, so that's why I put my comment and
13 question in the chat, if you don't mind.

14 DR. KRISTAL SOUTHERN: Not a
15 problem. I'll go ahead and read it.

16 So, Dr. Goldman said for risk
17 management purposes, the ecological context from
18 which the isolates arose are critically
19 important. So, I would ask the committee to
20 emphasize the importance of metadata to our
21 understanding of the pathogens of concern.

22 So, thank you, David, for that

1 comment. I don't know, Vik and KatieRose if you
2 -- if you wanted to -- I saw that you also wrote
3 back. Thank you. I didn't know if you wanted to
4 add anything to that before we move on.

5 **DR. VIK DUTTA:** I would say,
6 Dr. Southern, that I agree with Dr. Goldman. I
7 think that is an important -- the metadata adds
8 more to, you know, the ecological context of the
9 metadata has to be kept in mind as we continue
10 our work. So, I agree with that.

11 **DR. KATIEROSE McCULLOUGH:**
12 Yeah, and some of the work we've done where we've
13 pulled, you know, this is what current databases
14 have. It's specifically related to what metadata
15 they have that's available and what they -- what
16 they don't have.

17 So, we're totally aligned with
18 you, and we'll hopefully have a better build out
19 with more specifics at our next meeting, and I
20 think potentially too, without getting too ahead
21 of ourselves, I think some of that question 3,
22 the gaps in metadata, we're going to have some

1 good work or, you know, good discussion with
2 what's really important and where we can't come
3 to conclusions because we're missing or lacking
4 metadata.

5 And then on question 4, the
6 strategic vision moving forward, we're talking
7 about what metadata is really going to be
8 critical to make further advances in this area.

9 **DR. KRISTAL SOUTHERN:** Thank
10 you, Dr. McCullough.

11 We also have -- before I move
12 to the chat, Dr. Esteban, did you have additional
13 questions or comments?

14 **DR. EMILIO ESTEBAN:** I really
15 look forward to the first draft of this report.
16 I'm curious about the CIDT because obviously lab
17 companies, CIDT developers, are way ahead of
18 where we are with a lot of detection of which
19 targets they pick. And so, a lot of the outcomes
20 that we're finding based on CIDT results sort of
21 filters the information that we have, right?

22 So, I'm very curious to see how

1 this works if we're going to integrate
2 developments in human detection of a syndrome, a
3 pathogen, a biofile, whatever -- how we pick our
4 targets. How we pick our targets for the bug
5 itself, you know?

6 So, I'm just curious. It's
7 more of a comment than, I guess, a question.

8 **DR. VIK DUTTA:** Yeah, I fully
9 agree with you, Dr. Esteban. That's one of the
10 concerns -- work that we need to do to make sure
11 that we are addressing the food safety
12 decision-making. I fully agree.

13 **DR. EMILIO ESTEBAN:** Now, I'm
14 done.

15 **DR. KRISTAL SOUTHERN:** We also
16 have -- are you sure? I don't know about that.
17 No, I'm just -- certainly, if you think of
18 anything else, obviously let us know, put your
19 hand up.

20 Before I move on to -- and I
21 see a comment in the chat from one of our
22 committee members -- are there any other

1 Executive Committee members that have any
2 questions or comments before we move to more
3 discussion amongst the committee? I'm not seeing
4 any hands. Okay.

5 So, there is -- so, as well,
6 I'll open it up to our committee members. If you
7 all have any questions or comments for -- from
8 these -- the updates that were just provided.

9 While some of you all think
10 about any comments or questions, I will read from
11 Aris Havelaar, who is one of our committee
12 members. He put in the chat, "We also aim to
13 discuss access to such metadata, which may limit
14 their use."

15 And Aris, if you want, you
16 know, feel free to come off mute and expand upon
17 that if you'd like, or if that's the sole
18 comment, that's fine too.

19 **DR. ARIS HAVELAAR:** Thank you,
20 Kristal. Yeah, I just wanted to make the point
21 that obviously the metadata is really important,
22 but there's a lot of privacy regulations and

1 other ethical constraints that may limit the
2 sharing of metadata, the access of different
3 groups. So, that's something that we also plan
4 to map out and maybe give recommendations on how
5 to move forward with that.

6 **DR. KRISTAL SOUTHERN:** Great,
7 thank you. Thank you for sharing that.

8 Do we have any other comments
9 or questions from our committee members before we
10 move to public comment? And I'm not seeing any
11 hands or chat messages. All right.

12 So, we're going to go ahead and
13 move on to public comment. I did not -- I do not
14 have anyone registered to provide oral comment,
15 but if you would like to, just a reminder, we
16 request that you introduce yourself by providing
17 your name and affiliation before providing your
18 comment, and you will be provided three minutes
19 to make your comment and then the event producer
20 will move on to the next person in the queue.

21 I'll now turn it over to the
22 event producer to provide any additional

1 instruction and let us know if anyone is waiting
2 to provide comment.

3 **EVENT PRODUCER:** If you would
4 like to make a public comment, please press the
5 raise hand icon at the bottom of your Webex
6 screen. If you are dialed into the phone only
7 line, you can press *2 on your telephone keypad
8 to enter the queue. Or finally, if you would
9 like to enter the written comment, you can paste
10 it in the chat panel on the right side of your
11 Webex screen.

12 **DR. KRISTAL SOUTHERN:** I am not
13 seeing any chat messages. Are there any hands
14 raised?

15 **EVENT PRODUCER:** There are no
16 hands raised in the queue at this time.

17 **DR. KRISTAL SOUTHERN:** Okay.
18 I'll just pause for a moment just in case someone
19 is just looking for the raise hand function. If
20 you are, it's at the bottom of the screen next to
21 the little happy face with a plus, there's a
22 hand. All right. I'm not seeing any

1 hands raised. The one -- one -- we do -- we are
2 a little bit ahead of schedule, which is
3 absolutely fine by me, but I do want to just
4 circle back that if there was anyone who has now
5 since thought of some comments they would like to
6 provide either on the genomics charge or the
7 Cronobacter charge and the updates that have been
8 provided, I'll just pause for a moment to see if
9 there's anyone who would now like to provide
10 those comments or yeah. And then again, if you
11 don't want to provide an oral comment here,
12 again, you can put it in the chat, and we'll make
13 sure that the committee members have record of
14 that.

15 Do we have any hands up?

16 **EVENT PRODUCER:** No, there are
17 no hands in the queue at this time.

18 - ooOoo -

19 CLOSING REMARKS

20 - ooOoo -

21 **DR. KRISTAL SOUTHERN:** Awesome.
22 Well, before we wrap up, I want to share a couple

1 of updates.

2 In January of this year, just a
3 reminder that the most recently completed NACMCF
4 report on Enhancing Salmonella Control in Poultry
5 Products was finalized and published in the
6 Journal of Food Protection. The QR code on the
7 screen will take you directly to the report if
8 you have not already read it.

9 Also, at the top of the
10 meeting, I spoke briefly on NACMCF's purpose and
11 membership selection, and we'll say to you all,
12 are you interested in learning more about the
13 committee's work and how to submit nominations?
14 Well, we'll be hosting a virtual fair. It's
15 still in the planning phases, but we're going to
16 be doing that so you can learn more about these
17 topics and other aspects of the committee. More
18 information is to come on that. We'll have more
19 information on the date and how to register for
20 that virtual fair, and that will be announced in
21 the coming months. So, please be on the lookout
22 for that.

1 And then lastly, you heard a
2 couple of -- during the presentations, you heard
3 our co-chair speak about our next Plenary
4 meeting, and you may have even heard the keyword
5 that there will be an in-person portion of it.
6 So, our next NACMCF Plenary meeting will be
7 Tuesday, September 24th at 10 a.m. Eastern Time,
8 and this meeting will be in a hybrid format with
9 the option to attend in-person in Washington, DC
10 or virtually. As always, a meeting announcement
11 and registration will be announced in the Federal
12 Register closer to that meeting date. So, that's
13 another thing to be on the lookout for.

14 So, those are all of the
15 general updates that we have today. I want to
16 thank our committee members, subject matter
17 experts, and members of the audience for
18 participating in today's meeting. I also want to
19 thank the NACMCF Executive Committee and the
20 NACMCF Secretariat for your support and
21 leadership.

22 And again, if you do suddenly

1 think of some public comments after you've had an
2 opportunity to digest the information received
3 today, feel free to -- even if you want to now,
4 you can put it in the chat -- but there are
5 always ways if you look on the FRN on how you can
6 also submit a -- the Federal Register Notice on
7 how you can also submit written comments to
8 NACMCF.

9 So, I will now turn it over to
10 Dr. Esteban for closing remarks.

11 **DR. EMILIO ESTEBAN:** Well, as
12 always, I'm impressed with the advice that we get
13 from these committees and it's not cheap to
14 manage those committee meetings, but it's money
15 well spent. I always follow those meetings with
16 the impression of how much incredible knowledge
17 is out there that we should always consider in
18 our deliberations. So, thank you very much for
19 all you do.

20 I look forward to our upcoming
21 meetings, and I really, really look forward to
22 seeing you in person later this year. So, thank

1 you very much for everything.

2 Translators and captioners,
3 you're awesome. I don't know how you say awesome
4 in sign language, but you're really good. Thank
5 you very much.

6 Event moderator, thank you very
7 much, and Kristal, I will turn it back to you.

8 **DR. KRISTAL SOUTHERN:** Thank
9 you very much, Dr. Esteban. And we've completed
10 the purpose of today's NACMCF Plenary meeting.
11 We now stand adjourned. Everyone have a good
12 rest of the day. Thank you so much.

13 - ooOoo -

14 (WHEREUPON, AT 2:19 P.M. THE
15 MEETING WAS ADJOURNED.)


1

2

C E R T I F I C A T E O F R E P O R T E R

I, Jemima Brennan, Notary
Public, before whom the foregoing testimony was
taken, do hereby certify that the meeting
transcript is a true record of the testimony
given by said witness; that I am neither counsel
for, related to, nor employed by any of the
parties to this action, nor financially or
otherwise interested in the outcome of the
action; and that the testimony was reduced to
typewriting by me or under my direction.

This certification is expressly
withdrawn upon the disassembly or photocopying of
the foregoing transcript, including exhibits,
unless disassembly or photocopying is done under
the auspices of Hunt Reporting Company, and the
signature and original seal is attached thereto.



Jemima Brennan, Court Reporter