

UNITED STATES DEPARTMENT OF AGRICULTURE

NATIONAL ADVISORY COMMITTEE

ON MEAT AND POULTRY INSPECTION MEETING

SUBCOMMITTEE 1
EVALUATION AND MANAGEMENT OF CHEMICAL HAZARDS
WITHIN THE NATIONAL RESIDUE PROGRAM

Washington, D.C.

Tuesday, January 13, 2015

1 PARTICIPANTS:

2 Moderator:

3 DANIEL PUZO

4 Panelists:

5 MICHAEL CRUPAIN
Consumer Product Safety and Sustainability

6 GEORGE WILSON
7 Wilson and Associates, LLC

8 KRZYSZTOF MAZURCZAK
9 Illinois Department of Agriculture

10 MANPREET SINGH
Purdue University

11 BRIAN SAPP
12 White Oak Pastures

MICHAEL RYBOLT
13 Hillshire Brands Company

14 BETSY BOOREN
15 North American Meat Institute

JOHN MARCY
16 University of Arkansas

17 PATRICIA CURTIS
18 Auburn University

MICHAEL LINK, JR.
19 Ohio Department of Agriculture

20 MARGARET O'KEEFE
21 FSIS, USDA

PATTY BENNETT
22 FSIS, USDA

1 P R O C E E D I N G S

2 (1:26 p.m.)

3 MR. PUZO: (in progress) the Outreach
4 and Partnership Division, who are your hosts for
5 this meeting, and a few of the ground rules that
6 applied in the general session, apply here. So
7 first, state your name and affiliation when you
8 are making a comment, and please mute or put on
9 vibrate all cell phones or electronic devices.
10 We'll take a break at around three, depending on
11 how we're doing in terms of progress. These are
12 normally a little bit more questions than we ask
13 of you, but hopefully, we can get through these,
14 and if we don't, apparently, we have some time
15 tomorrow to catch up.

16 And I think the first order of business
17 is to decide amongst yourselves who you would like
18 to be the chairman or chairperson, and they will
19 present tomorrow to the general session your
20 deliberations and findings and recommendations.
21 So, is there someone eager to assume that role?

22 DR. BOOREN: I'll do it.

1 MR. PUZO: Okay.

2 DR. BOOREN: Based on the look of no one
3 else volunteering down there. (Laughter)

4 DR. RYBOLT: I was going to nominate Dr.
5 Marcy, but he gave me a look.

6 DR. BOOREN: I know he did (Laughter).
7 I saw that look. He looked up at me.

8 MR. PUZO: Okay, great. Thank you. And
9 we need a second person to come up here and to
10 capture your discussions, not as a transcript. We
11 have our court reporter here. But just in bullet
12 points, how you're going to address each of these
13 questions, which are all on the screen and we'll
14 project as we take them on one at a time.

15 Let's have one speaker at time, so
16 there's no overlapping, which is especially
17 important for our court reporter. The public is
18 invited and is here, and they are invited to
19 speak, if they raise their hand at the chairman's
20 discretion, and I'm here to facilitate and move us
21 along. As I said, we have seven questions, eight,
22 maybe, and we only have three hours to cover them

1 all. We will take a break at 3:00, as I mentioned
2 earlier.

3 And so, let's cover one subject at a
4 time, and if things drift, then I'll hopefully get
5 things back on course, and I'll give you a
6 breakdown as the time elapses and we move forward.
7 So, who's -- Natasha?

8 MS. WILLIAMS: Just so you know, for the
9 public, in order to be on the transcript, we'll
10 need you kind of close to the mic. So, if you do
11 have a comment, just float over there close to Dr.
12 Booren or Meg, and they're very friendly. They'll
13 be more than willing to share (Laughter) and you
14 can record your comments for the record.

15 MR. PUZO: Great. So, I guess Madam
16 Chairperson, would you like to --

17 DR. BOOREN: Sure.

18 MR. PUZO: -- stay where you are or come
19 up here? What would be your preference?

20 DR. BOOREN: Yes. Do you want to --

21 SPEAKER: I'll go up there and do that.

22 (Simultaneous discussion)

1 MR. PUZO: Michael is going to be the
2 scribe.

3 (Discussion off the record)

4 MR. PUZO: Oh, and I forgot to mention
5 that Dr. Patty Bennett and Margaret O'Keefe are
6 here. If there's any clarifications you want on
7 the presentation or the collections themselves,
8 and as you ask them, their comments will also
9 become part of the record. So, we can begin.

10 DR. RYBOLT: Are we going to wait for
11 her, or do you want me to --

12 DR. BOOREN: I would say let's sort of
13 go through them, and -- I've found when we go
14 through these types of things, we sort of get into
15 a rhythm. We may be jumping back and forth, but
16 let's just start at the beginning. And I would be
17 interested in people's insights. Michael, does
18 that work for you?

19 DR. RYBOLT: Yeah. Or first, are there
20 any other questions --

21 DR. BOOREN: Yeah.

22 DR. RYBOLT: -- anybody has from the

1 follow up question for Patty or for Margaret from
2 the follow up?

3 DR. Bennett: Or Naser. I'd like to
4 introduce the statisticians infinitely involved
5 with our program. So, this is Mr. Naser
6 Abdelnajib, and he actually responded to Betsy's
7 one question. Hopefully, that was enough. And he
8 can really help with the questions about the
9 algorithm. I think there were some questions
10 about that, and he really is our data entry person
11 - do that for you.

12 MS. O'KEEFE: And just to clarify
13 something. Dr. Bennett had a question. When Dr.
14 Bennett presented the numbers about the samples,
15 like the 5,000 that were positive and the thousand
16 that confirmed positive, there could very well
17 still be a drug in the other 4,000 or not. It's
18 just looking at the violative level.

19 So, don't think that there was nothing
20 in those samples, because -- you know? So just --
21 and we would use that for like exposure or
22 something like that. We would know, okay, well,

1 look, the producers, they are using it. So, it's
2 not a violative level. But that's also valuable
3 information. So, just don't think when those --
4 that there was absolutely nothing in those other
5 samples that went.

6 MR. PUZO: Okay, well starting
7 immediately, you need to say your name and
8 affiliation for the record.

9 (Discussion off the record)

10 MS. O'KEEFE: The 5,000 samples that are
11 --

12 DR. BOOREN: Say your Meg O'Keefe.

13 MS. O'KEEFE: Oh, Meg O'Keefe. Margaret
14 O'Keefe.

15 (Discussion off the record)

16 MS. O'KEEFE: Margaret O'Keefe, FSIS,
17 USDA.

18 DR. BOOREN: Okay, good.

19 DR. MAZURCZAK: It's Krys Mazurczak,
20 Illinois Department of Agriculture. I have a few
21 questions asking for clarification.

22 On slide 15, one of the points is that

1 Major slaughter classes eligible for
2 Inspector-generated testing. What about minor
3 subspecies? Can they be a subject for inspector
4 generated testing?

5 DR. BENNETT: So, this is Patty Bennett
6 with FSIS. What usually happens is, if for some
7 reason the KIS test isn't approved for that
8 particular species, then an inspector would simply
9 just collect the sample, send it on to the labs,
10 and then the labs could go ahead and run the
11 confirmatory tests, which are better, anyway. I
12 mean, the KIS screen is only as good as it is.
13 So, that's what they usually do.

14 DR. MAZURCZAK: Okay.

15 MS. O'KEEFE: And this is Margaret
16 O'Keefe. Another example of that would be if the
17 inspector were to suspect beta agonists. That's
18 not -- so if they always have the opportunity to
19 collect that sample to see whether --

20 DR. MAZURCZAK: Okay. I have a couple
21 more questions. Still Krys Mazurczak, Illinois
22 Department of Agriculture.

1 One of the charge questions is if FSIS
2 is allocating the right proportion of samples for
3 domestic versus the import program. I simply do
4 not know the numbers for the import coming to the
5 U.S. to make this determination. So, could you
6 kindly provide that background? Are we talking
7 about major species or minor subclasses? And how
8 this falls.

9 MS. O'KEEFE: Which one is that? For
10 the scheduled program? It's that we allocate 800
11 samples per production class of the nine that you
12 saw, except for steers and heifers. They get 400
13 each.

14 And based on that level, historically,
15 if epi-science presumes a 1 percent violation
16 rate, we're like over 98 percent confident that if
17 there is a violation, we will find it. So that's
18 where -- and this is in CODEX -- it's a standard
19 table. That's where the 800 comes from, when we
20 talk about allocating the samples.

21 DR. BENNETT: Okay. I think what you
22 actually need is --

1 MS. O'KEEFE: I'm sorry.

2 DR. BENNETT: -- how much poundage do we
3 get in from imports.

4 DR. MAZURCZAK: Correct.

5 DR. BENNETT: Is that what you're
6 saying?

7 DR. MAZURCZAK: And what kind of species
8 we are talking about, because that's kind of you
9 know -- it will help us to understand the whole --

10 (Simultaneous discussion)

11 DR. BENNETT: And my apologies, I
12 actually had --

13 MS. O'KEEFE: For imports?

14 DR. MAZURCZAK: For imports, yes. So
15 you know, beef versus pork versus, I don't know,
16 sheep -- lamb. How it will -- how will the
17 numbers will fall.

18 DR. BENNETT: Right. I actually have
19 that upstairs. Let me see. And my apologies. I
20 thought I brought everything back down with me,
21 but I can go back and get it.

22 (Simultaneous discussion)

1 DR. RYBOLT: Patty, I was going to ask
2 if -- because that wasn't directly one of the
3 questions. So if you guys --

4 DR. BENNETT: Yep.

5 DR. RYBOLT: -- Tom to get that number.

6 DR. BENNETT: Yep.

7 DR. RYBOLT: And then when we get to
8 that discussion point or that question, then we
9 can provide that information to help educate the
10 answer. Does that make sense?

11 DR. MAZURCZAK: Yes.

12 DR. RYBOLT: Did you have other
13 questions?

14 DR. MAZURCZAK: Well, yeah. I think
15 somebody mentioned it's another charge about
16 allocating the right proportion of samples, and it
17 was mentioned using algorithm. Can we have a
18 little bit more explanation about what drives
19 algorithm? It's data derived from PHIS slaughter
20 data, et cetera, et cetera.

21 MR. PUZO: Okay.

22 DR. RYBOLT: Yeah, let's wait -- because

1 I have one on that, as well, so let's wait until
2 we get to that particular question.

3 DR. MAZURCZAK: Okay. Thank you.

4 DR. RYBOLT: And then we'll -- and that
5 will give them time to get some answers for us.
6 Perfect. So you want to -- oh, I'm sorry. Go.

7 MR. SAPP: Brian Sapp, White Oak
8 Pastures. I do have one more question. It looks
9 like when you're scheduling these tests,
10 especially the screen test -- not the screen
11 testing, but the targeted test, the major
12 slaughter facilities are probably being tested
13 more, because they're processing more animals.

14 The way I kind of see things is, smaller
15 facilities that are bringing in animals that are
16 usually not under the care of a veterinarian, may
17 see higher incidences of you know, some kind of
18 contamination. I guess what I'm saying is, if
19 you've got cattle in a feed lot that are being
20 looked after by a veterinarian, it seemed to me
21 like those incidences of you know, meeting
22 withdrawals or something may be less than if you

1 have a smaller facility sourcing cattle from you
2 know, farmers that are not under the care of a
3 veterinarian.

4 Do you have numbers of -- you know,
5 maybe percentages of you know, tests in small
6 plants, test in very small plants, or tests in
7 large plants of how those -- your percentage of
8 positives within those plants.

9 (Discussion off the record)

10 DR. BENNETT: I think -- this is Patty
11 Bennett with FSIS. Just because you used some
12 words -- so, I think what you mean is the
13 scheduled program that we actually generate from
14 headquarters. So, it's kind of the random that's
15 not targeted. Right? That's really what you --

16 MR. SAPP: Yes, ma'am. That's correct.

17 DR. BENNETT: So what he really wants
18 is, when you get the scheduling algorithm or if it
19 divides out by again large, small, very small, and
20 then associated positive/violative --

21 SPEAKER: (Inaudible)

22 MR. PUZO: Hi. You need to speak into

1 the microphone, please.

2 SPEAKER: Regarding the algorithm, it's
3 volume driven, and it also depends on the number
4 of plants associated with each animal class.

5 So when you associate, for example, 800
6 samples for bob veal, or the number of bob veal
7 plants are much -- way less than dairy cows. But
8 those numbers, again, it's allocated by volume,
9 which means plants that produce a lot more
10 animals, they are highly likely to receive
11 multiple requests or even requests compared to
12 lower level plants.

13 DR. BENNETT: And so you understood too,
14 what he said where -- and I'm going to -- these
15 numbers are coming off the top of my head, but you
16 can correct it. If there are 50 plants that
17 primarily slaughter dairy versus maybe 300 plants
18 that slaughter hogs, so it's still 800 samples
19 allocated across the dairy plants, the market hog
20 plants and then the more they produce, the more
21 likely they are going to be to get to a sample
22 request.

1 So, we can in that sense -- so doing it
2 for each slaughter class, how long would it take
3 you to pull that up for them, for them to see the
4 numbers?

5 SPEAKER: With respect to what we
6 schedule or what we test?

7 DR. BENNETT: Right. Just schedule.

8 SPEAKER: Well, we don't do the
9 scheduling ourselves. It's only IFP who does the
10 scheduling.

11 DR. BENNETT: Right. But can you pull
12 up what's been allocated?

13 SPEAKER: Sure. We can do that.

14 DR. BENNETT: Okay. Now, how helpful
15 would -- is that what you're -- would that be
16 helpful, if we said -- you know, again, it does,
17 it just depends. But I don't know -- and it's not
18 like we would say, well, 50 percent of all
19 sampling allocated to a certain slaughter class is
20 going to go to the large, and then the rest of the
21 percentages will meet out between the other two
22 classes. It probably is variable from slaughter

1 class to slaughter class.

2 SPEAKER: Right.

3 DR. BENNETT: Is that useful information
4 for you to have, to know exactly -- well, more or
5 less approximately how samples are across the
6 different slaughter classes? I mean, what
7 actually would be helpful for you?

8 MR. SAPP: Brian Sapp, White Oak
9 Pastures. Let me -- I guess what I need to do is
10 clarify just a little bit. So, I guess what I'm
11 saying is, you're testing more in larger
12 facilities, but typically, your larger facilities
13 would be sourcing -- I'm just going to use cattle,
14 for instance -- would be sourcing animals that
15 have been under veterinarian care at a feed lot or
16 at you know, some kind of facility.

17 DR. BENNETT: Mm-hmm.

18 MR. SAPP: When you get into the very
19 small facilities, those smaller facilities are
20 sourcing cattle from you know, different places,
21 that those animals were not under veterinarian
22 care. So, is there an instance where very small

1 establishments may have a higher percentage of
2 positives, but we're not capturing that, because
3 we're not testing as much in the very small
4 facilities?

5 (Simultaneous discussion)

6 DR. BENNETT: Right, because exposure is
7 more important than it is passive size. So,
8 Naser, can you -- if you did it for I mean, a
9 couple of like maybe market hogs versus, I don't
10 know, maybe bob veal or dairy? I don't know. Or
11 maybe beef and market hog. Maybe just do a couple
12 of classes, and just go, you know, here's how the
13 allocation fell out relative to the violations
14 that we found?

15 And then -- because I see what you're
16 saying. So right now, for us, exposure has been
17 the most important -- has been the driving force
18 for the algorithm. It's not the only thing, but
19 it certainly is the most important. And you might
20 be asking us to reverse and say, is it violations
21 found.

22 But the other thing is, is I don't know

1 how useful that will be, in the sense that if you
2 look at the 800 count allocation, is that again
3 out of the -- say we actually collected 6,500
4 samples in that program, we find 20, 25
5 violations. You know? And is it more useful,
6 perhaps, to pull it out of the inspector generated
7 program? And even then, it might be biased,
8 because that's driven by the PHVs, and so it's
9 more about their training and what they're seeing
10 and the quality of animals.

11 And I don't know that even if we tease
12 that out by passive size, if it is a fair
13 correlation to make that the only reason -- you
14 know, like what the reason is for inspectors to
15 pull for KIS testing, if that makes sense. Like
16 yeah, I guess the derivation of the animals versus
17 something else in these processes that they're
18 seeing.

19 So, I mean, if you think it's important,
20 that's great. But I just want to make sure what
21 you think would be helpful in looking at that kind
22 of allocation.

1 DR. BOOREN: Can I respond? This is
2 Betsy Booren with the Meat Institute. I think
3 that's what my question was in the larger session.
4 It was trying to understand -- why we're trying to
5 better understand the domestic through the import.
6 I'm looking for some sort of checkpoint of -- it
7 would be, I think that inspector drive, because
8 it's going to give us an incidence of, if they see
9 something, do we perhaps need to tweak the
10 algorithm for the standard domestic sampling --
11 are we tracking the right establishments?

12 We might see certain trends. That's
13 what I was trying to figure out. Are there
14 stories to be told within the inspected generated
15 samples that perhaps show certain classes, whether
16 it's species or certain types of establishments
17 that may be being missed on your domestic, and can
18 you adjust for that in the next year?

19 DR. BENNETT: Okay, so I want to say
20 something. So again, this is Patty Bennett with
21 FSIS.

22 So, here's one thing that I would like

1 you to consider as a committee, is that really,
2 when you think about the inspector generated
3 program, it really is our veterinarians looking
4 for veterinary drug abuse. Right? Either it's
5 the type of animal -- a dairy cow who may have
6 been treated for mastitis, nutritis or laminitis,
7 because of the work that we do with these animals;
8 or again, bob veal, because we understand the
9 husbandry practices.

10 But please, remember that those aren't
11 the only chemical hazards that we worry about in
12 this program. I mean, that's an easy one.
13 There's tolerances. We have violations. That's
14 easy. But that's only part of the story. And
15 yes, we don't find many pesticide violations, but
16 to me, I think the purpose of the surveillances --
17 of all of the chemical hazards we might worry
18 about, how do we look at it in such a way that if
19 there was something out of play, that we would
20 capture it.'.

21 And if you come back to me, and you say,
22 well Patty, the most important thing is probably

1 veterinary drugs, okay. Then that's your
2 recommendation. But I think -- and with our
3 inspectors, I think like looking to see whether
4 there was pesticide abuse, that might be really
5 hard for them. Unless they actually saw the
6 person -- in this one case that we had, they were
7 literally spraying the pesticide before they
8 slaughtered the animals. Okay, that was easy.

9 But in other situations, they may not --
10 like we talk about how the animals were raised.
11 They may not have that information to say, oh,
12 these animals were raised in a situation where
13 they might have been exposed to x, y or z. So,
14 that's something else that I'm just going to put
15 out there to consider when you're thinking about
16 sample allocation.

17 MR. PUZO: Let's take the committee
18 members first, and then we'll go to the public.

19 DR. RYBOLT: Yeah, I was going to let
20 Kryzs, and then I'll come to you.

21 DR. MAZURCZAK: Kryzs Mazurczak,
22 Illinois Department of Agriculture. A few things.

1 First, I have clarification regarding
2 collecting samples from very small establishments.
3 All state inspected plants are participating in
4 the national residue program. That means that on
5 a regular basis, monitoring samples are being
6 scheduled and collected at those plants. I'm not
7 sure, though, how this information is being
8 collected and captured in the headquarters -- FSIS
9 headquarters.

10 But at least speaking on what we had in
11 Illinois, on average, we have probably, out of 44
12 states and other establishments, three or four on
13 a monthly basis selected for collecting residue
14 samples. In addition to it, there is also a
15 requirement that all state programs will have to
16 follow that during a state fair season, we have to
17 collect a certain number of residue samples from
18 show animals.

19 And just to give you an example, again,
20 on an annual basis, and this is a seasonal event,
21 I think last time, we had around 180 residue
22 samples collected in Illinois. So, the monitoring

1 on the inspection side at a very small glance, I
2 would say, is at equal level with FSIS. So, that
3 was just a clarification for my end, maybe adding
4 a little bit more to the background.

5 And I have a question going to back to
6 the overall big picture of monitoring. Is there
7 any link that will kind of align consumer
8 preferences and quantity of purchase, meat and
9 poultry products classes versus, for example, you
10 know, with allergic lately -- well, not lately,
11 but with concerns related to dietary requirements.
12 People were switching to the white meat, and
13 obviously, pork was getting into the place, being
14 purchased more frequently, and the pricing also is
15 a factor.

16 So, I'm asking, is there any attempt to
17 link what is purchased by consumers in the largest
18 amount versus overseeing, you know, and making
19 sure that scheduling sample is adjusted? Is
20 anybody linking those two things? I was kind of
21 surprised to know the reason why the bob veal was
22 targeted so much. Maybe because you know, like we

1 do not slaughter this class, period. But again,
2 it was a question from my end, you know, how the
3 numbers of scheduled residue samples relate with
4 what's being purchased by the consumers.

5 DR. BENNETT: Okay, that's a great
6 question. Would you like -- Naser, did you want
7 to comment? This is Patty Bennett with FSIS.

8 Did you want to comment on his -- the
9 state sampling the show animals?

10 MR. ABDELNAJIB: I'm not familiar with
11 the show animals. I could talk about the state
12 sampling, but not the show animals.

13 DR. BENNETT: Okay. Well, the show
14 animals is just simply, again, another targeted
15 program. We do have requirements for a level of
16 testing, and so it's just part of when show
17 animals come to plants, our inspectors know to
18 pull so many numbers of animals relative to what
19 shows up at their doorstep, and those animals are
20 just -- those samples are sent immediately to our
21 labs where they're just tested.

22 Because again, what are they? They're

1 the sheep and the goats and the lamb or whatever.
2 But whatever is the show -- sorry, I wasn't 4H
3 (Laughter). But I assume there are 4H animals
4 that go to the thing. And so, we do have a level
5 of collection. And you're right, I mean, we see a
6 spike in the spring and the summer, because that's
7 when -- or maybe the fall, I guess, because that's
8 when people have their shows. But Naser can speak
9 to our state sampling, which is captured in our
10 program.

11 MR. ABDELNAJIB: As far as the state
12 scheduled samplings, they also run through a
13 similar algorithm. It's volume based, and I
14 believe we have a plan from 21 to 25 states only,
15 and we have received -- we would capture the
16 volume information and we allocate samples similar
17 to federal plans. So, the algorithm doesn't
18 change significantly.

19 DR. MAZURCZAK: But in your -- I'm
20 sorry.

21 MR. ABDELNAJIB: Sure.

22 DR. MAZURCZAK: Krzys Mazurczak,

1 Illinois. In your database, can you distinguish
2 those numbers from -- are we just going by the
3 size of the plant -- by those we make distinctions
4 federal versus state?

5 MR. ABDELNAJIB: For the state plans,
6 the allocation is separate from the federal plans.
7 Okay?

8 DR. MAZURCZAK: Okay. So that's --

9 DR. BENNETT: So we can't tease out.
10 And your other question -- this is Patty Bennett
11 with FSIS. Relative to final product.

12 So, with the chemical testing program it
13 has been emphasized as policy for quite some time,
14 and I don't know how long, that we sample at the
15 carcass level. So, we do not go further
16 downstream to say you know, we're going to do
17 ground beef, and ground beef might be some bob
18 veal, maybe dairy cow or something like that, or
19 you know, that the beef cow turns into choice cuts
20 because they're younger animals or something like
21 that.

22 So, that is not part of our program, but

1 certainly that we could be open to. And really, I
2 would just say it's precedent right now. So, what
3 I had been told when I started with the program
4 was just that people felt that if we start
5 upstream, then if we test at the carcass level and
6 we find that the carcasses are clean, then it
7 shouldn't be a problem when we go downstream. So,
8 that has been our policy --

9 MS. O'KEEFE: I think the rationale --

10 DR. BENNETT: -- 10, 15 years, maybe.

11 MR. PUZO: Dr. Marcy?

12 DR. MARCY: John Marcy, University of
13 Arkansas. You know, in relation to the question
14 about your approach in your program, you know, it
15 strikes me that you're looking at actually,
16 multiple programs that -- your domestic and your
17 import. You're trying to baseline (Laughter) it,
18 and you know, you use the term random. And
19 evidently, it's not totally random.

20 DR. BENNETT: Right. Exactly.

21 DR. MARCY: You know, it's stratified
22 based on class. And I guess what I would like to

1 think is you know, you can tell us whether or not
2 you feel like you're getting an adequate
3 representation depending on -- so you make an
4 inference to the whole class of your domestic
5 production. And that's separate from your
6 inspector driven --

7 DR. BENNETT: Mm-hmm.

8 DR. MARCY: -- which is certainly biased
9 sampling, you know, based upon their judgment.

10 DR. BENNETT: Right.

11 DR. MARCY: You know? And actually, it
12 shouldn't be comingled with inference data from
13 your other --

14 DR. BENNETT: Right. So, this is Patty
15 Bennett from FSIS.

16 So, here's our issue. Because a lot of
17 people will come up to us and go -- and even
18 people within the agency, and say, well, most of
19 the violations are in the inspector generated
20 program, so we should allocate even more samples
21 and force that program, at the expense of -- and
22 maybe do less of something else.

1 And so, I think that's one of the things
2 -- and I did say that during my presentation, is
3 that we're very cognizant that this isn't
4 limitless. I mean, I can't go, please tell me --
5 you can certainly say, hey, you should allocate a
6 hundred thousand samples for residue. Like great,
7 thank you very much.

8 But it does have to be balanced with the
9 pathogen sampling that goes on with this agency
10 and anything else that we do. So, we're very
11 careful about that. And I think we are certainly
12 open -- if you came back and you said, well, so
13 let's add the numbers. So, it's probably what, 15
14 -- 12 to 15,000 samples maybe for our program? I
15 mean, there are programs we didn't talk about, but
16 the basic one is maybe 15,000. Right?

17 You could come back and say, you know,
18 Patty, I don't think that you can really evaluate
19 your program under 20,000 or 25,000. Okay. Tell
20 me why. And that's certainly something I can
21 bring back to my management. But I think what I
22 am interested in you saying is, so tell me what's

1 important. Do you think the surveillance is -- I
2 mean, how important do you think it is, kind of
3 having your fingers on the pulse of the animals
4 that we produce? Is that important to you?

5 Or, do you think that, no, chasing after
6 the dairy cows or the bob veal or you know,
7 whatever -- old sows, that that's more important,
8 because we eat a lot of meat. Okay. Or even the
9 import. So, we understand that import is re-
10 inspection. Right? So, we shouldn't be doing the
11 job of the countries who are bringing and selling
12 us their product.

13 But I think a good question, is 1,300
14 samples enough with -- is that 33 billion --

15 MS. O'KEEFE: Billion?

16 DR. BENNETT: Three billion pounds of
17 product. Is that enough? And before, we had
18 3,000 samples. Was that enough? And now, we have
19 about 1,500. So I think again, and just in
20 generalities, can you give us some advice on other
21 things that we'd worry about? Is that good? I
22 mean, maybe it's too much. Right?

1 Maybe you go look. It's not our job to
2 re- inspect. We should just -- you know,
3 whatever. I mean, that's what we're here for.

4 (Discussion off the record)

5 MR. PUZO: If you have a comment, can
6 you please sit in of these two chairs and speak
7 into the microphone?

8 (simultaneous discussion)

9 MR. FROST: Okay. So, it's Jason Frost
10 here with the New Zealand Embassy. And I find
11 this conversation or the whole meeting just
12 spectacularly interesting on a number of fronts.
13 A bit of a background. About 25 years ago, I
14 worked in our residue program back in New Zealand,
15 and a lot of the stuff that's being discussed,
16 we've all been through back in New Zealand, as
17 well.

18 And we had a group of scientists, as I
19 was the non-scientist in the room, and all in the
20 group, and you know, had to write the letters to
21 the farmers when there was known violations and
22 things like that. So, a lot of the stuff that's

1 being said is really quite interesting from a
2 historic perspective, but also, exactly the issues
3 that we are grappling back in New Zealand in terms
4 of residues.

5 We're one of the biggest exporters here,
6 obviously. Well, you know, agriculture is our
7 thing back in New Zealand. We export to 160
8 markets. And so, our residue program is a key
9 component of the assurances we're providing not
10 only to our consumers as a food safety regulatory
11 authority, but also, to the markets we're
12 exporting to.

13 And so, a lot of the stuff you talk
14 about here seems to be a bit more focused,
15 perhaps, on public health, which again, we're
16 involved with. But we're also involved in the
17 exports of things, and so a bit of perspective
18 there. We do actually have a very, very
19 comprehensive residue program in New Zealand. And
20 I know you talk about the 3 billion pounds worth
21 of product exported here, but I know of those
22 countries, Australia will have a very

1 comprehensive residue program, as well.

2 In fact, we have to do it. In the
3 meeting, the early part of the meeting, we talked
4 about the international affairs and equivalents,
5 and a major component of that over the years has
6 been having a residue program. If you don't have
7 a residue program, you don't export here. And
8 we're grappling with the same thing as you guys.
9 Resources.

10 You know, where do you target your
11 sampling? Is it at the dairy cows? Is it at
12 bobby veal? All the same stuff that Patty talks
13 about here is exactly what we're grappling with.
14 The question I have on this, and I know Tony Corbo
15 is going to question me later on, on this
16 (Laughter). But I ask you, and obviously, 20
17 years, I'm married to an American, so I have a bit
18 of another side on it all (Laughter). It's all
19 good that way. And where do you best put your
20 resources? Is it really -- you know, if we're
21 doing the testing in New Zealand, which we have to
22 submit every year to FSIS, and you can come and --

1 we do a lot of exchanges in Patty -- something
2 that I've picked up on today is certainly -- and I
3 was talking to Bob about it, what a great exchange
4 it would be. We used to have a bit more exchange
5 between the residue people in New Zealand and
6 yourself, and --

7 DR. BENNETT: If you're offering me a
8 trip to New Zealand, I'm all over it (Laughter).

9 MR. FROST: I know --

10 DR. BENNETT: I'll talk to my boss
11 immediately (Laughter).

12 MR. FROST: I'll talk to Al. But we do
13 -- you know, there's always been a strong
14 component in here about exchange and stuff. And I
15 know maybe you guys that run the table don't
16 probably comprehend that we do -- we have a
17 massive amount of testing going on. I really
18 don't care if you test the port of entry. You
19 know? We're probably the same profile as you.

20 We've got a couple of -- you know, there
21 will be instances where we have some farmers that
22 are dairy -- we've been on dairy, so there will be

1 the odd instance -- and I still remember these,
2 where the farmer basically made a screw up;
3 treated his cow. Soon after slaughter, we picked
4 up on it. All those levels of confidence that you
5 were talking about before, that would be very
6 similar to what you have.

7 And so, the only thing that I put on the
8 table here is, you know, I don't expect to see
9 you're not going to test them. Also, I know this.
10 Tony's here (Laughter). But you know, just think
11 about that. We do a lot of this testing. And
12 what are you going to get out of it by testing
13 anything more? If they are not -- if our
14 compliance rate starts dropping or if in New
15 Zealand, the way we approach things is the
16 government of New Zealand -- if we had started
17 finding problems you know, on a big scale, we'd
18 actually start coming to you guys and talking
19 about it, or we'd stop exports. And we've done it
20 in the past for certain producers and certain
21 farmers.

22 We trace back all the way back to the

1 farm. So, these are just a whole lot of things
2 which I could sit down and talk about as Patty
3 could probably, for days and days and days. But
4 before you go off thinking that testing more on
5 imports is a good idea or a bad idea, it's
6 probably about where you're putting those
7 resources on the imports as it is what you're
8 doing domestically.

9 That's more important than just lumping
10 a number out there going 1,500. And I don't know
11 how you allocate because it wasn't explained how
12 the international group allocates the samples from
13 New Zealand. Maybe they're not doing anything in
14 New Zealand. But I know they are, and we've never
15 been advised of a residue -- a level that's beyond
16 -- that's a violative.

17 I've been here 20 years, and I think
18 once in 20 years, we've been notified that there
19 was a -- and I'll just wrack my brains. I've got
20 a whole file of residues, and it's -- you know, so
21 anyhow, just those are things just to think about
22 from an international perspective, because I know

1 there's no one else to represent us. Tony, you're
2 next. Thanks very much.

3 MR. PUZO: Hi, this is Dan Puzo, the
4 moderator. Tony, we're anxious to hear what you
5 have to say, and we will in a second, but we've
6 been doing this 45, 50 minutes, and it's been
7 fascinating and great questions and commentary,
8 but we haven't answered one question.

9 SPEAKER: Yeah.

10 MR. PUZO: So, maybe these comments and
11 questions that are coming up can start falling
12 into the questions that we need you all to
13 deliberate upon, and then go forward. We have two
14 committee members that want to ask a question or
15 comment.

16 Tony, you were addressing which aspect?

17 MR. CORBO: What I wanted to -- Tony
18 Corbo from Food and Water Watch.

19 I filed a FOIA a number of years ago
20 dealing with the residue program, and it was
21 precipitated by an import issue, because one
22 country -- we actually suspended all of the

1 imports because of a systemic issue with residue
2 testing. But what I found fascinating, when I got
3 the results, I asked the agency to give me a list
4 by plant of the residue violations.

5 And what was interesting was, what
6 showed up, the top two were bob veal plants. But
7 then, and I'm going to name names, of the top 20
8 plants that had residue violations, a little plant
9 by the name of Rancho Feeding showed up. This was
10 from 2011.

11 So, my question is, I mean, we've raised
12 the issue of small plants versus large plants.
13 How did that come about? You had a relatively
14 small plant, and it had -- it specialized in
15 culled dairy. So, how was that determined that
16 you were going to sample that plant over some
17 other plant?

18 DR. BENNETT: So, this is Patty Bennett
19 with FSIS. Tony, don't go. So, let me ask you,
20 with the FOIA results, it was all violations?

21 MR. CORBO: These were violations.

22 DR. BENNETT: Okay, so because again,

1 what we talked about before is, we find very few
2 violations in the scheduled program. Primarily,
3 they're in the inspector generated program.

4 So, my first guess, without looking at
5 your request, would be that this plant was
6 targeted. What did they slaughter? Bob veal?

7 (Simultaneous discussion)

8 MR. CORBO: They slaughtered --

9 DR. BENNETT: Primarily?

10 MR. CORBO: -- culled dairy.

11 DR. BENNETT: Culled dairy.

12 MS. O'KEEFE: Culled dairy.

13 DR. BENNETT: Okay. Again, and I've
14 thrown out some numbers earlier -- I'm going to
15 guess now sort of 50 -- 97,000 screens get done --
16 almost a hundred thousand screens get done with
17 dairy cow every year. So, it doesn't surprise me
18 that it would have either been a dairy cow or bob
19 veal, because they are very highly sampled
20 slaughter classes.

21 And again, it's for the things that I
22 talked about. You know, we know that a dairy cow

1 might have a life of six to seven years, and so --
2 and I heard this from a dairy producer a couple of
3 years ago, was that these animals are valuable, as
4 long as they produce milk. If their volume drops
5 after a certain level, you have to sit there and
6 decide, okay, is it worth treating her to get her
7 back up to that volume or not, and send her to
8 slaughter?

9 If I treat her, what I don't want her to
10 do is die on my farm. This is coming out of the
11 dairy producer. I didn't say this. I don't want
12 her dying on my farm. So, even if I haven't met
13 the withdrawal times, I'm going to take a gamble
14 and say better to send her to slaughter while she
15 can stand, because of the downer cow rule. Right?
16 Unintended consequences.

17 I sent her to slaughter. She still has
18 Seten Pure, penicillin, whatever you want her to
19 have her in her, and I'm going to cross my fingers
20 that she's not the cow that they're going to
21 sample. Oh, but wait, she is (Laughter). And so
22 that's what I hear from producers, and I do

1 understand the economics. Right? Better to get
2 \$1,500 out of her and cross my fingers than have
3 her dead and have to call EPA and wonder how I'm
4 going to bury her. And I think those happen all
5 the time, Tony, and that's where I think where --
6 and maybe that's the thing about with the
7 inspection generated samples, is that you know, we
8 understand that these are heavily used animals.
9 They have a purpose, and we want to keep them
10 functional as long as possible. And then, it
11 becomes a gamble of how much to treat before you
12 call it.

13 And so, that's where I think we see a
14 lot of the violations with dairy cows. Right?
15 It's that they choose not to have the luxury of
16 time withdrawing them and then pasturing them.
17 And so, without knowing any more information on
18 that rancher, if they're dealing with dairy cows
19 because they buy them at sale barns or wherever,
20 then it is a bit of a crap shoot. You know?
21 Because to me, it's like if you're not testing at
22 a certain level, then no telling what's going into

1 the market if we're not testing every dairy cow.

2 I don't know if that's helpful or not.

3 SPEAKER: Definitely.

4 DR. BENNETT: But Dan, again, this is
5 Patty Bennett with FSIS. Before we go anymore, I
6 just want to know, because he can't do this in two
7 seconds, if it is valuable for Naser to go and
8 divide out by size, we need to know now.

9 MR. SAPP: It's all here.

10 (Simultaneous discussion)

11 DR. BOOREN: Well, I've asked a couple
12 of questions. I'll defer and then come back.

13 DR. BENNETT: Okay.

14 DR. RYBOLT: Now, remember, we're -- in
15 2 1/2 hours, we have to have this thing completed.
16 So I'll say, Mike, if you got -- or Michael --
17 let's try to get answers to the first question.

18 MR. LINK: Go ahead.

19 DR. BENNETT: Okay.

20 MR. LINK: I'll pass right now.

21 DR. RYBOLT: Okay.

22 DR. BOOREN: My question is -- Betsy

1 with the Meat Institute, and then I'm ready to get
2 in the weeds.

3 DR. RYBOLT: Yeah.

4 DR. BOOREN: And one of the things we
5 haven't discussed is the practicality of what's
6 actually going on in establishments. How long --
7 if a sample is culled, whether it's inspector
8 generated or in the standard domestic or import
9 sampling, how long before the establishment or the
10 importer receives a result from FSIS so they can
11 make business decisions?

12 MS. O'KEEFE: And that's -- this is
13 Margaret O'Keefe, FSIS.

14 And that is completely dependent on what
15 they find. If it's a non-detect, negative, it's
16 like three to five days. If it has to go through
17 the confirmation procedure, the analytical
18 procedure to confirm it, that adds more time. If
19 it is a drug with a tolerance, then, it has to be
20 quantitative.

21 So, it truly depends on what the result
22 is. It can be three to five days. But it can be

1 10, if it has to go through the confirmation. Now
2 remember, they're not all -- not everything has to
3 go through the confirmation process, but it is
4 dependent on what they find and where.

5 DR. RYBOLT: I would say about two
6 weeks.

7 DR. BENNETT: Yeah.

8 DR. RYBOLT: They're at a definitive
9 level.

10 (Inaudible) more about.

11 MR. PUZO: Maybe we could jump ahead to
12 question two, part A. It seems like that has been
13 the discussion to this point. Maybe you all can
14 come to a consensus, or at least if there are
15 dissenters, they can express their views on that.
16 But it seems like in the past hour, we've covered
17 that. So, maybe we can say now, do we have the
18 right before you? We have our friend here from
19 New Zealand who gave his perspective. So,
20 committee members, where do you all land?

21 MR. PUZO: So the question is, is FSIS
22 -- well, the first part of the question is, does

1 the committee agree with how FSIS allocates
2 samples across the current national residue
3 program sampling structure? Is FSIS allocating
4 the right proportion of samples for the domestic
5 versus the import program?

6 DR. BOOREN: I'll kick it off.

7 MR. PUZO: Thank you.

8 DR. BOOREN: If no one else -- I have no
9 problem starting.

10 I think the process of what is being
11 done, especially for the Meat Institute, is a good
12 process and it's a great backbone. I think the
13 importance of domestic and import, I like the idea
14 of weighted volume. I think that's very telling,
15 and I think there's further delineation that can
16 be done within the weighted volumes per species.

17 The import program, I think is going to
18 be a very delicate balance between ensuring that
19 equivalence is being met with your international
20 office. And I think as testing is going through,
21 when I listened to Jane this morning, she talked
22 about certain international countries that

1 perhaps, are at different levels of equivalence.

2 My personal recommendation is that a
3 program is -- a process is developed that if you
4 have certain countries that are certain levels of
5 equivalence, that there are stratified sampling
6 programs. For instance, Canada. And this --
7 wanted on the record, I have nothing against
8 Canada (Laughter), because I have members in
9 Canada. But let's assume Canada drops down in
10 equivalence.

11 They should have a more -- like a
12 weighted volume. They should have a higher
13 incidence of a certain type of testing until they
14 change their equivalence status. If it's good, it
15 changes. I think that allows the equivalence of
16 the international programs, and it also gives us
17 equal balance as we're trading outside of those
18 countries, as well. Personal thoughts?

19 DR. BENNETT: So let me -- and this is
20 Patty Bennett with FSIS.

21 And I will tell you, just so that you
22 know, if this helps your response --

1 You may correct me if I'm wrong. I
2 believe that the 1,500 samples is really allocated
3 for the first level where either you can target,
4 you can survey, but the -- and by the target, I
5 mean like they say, I think we should do Canada
6 more, because they're not quite as good as New
7 Zealand there. Okay.

8 But the next two levels, the intensified
9 and increased --

10 MS. O'KEEFE: Increased and intensified?

11 DR. BENNETT: That doesn't come out of
12 the 1,500 pool. The labs find the resources to do
13 that. So, in terms of how are we allocating
14 samples, the 1,500 is really a surveillance level
15 of testing --

16 MS. O'KEEFE: It's the normal.

17 DR. BENNETT: -- for import. And if we
18 had go mad with sampling, it wouldn't affect that
19 start line of say -- we'll make it 1500, if that's
20 helpful.

21 DR. RYBOLT: So, it's sort of your
22 inspection generated, if you will. If you get a

1 positive or something, a trend, then you can
2 allocate more towards that, but --

3 DR. BENNETT: Labs do.

4 DR. RYBOLT: Yeah.

5 DR. BENNETT: Right. Don't ask me how
6 they make it work, but Emilio assures us that the
7 samples are there. If there's an issue -- and on
8 occasion, we have had issues with countries. So,
9 I do know that to affect -- and the system just
10 starts ramping up, and it just gets faster and
11 harder, depending on if we continue to find
12 problems.

13 DR. RYBOLT: So, I captured some of
14 Betsy's comments here, talking about equivalency
15 balance with equivalency maybe having a stratified
16 sampling plan based on that -- weighted volumes. I
17 don't know what I was writing, but the process of
18 weighting volume by species. I don't know where I
19 was going with that.

20 Any other thoughts or comments on this
21 one? Add to it. Any disagreement with the
22 general -- we'll have to flesh out what it

1 actually says after we get the thoughts down.

2 MR. PUZO: If there aren't any, it seems
3 you also have this -- Dan Puzo, FSIS -- address C.
4 Maybe somebody can articulate the views of the
5 committee and the subcommittee on that.

6 DR. RYBOLT: Which is --

7 MR. PUZO: Question two, number C.

8 DR. RYBOLT: Yeah. Is FSIS allocating
9 samples across slaughter classes effectively?

10 (Simultaneous discussion)

11 DR. RYBOLT: Yeah, Michael?

12 DR. CRUPAIN: Michael Crupain from
13 Consumer Reports.

14 I asked the question earlier. I think
15 it's hard to answer some of these questions
16 without really understanding how you're doing your
17 sampling plan, like in detail. Because I mean, at
18 Consumer Reports, we spend a lot of time sampling
19 things from across the country, and we don't do
20 nationally representative samples, because we find
21 them very difficult to do in food safety, anyway.
22 In other things, we can do it. If we're testing a

1 car, perhaps.

2 So, it's hard to know how effective your
3 program is in sort of looking at the state of meat
4 in the United States if we don't know how good
5 this sampling is. But aside from that, I --

6 DR. BENNETT: May I ask you some
7 questions about that?

8 DR. CRUPAIN: Yeah.

9 DR. BENNETT: Because I think for us,
10 the question really becomes -- right now, our
11 focus has been on the primary slaughter classes,
12 right, versus testing everything. And when I say
13 everything, again, if you look at all of the
14 animals that we have jurisdiction over, again,
15 ostriches and geese and --

16 MS. O'KEEFE: That type of thing.

17 DR. BENNETT: -- rabbits. Exactly.

18 (Simultaneous discussion)

19 DR. BENNETT: Right. All those
20 different animals. Years ago, we would try and
21 take a little bit from everybody. A hundred
22 samples here, 200 samples here. So, the question

1 really, for us is, we stopped doing that as part
2 of the surveillance, and we just said we're going
3 to focus on dairy cows. We're going to focus on
4 beef cows. We're going to focus on workaholics --
5 swine, you know, old sows.

6 If we feel a need to test the ratites,
7 then we will go okay, this year -- we haven't
8 tested ratites for a while, so let's set 200, 300
9 samples over here aside, and we'll run that for a
10 year or six months, get some information. If
11 we're good, we're probably going to stop; not
12 sample them again for another couple of years.

13 And so in that sense -- and so, that's
14 where I'm not really sure, when you say, I don't
15 know enough about your program. So, we allocate
16 6,500 samples across nine slaughter classes.

17 (Simultaneous discussion)

18 DR. RYBOLT: You're talking about
19 scheduled. Right?

20 DR. BENNETT: Yes.

21 DR. RYBOLT: Scheduled. That doesn't
22 include the inspection generated.

1 DR. BENNETT: Right. Okay. So, not to
2 make your life too confusing, when we talk about
3 -- There's actually a couple of tiers in our
4 program, too. That's another something I didn't
5 want to get into. So, tier 1 is kind of the
6 surveillance. That's the 6,500 samples over --
7 so, 800 samples for each of the nine major
8 slaughter classes.

9 The inspector generated, in addition to
10 the 200,000 screens that we talk about, what we've
11 also done is kind of created this framework where
12 if you wanted to test rabbits, because we haven't
13 tested them for a few years, we're going to say
14 okay, for this year, we're going to allocate 300
15 samples. We're going to test rabbits and then
16 we're going to be done.

17 And so when we talk about -- when we ask
18 for that question, it really becomes, is that an
19 effective way of looking at looking this program,
20 that we focus primarily on the majority of
21 poundage that we slaughter, or whatever, numbers
22 that we slaughter, or would you rather that we go

1 back -- and we kind of have our fingers in every
2 slaughter class that we have jurisdiction over?

3 (Simultaneous discussion)

4 DR. CRUPAIN: So, can I --

5 MS. O'KEEFE: This is Margaret from
6 FSIS. Now, our justification for doing the top
7 nine was those particular slaughter classes
8 covered 95 percent of the meat and poultry
9 consumed. So, that was the initial thought behind
10 selecting those top nine.

11 SPEAKER: I just want to clarify. So --

12 DR. CRUPAIN: I think that doing the top
13 nine is -- I don't think you should test rabbits.
14 I don't think you should test other things in
15 proportion -- whatever. You shouldn't test too
16 many samples of those.

17 Within -- talking about slaughter
18 classes, though, I don't know if it's -- if you
19 test 800 chickens and 800 beef cattle and you
20 slaughter nine billion chickens, I don't know that
21 we're getting the representative sample of
22 chickens, so you can tell me more about that.

1 But I was getting at more is that we're
2 thinking about chickens or we're thinking about
3 beef, there's a certain number of plants that test
4 them and a certain number of locations in here
5 testing from the largest plants who are owned by a
6 certain group of companies -- are we over sampling
7 from some, because that's where the majority of
8 meat is coming from?

9 I don't know if that's telling us about
10 meat as a whole or if that's telling us about
11 those four companies where most of the meat comes
12 from, but certainly not all of it.

13 DR. BENNETT: Okay, Naser, I think
14 that's your question. But I will say, before he
15 starts, depending on the slaughter classes, had he
16 done it with FSIS, you know, the honest truth is,
17 there are some companies that produce the bulk of
18 one of our slaughter classes.

19 So, you know, and again, maybe if you're
20 asking, what percent of weight do we give to
21 volume. And I mean, if that's your question,
22 great, I mean, maybe we can pull out for you

1 today. But you know, I think we're kind of
2 corporately owned in a lot of production classes.
3 So, I mean, I think that is our reality.

4 Now, I'm going to turn this statistical
5 component over to Naser.

6 MR. ABDELNAJIB: Right. This is Naser
7 Abdelnajib, FSIS. Again, what we were mentioning
8 about is volume driven. I mean, it depends on how
9 many plants associated with every animal classes;
10 whether you have four plants that they produce the
11 bulk of the volume or not, still, every plant will
12 have the probability of selection.

13 Now, the more you produce, the more
14 likely you are to get one or more samples. Of
15 course, we keep in mind that we will allocate
16 samples across more plants. We are trying to
17 cover more plants, even though if you have the
18 sort of plant that they produce the bulk of it.
19 Okay?

20 Of course, we also take into
21 consideration what we call the non-response rate.
22 But again, if you talk about bob veal, we have

1 like -- from the top of my mind, I think we have
2 like 60 plants that produce bob veal, for example,
3 the number could be wrong, versus 600 plants for
4 dairy cows.

5 So, when we allocate 800 samples, which
6 is what, samples a month or something like that,
7 you talk about 50 samples that are going to be
8 allocated over 600. So chances are, again, the
9 small plant -- they might not get selected, versus
10 bob veal, which means they're going to get hit
11 every month, because you have very few plants.
12 And some of those plants will get more than one
13 sample, as an example. So, it's a volume weight,
14 and it's also based on how many animal plants.

15 But we don't target specific plants
16 based on their volume. Every plant will be given
17 a weight, a probability weight based on what they
18 produce.

19 DR. BENNETT: And then statistically
20 speaking, what does 800 represent relative to the
21 nine billion chickens that are produced?

22 MR. ABDELNAJIB: I'm not sure what --

1 DR. BENNETT: The statistical -- I mean,
2 that you don't have to sample every animal to
3 know. How many animals do you have to sample in a
4 group to have an idea of what you're looking for,
5 the 300 --

6 MR. ABDELNAJIB: Again, I'm not sure
7 what is the question, again.

8 DR. BENNETT: Okay. He asked is 800
9 samples enough, if we're talking about nine
10 billion birds?

11 MS. O'KEEFE: Representative samples.

12 DR. BENNETT: Right.

13 (Simultaneous discussion)

14 MR. ABDELNAJIB: Well, when we talk
15 about -- if we talk about the 300 samples, again,
16 the criteria that we have to use for 300 samples
17 is that if the true violation rate is 1 percent,
18 and we found one violation after 300 samples, that
19 means we are 95 percent confident that the true
20 violation rate is less than 1 percent -- more than
21 1 percent.

22 Now, with respect to the 800 -- with the

1 800 samples, we are being more stringent by
2 assuming that the violation rate is much lower.
3 I'm not sure. Does that answer your question?

4 DR. BENNETT: You know, again, we don't
5 -- there's nobody that samples every single animal
6 that's slaughtered, unless they only slaughter 10
7 animals. So, I mean, you have to do a
8 representative population when it comes to doing a
9 surveillance. And 800 is almost three times as
10 much as the standard, which is 300 samples.

11 So you know, I think in that respect,
12 I'd say whether it's nine billion or nine trillion
13 or nine -- okay, maybe not nine, 900, I still
14 think that I would -- I think as an agency, we
15 still stand behind it. Eight hundred is a solid
16 number to have an idea of what's going on
17 collectively; maybe not specifically in a plant or
18 specifically with a particular company or
19 whatever.

20 But if you disagree, then you disagree.
21 So --

22 DR. RYBOLT: Well, Michael?

1 MR. LINK: This is Michael Link with the
2 Ohio Department of Agriculture.

3 This might be a follow-up to Brian's, to
4 a certain extent. Like these numbers here are
5 representative of only FSIS generated samples. It
6 doesn't incorporate the state generated samples.

7 SPEAKER: This is the KIS --

8 (Simultaneous discussion)

9 MR. ABDELNAJIB: This is the inspector
10 generated program for January through September,
11 2013.

12 MR. LINK: For USDA only?

13 MR. ABDELNAJIB: USDA only, yes.

14 MR. LINK: Okay. So, and I think the
15 earlier question was, you're scheduling a certain
16 amount of samples, and it's based on volume, and
17 it doesn't matter from a federal side if it's a
18 small, very small or large establishment. They're
19 all grouped together, and then the numbers are
20 punched out to what -- how many samples are going
21 to be generated from a plant.

22 Have you ever compared -- because we

1 have that information or should have this
2 information, the majority of the plants that the
3 states inspect are very small volume
4 establishments. And compared -- the number that
5 the states are collecting on these to the same
6 size plants in the USDA system to see if you're
7 actually getting a fair look at those small --
8 very small plants, that you're not losing some of
9 those ones with -- like what Brian just talked
10 about.

11 MR. ABDELNAJIB: This is not something
12 that we emphasize. Are you saying that the state
13 -- they do something -- I mean, if it's a similar
14 program and it's a inspector directed sample like
15 --

16 (Simultaneous discussion)

17 DR. BENNETT: Just testing -- if they're
18 doing their own testing.

19 MR. ABDELNAJIB: They do that? What --

20 MR. LINK: We're doing -- like what your
21 directives are saying as far as --

22 MR. ABDELNAJIB: Right.

1 MR. LINK: -- these scheduled samples.

2 MR. ABDELNAJIB: Right.

3 MR. LINK: We're following the same
4 schedule.

5 MR. ABDELNAJIB: Okay.

6 MR. LINK: And sending them to the USDA
7 labs for testing. Well, we'll do the KIS test and
8 then we'll send them -- some of them will send --
9 so my question is --

10 MR. ABDELNAJIB: Right.

11 MR. LINK: -- for those tests, have you
12 compared the small, very small plants at the state
13 level to the same percentage of samples being
14 collected in the large -- in the federal system in
15 the same size plants?

16 MR. ABDELNAJIB: No. I think the quick
17 answer is no, we do not do that.

18 DR. BENNETT: But I also think there's
19 not that many KIS tests being submitted by state
20 plants.

21 MR. ABDELNAJIB: That's true as well,
22 yes.

1 DR. BENNETT: There's very few. Your
2 one chart that you have, where you have colgen and
3 state and KIS and fast, there's very few that are
4 submitted by state. I mean, it's -- most of the
5 states that we do business with -- this is Patty
6 Bennett with FSIS -- they rely on us to do all of
7 their samples.

8 There is some KIS tests being done, or
9 whatever they're using. Most of them are using
10 KIS. But I don't -- honestly, I don't know that
11 we would have enough of a number to make it a
12 significant comparison. It's something we could
13 look at, but -- I don't know. I don't know that
14 it would be significant.

15 DR. SINGH: Yeah, this is Manpreet Singh
16 with Purdue University, and I just wanted to
17 follow up on the question that we're discussing
18 here as -- you know, and building on Betsy's
19 comment is, you know, the sampling -- the amount
20 of sampling which is done across slaughter
21 species, yeah, we're weighing the volume of
22 production.

1 But again, how much of it is data driven
2 also, saying historical data of what you're seeing
3 from residues, and then allocating the number of
4 samples?

5 DR. BENNETT: For the domestic schedule
6 or the import?

7 DR. SINGH: It could be for domestic or
8 for import.

9 DR. BENNETT: For domestic scheduled,
10 the slate is wiped clean every year. The domestic
11 part hasn't -- the violations have no bearing on
12 the domestic scheduling.

13 DR. SINGH: So, the base -- sorry, this
14 is Manpreet Singh again.

15 Like whatever baseline -- I'm going to
16 call it baseline data.

17 DR. BENNETT: Sure.

18 DR. SINGH: So, you have -- whatever
19 baseline data you have collected over, let's say
20 2012, 2013, would that have any bearing on how you
21 schedule these samples for the upcoming years or
22 upcoming programs?

1 DR. BENNETT: Not the scheduled portion.

2 But for the domestic side. Of course, it does
3 impact what happens on the import side. Does that
4 make sense?

5 MS. O'KEEFE: Because it will increase
6 --

7 DR. SINGH: Yeah, but --

8 MS. O'KEEFE: -- if the find a
9 violation.

10 DR. BENNETT: So with the scheduled
11 program -- I'm sorry, go ahead.

12 DR. RYBOLT: So, we're talking here to
13 emphasize allocation sanctions across slaughter
14 classes, effectively. And what your question is,
15 is who will use -- prior to your results or
16 historical data to schedule domestic samples to
17 800, or whatever?

18 DR. BENNETT: Mm-hmm.

19 DR. RYBOLT: Do we re-allocate so many
20 of those the following year to a different
21 slaughter class, given the incidence that we saw
22 the prior year? And the answer is no.

1 DR. BENNETT: No.

2 DR. RYBOLT: That's not currently done.

3 SPEAKER: There's 800, and that's it.

4 DR. BENNETT: Yeah, right. Since 2012,
5 we have made the decision to do 800. Right? So
6 for the last couple of years. So it's eight every
7 -- you know, the class starts all over again.
8 Right? So, 800 across the major slaughter
9 classes, irrespective of what we might have found
10 for violations like the inspector generated
11 report.

12 MR. PUZO: Hi. This is Dan Puzo, the
13 moderator -- Something that has come up in a
14 number of your comments is about small plants and
15 whether or not they're tested sufficiently. Maybe
16 somebody wants to address that as a sub-bullet to
17 question 1B, because a number of you continue to
18 bring up this issue of small plants. And they're
19 not particularly targeted to this 800 sampling, or
20 whatever the actual figure may be.

21 Is that an issue that concerns any of
22 you? And if not, that's fine, but --

1 MR. SAPP: This is Brian Sapp with White
2 Oak Pastures.

3 First off, Dr. Singh, I think brings up
4 a good point. We'll go back down to that -- the
5 question we're working on there. You know, if
6 we're seeing you know, on the surveillance program
7 -- you know, if we're seeing -- you know, if you
8 got -- so you're having 800 tests designated for
9 each slaughter class.

10 DR. BENNETT: The major ones, yes.

11 MR. SAPP: And it wipes clean at the
12 beginning of every year. But let's say that in
13 the years 2010, '11, '12, '13, you know, we're
14 seeing you know, 6 or 8 percent positive in the
15 dairy cow industry, and you know, less than a half
16 percent in the young turkeys. Why not allocate
17 some more of those turkey tests to the dairy cow
18 testing program, to make sure that we're really
19 getting a representative sample of what the dairy
20 industry looks like? Is that kind of what you're
21 alluding to?

22 DR. SINGH: Yes, I was trying to get --

1 this is Manpreet Singh again. I was trying to get
2 a better understanding of -- does any historical
3 data play into how you design the scheduled test?

4 DR. BENNETT: Not the scheduled portion.

5 DR. RYBOLT: All the plants would be
6 inspector generated, because the inspector would
7 use the historical and then, the imports.

8 DR. BENNETT: Right.

9 DR. RYBOLT: Those are the only two
10 places where historicals also play into it.

11 DR. BOOREN: I was going to -- this is
12 Betsy with the Meat Institute.

13 I've been -- in my head, and I don't
14 know if this is helpful, but in my head, I see the
15 domestic and import is really baseline data. And
16 the challenge with switching that 800 samples from
17 a process standpoint, as someone who looks at
18 historical data to help with trends, we change
19 that dataset in a way.

20 And it makes it very difficult to look
21 back for trends. The inspector generated is, I
22 think, getting at the smaller plant issue or the

1 volume weighted. If you have four or five
2 establishments that produce 99 percent of that
3 product class, it's like that the best resources
4 are there, and we're going to see more samples
5 from that. That's where I'm leaning towards from
6 a weighted standpoint.

7 I'm hesitant from a statistical
8 standpoint. It's been a while since I've done
9 this in real world time. But changing some of
10 those population sets, what does that do to the
11 baseline data? I'm not saying it can't be done,
12 but I think any recommendation we make should be
13 very thoughtful, because it does change how we
14 review historical data and how we compare it with
15 future data.

16 I'm not saying it shouldn't be done, but
17 we need to be thoughtful about that, because we
18 changed the context of the data.

19 DR. BENNETT: And so something else that
20 I think -- Patty Bennett with FSIS. You know,
21 there is something -- do you remember when I
22 talked about with the inspector generated program,

1 is that we don't set limits. It's at the
2 discretion of the inspectors.

3 It's when we talk about the KIS testing.
4 Now, if we're doing a discreet program, like we're
5 going to look at rabbits, or we're going to look
6 at sheep or goats, we're directing that from
7 headquarters. But that's very discreet. It's
8 finite, and it's going to go away most likely
9 after a year. Right?

10 But if we're talking about the inspector
11 generators where it's happening in the plant, if
12 we give them guidance, it's at their discretion.
13 So, that is something else. And I don't know, Dan
14 -- and if this isn't our perfect question, you
15 know, please, and you say -- don't answer it.

16 I don't -- again, we can't test every
17 animal. I mean, and that's to me, a waste of
18 resources. So, I'm very curious. We already test
19 800 animals as a representation of all of these
20 populations. How is testing a thousand or 1,500
21 more really, really telling anything more about
22 the health of that population? And I don't think

1 the purpose of the surveillance is to go looking
2 for problems.

3 I mean, again, I just want to know, how
4 are we doing? And if there's a problem, I'm going
5 to go down that road and start targeting. So, I'm
6 very curious. And I'm not a statistician. So if
7 you go, you know, Patty, 800 really isn't a good
8 sample and you should do 3,000 if your population
9 is 9 billion, great. I'd love to understand that.

10 But as little as I know of statistics,
11 as I understand it, that 800 is a good thing, when
12 we say, I'm not testing every animal. I'm not
13 trying to test most of the animals. I'm trying to
14 get a representative peek at these slaughter
15 classes. So, I'm curious. And Dan, if that's not
16 a perfect question, then I take it off the table.

17 MR. PUZO: Well, that's for the -- and
18 we need members to answer.

19 MR. SAPP: Brian Sapp with White Oak
20 Pastures. I really think -- yeah, I can see where
21 Dr. Singh is coming from, and then when you
22 brought up the point of you're messing with the

1 historical data, I'm in total agreement. But I
2 think that if you are sampling at you know, 800
3 per slaughter class, and then you're going back
4 and looking at those numbers and targeting, you
5 know, some of those slaughter classes with more
6 testing, I think that's all you can do.

7 I mean, I really think that's a
8 representative sample of what we're seeing in the
9 industry, and then you're targeting, you know,
10 some of those slaughter classes more than others,
11 you know, through the KIS testing and in plant
12 testing. So, I really don't think there's
13 anything else that you could do.

14 MS. O'KEEFE: And this is Margaret
15 O'Keefe, FSIS. If we were to see a situation
16 like, you know, if we saw a great increase in the
17 scheduled slaughter, that would automatically
18 parlay more samples into what we would call the
19 two two. So, we would investigate it that way, if
20 we were to see a trend or something like that.
21 So, we would have that ability.

22 DR. BENNETT: But then again, I think

1 that is a recommendation. I think we could
2 possibly be more aggressive about doing that. I
3 don't know that we're as aggressive as we could
4 be. I'll be honest. I don't know that we'd do
5 that. But if that's something that you feel
6 strongly that that would be an important way to
7 address what you're seeing with the 800 samples,
8 absolutely. And that would be something that we
9 would certainly -- if that's what comes out of
10 your recommendation, I think that's something for
11 us to consider, because not to make this even more
12 complicated, even with the inspector generated,
13 it's very different. Right?

14 I mean, what I see at headquarters is
15 very different than you know, Dr. Smith sees in
16 the one plant that he or she is in all the time,
17 or even the circuit. And it's my impression that
18 the inspector generated samples, sometimes the
19 results represent the microcosm of the PHVs and
20 that plant or that circuit or that whatever
21 district, right, versus what I think maybe perhaps
22 we're talking about right here, is saying you

1 know, as we step back and we go, hmm, you know,
2 maybe we could certainly be more aggressive at it
3 at a headquarters level to say we're still having
4 problems with dairy cows, in spite of the level of
5 testing that we're getting to the inspector
6 generated sampling.

7 Sure, I think that would be a different
8 way of looking at it.

9 MR. SAPP: And Brian Sapp, White
10 Pastures, just real quick. Testing is not solving
11 the problem.

12 DR. BENNETT: Absolutely.

13 MR. SAPP: You know? It's giving us the
14 window of opportunity to say, okay, we've got a
15 problem here. Now, how do we fix it? You know,
16 the testing and the results that you're giving us
17 are not fixing the problem.

18 (Simultaneous discussion)

19 MR. SAPP: So you know, any information
20 -- I mean, all you've got to do is say hey, we're
21 having you know, a problem in the dairy case. You
22 know? And then we need to fix it. You know, it's

1 not just, hey, we're still having a problem in the
2 dairy class next year.

3 I think that you know, that's the main
4 goal of this reporting is, tell us where the
5 problems are. And then, let's find a way to fix
6 it, not just let's raise our hand every year and
7 say, we still have this problem.

8 DR. BENNETT: And I will say, to
9 industry's defense -- this is Patty Bennett,
10 again, with FSIS.

11 I think industry -- and I think I've
12 noticed over the last couple of years, I think
13 since we've moved the problem over to these more
14 multi analytic methods, industry is very hip to
15 the changes, especially like the shifts in
16 antibiotics. There have been a lot of discussions
17 about, are we increasing the use of antibiotics or
18 changing our choice of antibiotics or see all of
19 the above. And why are we doing that?

20 And that's just not conversations with
21 FSIS, because you know, we're collecting the
22 samples, analyzing and reporting out, and taking

1 care of it at a plant level. But the stuff that's
2 going on with Farmer Brown and the veterinarian,
3 you know, have those conversations going, what is
4 FDA doing in terms of changing drug approval or
5 drug usage or the cost. Right?

6 I mean, you know, let's face it. If I
7 can get my hands on it and it's cheap, it's a
8 great drug. And I practice. I understand that.
9 It's not throwing stones. And so, I think having
10 those conversations and then being aware of how we
11 raise the animals, how we use drugs, what's
12 allowed, withdrawal periods, cost, those are very
13 important aspects that should come out of the
14 conversations, that should come out of the
15 information that we're finding in these samples.

16 DR. RYBOLT: So, does somebody want to
17 try to take a stab at an answer to this question
18 based on what we just talked about, or this last
19 one anyway? Are we allocating samples across
20 classes effectively? I just captured comments
21 that were made.

22 MR. SAPP: Brian Sapp, White Oak

1 Pastures. I'll take a stab and say yes. I think
2 they're allocating them correctly. You know, as
3 long as they're you know, using that information
4 to you know, further target problem areas, I think
5 that's kind of all we can do at this point.

6 DR. RYBOLT: Anybody disagree with that?

7 (No response heard)

8 DR. BOOREN: This is Betsy with the Meat
9 Institute.

10 I would generally agree with that. I
11 think the baseline data is really important to
12 understand, and that will direct the regulatory
13 agency to take a variety of actions, or then
14 inform other agencies like APHIS or other ones to
15 go on farm and evaluate. You know, we're taking
16 establishment samples. FSIS does not have purview
17 on farm. So, this is a way of helping communicate
18 across different regulatory agencies, issues on
19 the whole continuum. I'm supportive of that.

20 DR. RYBOLT: So, the subcommittee
21 believes that the FSIS is appropriately allocating
22 samples across slaughter classes effectively per

1 the domestic scheduled sampling program. Does
2 that answer the question?

3 DR. BENNETT: Are you satisfied with our
4 focus on major, instead of -- well, what we did
5 before was everything?

6 DR. RYBOLT: Major and minor, yeah.

7 DR. BENNETT: So, just curious about
8 that.

9 DR. RYBOLT: I've got to get to the last
10 part, too.

11 MR. PUZO: Thoughts on the major? Dr.
12 Marcy?

13 DR. MARCY: Yeah, John Marcy, University
14 of Arkansas.

15 Yeah, I would agree with what Betsy was
16 saying in relation to you know, it needs to be a
17 baseline, you know, which goes back to, that this
18 needs to be a random sampling. You know, you've
19 got market classes that you're targeting, but you
20 need to make sure you're capturing at a random
21 basis, you know, different from your inspector
22 generated, which is bias sampling. You know, so

1 it needs to be inferential.

2 DR. BENNETT: And that you're
3 comfortable with -- because do base a little bit
4 on weight. I mean, there is a weighted component
5 of probability in the test that's just kind of
6 exposure. So I give anybody (Inaudible) getting
7 to more than two seconds. Does that --

8 DR. MARCY: On which plant or which
9 market?

10 DR. BENNETT: Well, it's across the
11 board. Right?

12 DR. MARCY: Yeah.

13 DR. BENNETT: So again, like Naser was
14 explaining, it depends on the number of plants and
15 the total volume and all that kind of stuff.

16 DR. MARCY: Yeah, but that's still not
17 targeted, per se.

18 DR. RYBOLT: Yeah.

19 DR. MARCY: Other than volume.

20 DR. BENNETT: Other than volume. That's
21 the question --

22 SPEAKER: And not to make trouble, but

1 it's something --

2 DR. BENNETT: Oh no.

3 DR. RYBOLT: Yeah, let me go to Dr.

4 Vetter. I think earlier she kind of sat quietly.

5 DR. VETTER: That's okay.

6 SPEAKER: Dana.

7 DR. RYBOLT: You need to come up here so
8 we can hear.

9 DR. VETTER: I'm pretty loud (Laughter).

10 DR. RYBOLT: She's got headphones on.

11 DR. VETTER: Dana Vetter representing
12 NAFV. I kind of had a question earlier, and I'm
13 not sure what we do or if we've looked at it,
14 because what I heard the discussion being around
15 is, is there a greater risk with small
16 establishments that may have a smaller volume than
17 larger establishments, where we're kind of doing
18 more of our sampling, because of the volume
19 weights.

20 Is that something that we've ever looked
21 at?

22 DR. BENNETT: That's a great question.

1 I'm not sure that we've looked at it specifically
2 in that way, and I think that's something -- that
3 would be something very important. I mean, I
4 would love to go back. Your perspective of this
5 being a recommendation of yours is going back and
6 saying, okay, if we divide it out by small, how
7 many violations do we see relative to what the big
8 guys are giving us.

9 And assuming -- because some of the
10 large plants truly produce a lot of product. So
11 we can do that and look. So I think my thing is,
12 on top of my head again, so that we kind of
13 preserve the sanctity of a baseline, if that
14 becomes your recommendation, is that again, maybe
15 that's something we can address with our tier two
16 kind of concept, and do some additional targeting
17 if we're finding that the very small plants
18 struggle.

19 MS. O'KEEFE: And actually -- this is
20 Margaret O'Keefe, FSIS. And actually, Dana,
21 that's a good point many years ago, there was a
22 study by Dr. Jay Votella. Jay, I believe we did a

1 small project like that, and it might be in one of
2 the reg books I mean, it would be all (Inaudible)
3 toward reviewing or --

4 (Simultaneous discussion)

5 MS. O'KEEFE: But I believe something
6 like that was done, and I'll go through the reg
7 books and look at what year.

8 DR. BENNETT: And it may be something --
9 again, not to take us too much off, is also
10 looking -- because not every slaughter class is
11 the same. So, you know, maybe we can break it
12 down and maybe start with the major slaughter
13 classes, maybe do a section, or maybe do like a
14 species or something, and kind of work our through
15 and see what information we have. And that might
16 be something that we can report back to you next
17 year.

18 Again, regardless of what you guys
19 recommend, I think it's kind of curious. And
20 Naser, looks like he's very excited about doing
21 it, so (Laughter) -- so, yeah, if you can -- I'll
22 actually write that down, and then we can look

1 into that.

2 MR. PUZO: But is that something the
3 committee wants to put in the report?

4 DR. RYBOLT: I was going to say, I think
5 we ought to put it in the report.

6 MR. PUZO: Yeah.

7 DR. BENNETT: So that would be kind of
8 like our homework assignment?

9 MR. PUZO: I mean, you can give us a
10 recommendation for us to -- material for that.

11 DR. BENNETT: Okay.

12 MS. MASTERS: Barb Masters, OFW. I was
13 going to recommend that you put it in question one
14 as not necessarily that you're saying the --
15 there's room for improvement. Just a suggestion
16 on B.

17 Dan had asked earlier about
18 recommendations and all the small plant
19 information. I think the recommendation is that
20 the agency evaluate whether or not there's more
21 findings in small plants in the domestic scheduled
22 sampling program to look at their own data, as

1 well as the state scheduled sampling program, and
2 look at those datasets and use that information to
3 determine whether or not there's areas for
4 improvement in their scheduled sampling program.

5 DR. BENNETT: Great. Sounds good.

6 Naser, did you have a comment?

7 (Simultaneous discussion)

8 DR. BENNETT: Okay, Naser is just
9 excited (Laughter). Okay.

10 DR. CRUPAIN: I'll just make my comment.

11 MR. PUZO: Sure, go ahead.

12 DR. CRUPAIN: It's Michael Crupain from
13 Consumer Reports.

14 MS. MASTERS: Imports, as well.

15 DR. BENNETT: Okay.

16 (Discussion off the record)

17 DR. RYBOLT: Domestic and import?

18 DR. CRUPAIN: So, I think your sample
19 size probably is good enough. I think what I was
20 trying to say, maybe I wasn't saying it well
21 before, is if you're thinking about chickens, and
22 there's four companies that produce the majority

1 of chickens -- I'm not sure what percentage of the
2 entire market they have, but I know there's four
3 that have a huge portion of the market.

4 So, if the plants from them just keep
5 getting hit over and over again, then maybe
6 sampling 60 percent of the market, but 40 percent
7 of the market is coming from these smaller plants
8 that you're not sampling. So, I don't know if
9 that's the case or not, so that's what I'm trying
10 to curious (sic).

11 Because that's when -- like when I
12 tested chicken, that's what I did. I tested the
13 four major brands and a scattering of smaller
14 ones, but I didn't say that this was a
15 representative sample. I said I had a sample from
16 these four brands, and then I knew a little bit
17 more.

18 Now, I don't know if that's -- that's
19 somewhat important, I think, if you're going to
20 call -- as a baseline, you're going to talk about
21 the industry as a whole. But you're probably
22 getting a good estimate of that with your 800s. I

1 think it's just important to recognize the
2 limitations of it. And then the other thing I was
3 going to say -- I forget. That was it.

4 DR. BENNETT: This is Patty Bennett with
5 FSIS. Sorry, guys for just interrupting.

6 Well first, we would be -- they would
7 pull our fingernails out if we actually said
8 officially baseline, so we can't really say
9 officially baseline (Laughter). And I don't think
10 we've ever tried to say baseline. And actually,
11 that brings up another thing, something we have
12 kicked around with the agency, as well.

13 Do we need to do a baseline targeting
14 chemical residues, and doing it proper so that we
15 really do have -- we've sampled across the board
16 the way you do it baseline, which is different
17 than the algorithm that we use for sampling. So
18 you're right. I mean, if you're saying that, and
19 you're saying I don't think this is a baseline, I
20 agree with you completely. This isn't a baseline.
21 Not interview baseline.

22 But if we should do a baseline and

1 that's something, like I said, we have thought
2 about, you know, maybe that is something -- again,
3 it doesn't have to be a recommendation. Something
4 that we have kicked around in OPHS, and you know,
5 maybe it's past time to focus on some of the
6 chemical hazards -- maybe antibiotics or
7 something?

8 I mean, maybe it's easy because we have
9 multi analytic methods. And you know, if we agree
10 we can find 5,000 samples and test a production
11 class or something, you know, maybe that's
12 something that we can do and see how that impacts
13 the algorithm that we use now. And yeah, I guess
14 I don't need to make your recommendations for you,
15 but you know, (Laughter) It's a thought.

16 DR. BOOREN: Michael, I was going to --
17 this is Betsy with the Meat Institute.

18 I know what you're saying, and I think
19 your concern is addressed when they do the volume
20 weighted, because it's not companies, it's the
21 whole population of that class. And so, they'll
22 look within, and they'll look at all the different

1 -- they'll make sure all the establishments are
2 tested within that class. So, knowing what I know
3 from the pathogen side, I feel pretty comfortable
4 with the weighted volume. I think we're getting
5 -- of all of the testing we get, probably one of
6 the more representative of that population, of
7 that species in that type of testing.

8 One of the things that -- and I'm going
9 to jump down a little to number three, if you
10 don't mind, because you brought up a point that
11 struck with me. On unknown chemicals, I think the
12 domestic and the international program -- you
13 know, one of the concerns that I hear from
14 industry is, you may not have levels that exceed
15 regulation, but there are a lot of questions on
16 why is that level there.

17 I think it's good to understand within
18 the domestic and international what type of
19 residues are present within the meat. But I think
20 we need to be very careful on can you take
21 regulatory action on that. And so, I don't have a
22 problem per se with -- we only know what we know

1 until we recognize we don't know it.

2 And I think the domestic import program
3 allows us to do sort of that general population
4 testing that may pick up some of those unknowns.
5 But how that data is used, that's a different
6 discussion, but I think it's good that the agency
7 is considering that, because we don't know what
8 the next major hazard would be. And I would
9 assume that the domestic and international program
10 would be the first program to maybe pick up
11 something, if we didn't know that there was a
12 large event.

13 I mean, if there was a train accident
14 and we had chemicals and we knew that there was
15 targeted -- but that type of -- the domestic and
16 import program is the best program probably, to
17 see if we're seeing new peaks of something.

18 DR. BENNETT: This is Patty Bennett with
19 FSIS. So Betsy, again, you understand that the --
20 our methods right now, they only find what we ask
21 them to find.

22 DR. BOOREN: Right.

1 DR. BENNETT: So, there's no unknown
2 peaks. And so that was our question.

3 Do you think it is worth putting --
4 again, let me just resource into saying -- maybe
5 we need to invest into a method or pay a school or
6 pay somebody to look into a method where we're
7 looking for peaks to go, okay, so what's normal,
8 so that we can say, gosh, we've always seen these
9 peaks, but that's a weird peak. Is that worth
10 pursuing?

11 And again, I don't -- for us, I think
12 the concern is what's coming in through the feed.
13 Right? I mean, that's where these animals could
14 possibly get exposed. And I think working in this
15 agency, when the mistakes happen -- you know, I
16 meant to grab a blue bag and I grabbed a red bag.
17 So, what does that mean? But these mistakes do
18 happen. I mean, we've been doing this for several
19 years, and people, instead of putting in the food
20 grade oil, they put in the diesel oil, and you
21 worry about dioxins. Right? And that's something
22 that happens in Europe, so it's not a United

1 States thing.

2 Or you know, again, it's mostly a feed
3 thing. You think, well, what if they give a
4 supplement? They meant to put this in, but they
5 put in that. Or you know, maybe the intentional
6 adulteration thing or something like that. So
7 that's kind of where that goes.

8 You know, do you think that we should
9 put energy trying to stay on top of that, knowing
10 that is a little bit elusive. Right? I don't
11 know what I'm looking for until I trip over it.

12 DR. BOOREN: Well, then my
13 recommendation would be -- and open for the
14 subcommittee, would be that the samples that are
15 taken within the domestic group, that is something
16 to emphasize beliefs (sic) they need to do; that
17 it seems to me that the historical samples taken
18 within this program might be the most appropriate,
19 versus inspector generated.

20 DR. BENNETT: Okay, got it. Okay.

21 DR. BOOREN: Because you would then have
22 a clear sample set across species. It's clear to

1 understand, as well.

2 MS. O'KEEFE: This is Margaret O'Keefe,
3 FSIS. To expand on what Dr. Bennett was saying,
4 one thinks that we're very good with things that
5 are regulated. We're good with that. We can
6 evaluate those, and you know, we can put them in
7 the method. Are there (Inaudible) that we're
8 missing, (Inaudible), things like -- I mean, that
9 we wouldn't necessarily -- that we should be aware
10 of, and that -- I mean, if the group could think
11 about that, also.

12 I mean, are we missing -- we know we
13 have veterinary drugs. You know, we have this at
14 great ranges, and our methods are very broad and
15 encompassing now. Are we missing anything, also,
16 as far as unknown chemicals to --

17 DR. RYBOLT: I'm trying to get an answer
18 to the question, first. Is this on this one?

19 DR. VETTER: Yes.

20 DR. RYBOLT: Okay.

21 (Discussion off the record)

22 DR. VETTER: Dana Vetter, NAFV.

1 Recently, and I haven't read the entire report,
2 and maybe I shouldn't bring it up, but there was
3 an OIG report on chemical residues, and it touched
4 on FDA and FSIS. And I've not read the entire
5 report, but one of the things that stuck out in
6 reading the news article on it is, for example,
7 one of the most commonly used defoliants in
8 agriculture is something that is not actually
9 tested for, and I'm wondering if it's possible
10 that we have that same sort of issue in our feed.

11 So, I personally think it might be
12 worthwhile at least knowing that what we're
13 testing for compared to what's being most commonly
14 used -- is there some way to compare that and make
15 sure that we're not missing something?

16 DR. BENNETT: The most commonly used in
17 feed? The most commonly used in production
18 animals?

19 DR. VETTER: In feed or in production
20 animals. I would say in feed, because like you
21 said, that's usually where the residue is coming
22 from. It could be a spray, possibly, but more

1 likely in our meat and poultry, it's feed. And
2 so, is there something that's changed historically
3 that we might not be aware of?

4 DR. BENNETT: So, this is Patty Bennett
5 with FSIS. So, I think for us, the genesis of
6 that question is really melamine.

7 DR. VETTER: Melamine?

8 DR. BENNETT: I mean, that's it. And so
9 that's why it's just a difficult question, just
10 because you don't know -- I mean, I don't know
11 who's trying to do something with it that we
12 wouldn't want them to do. So, that's why it's
13 kind of a difficult question.

14 Should we focus on what we know
15 (Inaudible) because of history, because EPA asked
16 us to look at a slew of pesticides and FDA wants
17 us to look at vet drugs? Or, do we need to set
18 aside some money and chase after the next
19 melamine? That's really the hard -- does that
20 make sense?

21 DR. BENNETT: I mean, maybe it's a
22 needle in the haystack, and --

1 DR. RYBOLT: Go ahead, Patricia.

2 DR. CURTIS: Pat Curtis, Auburn
3 University. How often do you evaluate these
4 common ones that you're going to look at, and you
5 know, determine if something should be added or
6 something should be removed?

7 DR. BENNETT: So, let's see. We
8 actually talk about it a lot, because our labs
9 need time to roll the chemicals into the thing.
10 So, the conversations kind of go, EPA says, hey,
11 we need you to do these exposure assessments.
12 These are the pesticides we're interested in.

13 And FDA says, hey, we brought some new
14 chemicals -- veterinary drugs on the market. We
15 think -- we're worried about them getting abused,
16 because of the next new toy. So, we should roll
17 them into the method. And then, you know, what
18 Meg had hinted at before, it's us kind of sitting
19 there saying, are there other things that we need
20 to consider, and can we get some exposure
21 information or roll them into the methods?

22 So, I would say that it's an ongoing

1 conversation. Our labs are constantly working on
2 the methods. They're constantly -- they're
3 extending them to all of the different species
4 that we have, or they're constantly making the
5 methods better, or they're constantly adding
6 chemicals to the methods, based on something FDA
7 wants or EPA wants.

8 It's not as structured as I would like
9 it to be. A lot of it is kind of the demands of
10 our trading -- or our sister agencies. And we are
11 actually working on trying to develop a more
12 structured framework, where we kind of sit there
13 and say, you know, these are the chemical hazards
14 that people say that they're worried about,
15 filtering them down to, we think they would get
16 into our products, and then we think they would
17 get into our products at a level that we should be
18 testing, because we need to make sure that they're
19 not exceeding a CD level. Does that help at all?

20 DR. CURTIS: Yeah. It just seems like
21 there should be some point at which you do an
22 evaluation to determine, you know, it's sort of --

1 DR. BENNETT: We've actually been
2 working on that for a couple of years. We
3 actually went to the FDA Food Safety Advisor
4 Committee as part of a charge that FDA had. And
5 one of the questions that we had asked was, we
6 wanted to use a logit model. I don't know if
7 you're familiar with that.

8 DR. CURTIS: Mm-hmm.

9 DR. BENNETT: And we have many
10 variables. You know, NOAELs, LOAELs, tolerances,
11 historical data, that kind of thing. So, that's
12 something that we're still considering, can we use
13 that as this initial -- let's take everybody's
14 wish list, run it through this prioritization
15 model, get a list of these chemicals, and then,
16 just start having to make the hard decisions of
17 you know, can you lump any -- all of the
18 veterinarian -- veterinary drugs, because then you
19 think about in terms of methods and say, well it's
20 better for our labs to spend a year extending the
21 method to these 50 vet drugs as opposed to 50
22 different drugs that might require a different

1 method.

2 So, we're working on that, but it's not
3 fully out there.

4 DR. MARCY: John March, University of
5 Arkansas. Now, I think you've hit a lot on what
6 it is that -- you know, you're not a research
7 group.

8 DR. BENNETT: No, not at all.

9 DR. MARCY: You've got memorandums of
10 understanding with people that are -- seem to be
11 pointing -- you know, we wish you would do this.

12 DR. BENNETT: Mm-hmm. Yeah.

13 DR. MARCY: And I like the way that
14 Margaret put it. You know, we know what we're
15 good. We're good at doing the regulatory part.
16 You know, there is a branch of USDA that is
17 research and it's a matter of funding. But I
18 wouldn't want you to take your dollars to do it.

19 DR. BENNETT: And some of it's timing,
20 too. Right?

21 DR. MARCY: Yeah.

22 DR. BENNETT: I mean, it's -- sure, we

1 can get into the queue, but in the meantime -- and
2 that's something, too, with our labs that they
3 constantly say to us. They say, what is it that
4 you want us to do? And we're saying, okay, we're
5 trying to get this framework established so it's
6 less knee jerk and what EPA or FDA want when the
7 call us.

8 DR. MARCY: Yes. Mm-hmm.

9 DR. BENNETT: And that is more
10 systematic and thoughtful. But while we're busy
11 trying to put that in place, the labs are going,
12 so what do you want me to do. And like okay,
13 fine, just keep adding to the method. And then,
14 at the end of you're like, ugh, okay. How did
15 that work for us? You know?

16 DR. MARCY: Sure.

17 DR. BENNETT: But I still think it's --
18 I think having these multi analytic methods
19 onboard, being able to test one sample against all
20 of these methods, it's really opened the door for
21 us. I think even though violations have gone up
22 somewhat, because the methods are good enough to

1 detect things that they wouldn't have detected
2 with past -- the other methods, I still sit back
3 and go, even though we are looking at pesticides
4 and vet drugs and metals and now we've added
5 hormones -- yes, I know, part of the vet drug
6 thing.

7 But it's not like the violations went
8 through the roof. They didn't. Right? We have
9 more information. So, I mean, I still feel like
10 walking away, it's like I think we do a really
11 good job keeping our food safe. Could we improve?
12 Yeah, absolutely. Right? And that's why we're
13 here before you guys. And so really, it's just
14 like, you know, what's the best way to spend our
15 time? Spend tax dollars and money?

16 DR. RYBOLT: So, would your
17 recommendation be that they keep doing what
18 they're doing?

19 DR. MARCY: Yes, that's it --

20 (Simultaneous discussion)

21 DR. RYBOLT: And if they knew -- known
22 is (sic) arises, then it would actually be part of

1 the program. But that's not really their focus.

2 Is that what I'm hearing? Yes? No?

3 DR. CRUPAIN: I have a question. This
4 is Michael Crupain from Consumer Reports. I have
5 a question.

6 If the purpose of the scheduled sampling
7 isn't really to do a baseline, what is the
8 purpose? How do you use that? And maybe it is
9 better if it's not that useful as a baseline --
10 maybe it is better to do a baseline and then stop
11 doing it and use that money for something else.

12 DR. BENNETT: Okay.

13 DR. CRUPAIN: Is that --

14 DR. BENNETT: I think for us, it's --
15 again, it's a survey. I mean, we're surveying
16 these products on an annual basis, looking for
17 something above what we normally see. I mean, we
18 have 30 something years of reporting the
19 information, and it's saying, has anything
20 changed? I mean, when we talk about the shift in
21 antibiotic use, and you know, we see very few
22 pesticide hits over the last years.

1 But here's the other thing, too. So,
2 let's talk about this from another perspective;
3 trade. So, when we go and do business with New
4 Zealand or Canada, immediately, they're like, so
5 what's your sampling program? And our answer
6 cannot be we have a targeted program. Not gonna
7 fly. So that's something else to consider.

8 They're going to want to know, we want a
9 surveillance program. We have a surveillance
10 program. You're going to have a surveillance
11 program. So, some of it's politics. And I don't
12 think it's bad politics, because it's kind of
13 saying hey, by and large, out of all the stuff
14 that we produce, all the samples that we take,
15 here's what it looks like. And then when there's
16 trouble, here's what the trouble looks like and
17 how we chase it down.

18 MS. O'KEEFE: This is Margaret, FSIS.
19 We also get a lot of information from the -- our
20 risk assessors use it, because even though we're
21 not maybe seeing a violation, if we see level of
22 it, that's what we were calling a non violative

1 positive. That still gives us a lot of
2 information. They're still using the drug. If
3 it's that high we can detect it, they're like
4 maybe on the edge, like they're just -- I mean,
5 they know when to withdraw.

6 And we need to be aware of those things,
7 and we can keep our eye on that and maybe -- so we
8 do get a lot of information from that.

9 (Simultaneous discussion)

10 DR. BENNETT: Meg makes a good point.
11 And the FDA pays attention to the levels, as well.
12 Right? So even the non violatives, because again,
13 it really helps. A lot of times we struggle with
14 withdrawal levels, and that's a big part of the
15 conversation that we have with industry relative
16 to vet drugs in saying, you know, we followed the
17 withdrawal levels and we still got busted for a
18 violation.

19 And being able to have that exposure
20 information actually is useful. So you know,
21 again, is it a baseline? No, not really. Is it
22 meant to be a baseline? No. Is it useful

1 information? I think so, and I still stand behind
2 it. And then again, politically, it's almost a
3 necessity. You know, certainly if your
4 recommendation was we don't think you should do
5 this; you should do something else, okay.

6 DR. BOOREN: Michael, I was --

7 MS. O'KEEFE: This is Margaret again.
8 We also see -- we can see, like, I guess you would
9 call it like a cocktail. They're not just using
10 one. We find multiple drugs, and they're not
11 always the violation. But oftentimes, we'll see a
12 sample that has penicillin or more than one drug
13 in it, also, and that gives us information, which
14 is --

15 DR. BOOREN: Michael, I was --

16 MR. PUZO: At this time -- this is Dan
17 Puzo, the moderator. I just want to remind you
18 all that your deliberations and the conversation
19 you'll all have with your colleagues tomorrow and
20 the committee, these recommendations go to the
21 administrator, and then ultimately, to the
22 secretary of agriculture.

1 So, I think right now, we're starting to
2 come down to earth where we need you all to be
3 somewhere higher in elevation. And there are no
4 limits to what you can recommend, or there are no
5 lack of resources, potentially, that you can
6 request we enlist. So, I think that after the
7 break, and we're going to break right now --
8 there's a new service of coffee and tea for all of
9 you folks out there.

10 And anyway, we will break now and return
11 at quarter after three, and a lot of work has been
12 done; great conversation. So, we will reconvene
13 in about 15 minutes. Thank you.

14 (Recess)

15 MR. PUZO: All right, all the committee
16 members are here, so we can reconvene.

17 Madam Chairwoman, would you like to --

18 DR. BOOREN: We're ready. Let's go.

19 MR. PUZO: What would you like to start
20 off with?

21 DR. BOOREN: I would say, I think from
22 the standpoint -- well, we've had some good

1 discussion. When do we have to be in the other
2 room?

3 MR. PUZO: Four thirty.

4 DR. BOOREN: Four thirty. Let's be a
5 little bit targeted here. I think we've had some
6 good dialogue, if it leads to other things. But I
7 think as an advisory committee, we can put forth
8 recommendations, and I think we -- Dr. Masters
9 put forward a couple.

10 When I was having a break, one of the
11 recommendations I would put up a little earlier,
12 but let's go through these and fine tune them so
13 some of us don't have to do homework tonight.

14 SPEAKER: That's good.

15 DR. BOOREN: Because I have a feeling it
16 might be me and Michael (Laughter).

17 DR. RYBOLT: It would be.

18 DR. BOOREN: It would be.

19 DR. RYBOLT: I just volunteered the
20 time, but I didn't say all mine.

21 DR. BOOREN: What was clear to me as we
22 had the discussion, and I think -- I find value in

1 the National Residue Program. I find value in
2 what you're doing. What became evident as we were
3 breaking is that I don't think people know what it
4 is, and I think part of the challenge that we just
5 spent in the first two hours was understanding
6 what's being done and why it's being done.

7 And I think one of the recommendations I
8 would make to the secretary would be a clear,
9 concise way of explaining this program to
10 stakeholders.

11 DR. BENNETT: Okay.

12 DR. BOOREN: And I've given the same
13 recommendation to CBM, and I charge you with this
14 as well as CBM. They put out very similar reports
15 on antibiotic use. You have to be really in the
16 weeds to understand all of that. I think you have
17 a lot of valuable data that tells a great story,
18 but it needs to be summarized in a way that is
19 friendly to the average consumer, or many times,
20 press.

21 But you need to explain what this
22 program is, because I think that will add value on

1 a lot of these things. That's just my personal
2 recommendation to start off the bat, because when
3 I listened to everyone is, everyone saw value in
4 the program. We were getting into the nuances,
5 and I think that this program should be kept. But
6 I think we need to do a better way of explaining
7 what it is just outside of just FSIS and the
8 staff.

9 DR. BENNETT: Okay.

10 DR. BOOREN: And I'll start off with
11 that. But I agree with generally, the approach,
12 but that's one of the recommendations I would
13 forward.

14 MR. PUZO: And when you suggested group
15 communications or a start of communications, you
16 were saying to industry, academia, the public.

17 DR. BOOREN: I would say industry --

18 MR. PUZO: Or all?

19 DR. BOOREN: I would say allied
20 stakeholders, and that to me, includes all of
21 that. I think when you are reporting out, I know
22 you do quarterly reports.

1 DR. BENNETT: Mm-hmm.

2 DR. BOOREN: But I think there's value
3 -- those that know what the red and the blue book
4 are, I think having a user friendly, and I say
5 this example -- if my mom can understand this --

6 DR. BENNETT: Okay.

7 DR. BOOREN: -- generally speaking. But
8 those are top lines. You know, this gets reported
9 in the media, and I spent more time as a staff
10 person explaining what it means, and I think you
11 would have more value and the importance -- more
12 people would support the program that when you're
13 reporting out, that there is some sort of consumer
14 friendly, media friendly summary that goes out, as
15 well as to the industry that it supports. Is that
16 clear as mud?

17 (Simultaneous discussion)

18 DR. BENNETT: I have a question. This
19 is Patty Bennett with FSIS.

20 So, I need to make it understandable to
21 my parents and your parents, or I need to make it
22 understandable to everybody at this table? And I

1 think that's slightly -- of course, you would
2 understand better than my parents.

3 DR. BOOREN: I think there's two. I
4 think one, you need to make the industry
5 understand what's going on and why.

6 DR. BENNETT: Okay.

7 DR. BOOREN: And I don't think there's a
8 clear understanding.

9 DR. BENNETT: Okay, okay.

10 DR. BOOREN: But two, this report does
11 get reported out, and there also needs to be --
12 and I would recommend, if you're looking for a
13 way, I think CBM has done a better job reporting
14 out the NARMS report that they put out.

15 DR. BENNETT: Okay.

16 DR. BOOREN: They've made it much more
17 consumer user friendly. And that's a challenging
18 topic, and I think there are parallels in how you
19 talk about, report out data that there might be a
20 good conversation to have with CBM, and I'm happy
21 to provide you contacts.

22 DR. BENNETT: And I'd say that's a very

1 fair comment. I think I looked at the quarterly
2 report. We were very excited to put the quarterly
3 report out, but I was gearing it towards Scott
4 Goltry. I mean, that was his report, so it wasn't
5 for any of you. And if you didn't already know
6 about the NRP, then it would make no sense to you.
7 But you're right. Okay, that's a good --

8 DR. BOOREN: But the context is needed.

9 DR. BENNETT: Yeah, okay. Fair enough.

10 DR. SINGH: This is Manpreet Singh, and
11 I do want to second what Betsy just said, because
12 I feel it's important from the communication
13 perspective. Yes, academia, everybody on the
14 table understanding it, that's a different
15 situation. But like you said, parents -- like you
16 put in the terminology of parents understanding
17 it, because there's a lot more -- the term they
18 use now is influencers. And those influencers
19 are, you know, bloggers who are putting
20 information out there without knowing it.

21 And if we put it in those terms that
22 they can understand it very clearly, it's -- I

1 hate to use the term, but it's like laymen's
2 terms, but still, it's a very rudimentary
3 document.

4 DR. RYBOLT: Well, it goes beyond that,
5 too. Right? It's (Inaudible 00:05:49) to tie
6 into that, the data at least, that's coming?
7 Because if this data may be one of those things
8 that's released, it's going to be imperative for
9 the agency to make sure they have concise
10 communications, so that when that information does
11 get released, that they establish with specific
12 data, that there is a tool already available that
13 explains that data. Otherwise, you're going to
14 come back and redo it anyway. Right?

15 DR. RYBOLT: And you would say, you need
16 to develop this tool (Laughter). So we're going
17 to go ahead and make that recommendation now.

18 DR. BENNETT: Well, I think -- you know,
19 and we do write a lot of user documents with some
20 of our documents, but I really do. I see what you
21 get -- I still write the document for somebody who
22 already has -- they don't have to have our

1 understanding, but I do expect you to have some
2 understanding. And maybe not even everybody at
3 this table would understand. So, okay. Accepted.
4 That's cool. Thanks.

5 DR. CRUPAIN: Michael Crupain from
6 Consumer Reports.

7 I would just add that I agree totally
8 with that. Also, one of my jobs as a physician at
9 Consumer Reports is to take this information and
10 explain it to reporters, explain it to the public.
11 But also, I didn't quite understand the full
12 intent of how you use it. And one of the reasons
13 I like to look at these reports is to look at sort
14 of that -- not just violations, because I don't
15 expect there to be any violations or to be very
16 few violations.

17 I've looked at enough of the reports to
18 see that it's rare, but I am interested in this
19 more subtle thing that you're saying you use it
20 for, the use. And I think you can do -- and I
21 didn't know you were interested in that at all,
22 because it seems to me that the report is

1 violations, violations, violations.

2 So, I think it would be, from my
3 perspective and the public's perspective, good to
4 have more data on some of the finer, subtle use
5 information, like what are these levels that we're
6 seeing. How close are we to that line? How
7 common are these?

8 (Simultaneous discussion)

9 DR. CRUPAIN: That would be useful for
10 me.

11 DR. BENNETT: So, I will tell you that
12 when we look at the red book for us, again, it's a
13 political tool, it's something that our
14 international staff folks will go and take to the
15 other countries and go, see, here's our results.
16 And honestly, when you're doing business, it's
17 like, I'm more interested in the violations,
18 because the violations tell me you've got a
19 problem. Right? Because we were allowed to use
20 chemicals, and we know that chemicals are in our
21 products.

22 When I think of the quarterly report, I

1 think of more domestic use. It's Betsy or Scott
2 looking at it saying, okay, so what are we doing
3 with antibiotics and how much testing is going on.
4 That's it. But to be honest, I don't think that
5 we've really -- we haven't really sat down and
6 said, do we think that anybody else cares enough
7 that we would write for them. And if that's
8 something you're saying, good, you know, I really
9 am interested, cool. Okay. I'm excited.

10 DR. MAZURCZAK: Krzys Mazurczak,
11 Illinois Department of Agriculture.

12 I have one short recommendation and a
13 comment. And the recommendation is we all heard
14 about turnaround time to get a final result might
15 go up to 10, over 10 days. So, my suggestion
16 would be to propose the USDA to actively seek new,
17 better technological -- you know, methods of
18 testing that will speed up this process.

19 We are dealing with perishable goods.
20 If they have to hold the product, because as we
21 all know they cannot -- this product is subject to
22 sampling, cannot enter countries -- it is on hold.

1 So, if they have to store this product waiting for
2 the final result, that's using up storage space.
3 So, it's one of the obstacles on both sides, I
4 think, inspection and industry.

5 What to do in the meantime, while we are
6 waiting for the results? So, one of my
7 suggestions would be to emphasize the need --
8 there is a new technology on the horizon. Please
9 pursue it, making sure that it could be adopted
10 and used. And going back to issues related to
11 outreach and communication, it was mentioned in
12 the beginning, before lunch, that this whole issue
13 of residue involves quite a few regulatory
14 agencies. And it's true.

15 And let me give you an example. I had a
16 call from one of the local farmers whose pasture
17 was over sprayed by accident with pesticides, and
18 it just happened, he had a herd of his cattle on
19 it. And he asked me what to do. And I was
20 stunned. I didn't have an answer.

21 I knew it was not a mini inspection at
22 this time. Right? We're still talking about the

1 livestock. So you know, I kind of took a very
2 careful approach, and I said, you know, I really
3 appreciate you being a concerned citizen and
4 concerned about food safety, and I would try to
5 get back to him. I think we all need some kind of
6 a clear picture outlining responsibilities,
7 channels of communication and what agency will do
8 what at certain times.

9 And I know there's no easy answer, but I
10 think we should attempt, at least to be aware
11 about the right path through this maze of existing
12 regulations.

13 MR. PUZO: Where would you like to place
14 that in a report?

15 DR. MAZURCZAK: I think in outreach,
16 because there is a need to communicate between all
17 parties involved, regulatory agencies,
18 stakeholders. You know, we are all in the
19 industry, and regulatory agencies are operating in
20 a hazardous environment since January 25, 2000.
21 Right?

22 MR. PUZO: Mm-hmm.

1 DR. MAZURCZAK: The concept was from the
2 farm to table.

3 MR. PUZO: Right.

4 DR. MAZURCZAK: And right now, we are
5 focusing on the meat industry. Somebody mentioned
6 being proactive. Well, to be proactive, that
7 means reaching out to the producer, to the
8 rancher. Right? Making him a part of this
9 dialogue and the process and increase awareness
10 about residue on the farm.

11 DR. RYBOLT: This to me is the unknown
12 of where this goes, but it kind of goes back to
13 what (Inaudible 00:11:50) talked about earlier
14 too, with the one issue in Montana or wherever it
15 was that you talked about where you had the fire,
16 and you all got involved, obviously, even though
17 it wasn't FSIS at that point. You still said
18 yeah, but, and I think that's what you're getting
19 at.

20 DR. BENNETT: Actually, I'm a little bit
21 confused. I mean, I'm not sure what you're asking
22 or proposing in terms of -- like when somebody has

1 a problem, like so that they know, do they call
2 Dan's group? Do they call our field offices?

3 DR. MAZURCZAK: Well --

4 DR. BENNETT: Well, I guess the animals
5 are alive. They may not think to call FSIS.

6 DR. MAZURCZAK: First of all, there's no
7 simple answer, because as we all know, you have
8 multiple regulatory agencies with
9 responsibilities.

10 DR. BENNETT: Mm-hmm.

11 DR. MAZURCZAK: You have the EPA, you
12 have the FDA and you have the USDA. Right?

13 DR. BENNETT: Mm-hmm.

14 DR. MAZURCZAK: And each of these
15 agencies places a role at a certain part in the
16 production cycle. So, what I was mentioned is to
17 come up with kind of a process flow and then to
18 find, you know, who has a role at what level of
19 production and kind of clearing this picture.
20 Because you know, I tried to get to the bottom of
21 it.

22 DR. BENNETT: Mm-hmm.

1 DR. MAZURCZAK: And let's use this
2 scenario, that you having a proper use of
3 pesticides at the ranch. And the producer wants
4 to know what he or she is supposed to do; what's
5 the next step. What's the withdrawal time? You
6 know, how to treat the schedule. How long they
7 have to wait before sending them to slaughter.

8 DR. BENNETT: Okay. Okay, that's great.
9 And you're right. On occasion, questions will
10 come to use and we'll turn them over to our
11 toxicologist or risk assessors, depending on what
12 the issue is.

13 Dan, I don't know -- I mean, I think
14 they have to know enough to go that they want to
15 sell their animals to slaughter to ring us. But
16 if somebody knows that they're going to keep the
17 animals alive for a period of time, I don't know
18 if they would know who to call. And this is an
19 outreach thing with universities? Do they do
20 that? What is it that the programs are called?

21 (Simultaneous discussion)

22 MR. PUZO: Extension programs.

1 DR. BENNETT: Is that something where
2 you see those kinds of questions?

3 MR. PUZO: That's one resource.

4 SPEAKER: Yeah.

5 DR. BENNETT: Okay.

6 DR. RYBOLT: Barb has a comment on that.

7 DR. BENNETT: Does John have a comment?

8 MR. PUZO: Well, we pretty much guide
9 them to the FDA guy.

10 DR. BENNETT: That's fine.

11 MS. MASTERS: Barb Masters, OFW. And I
12 think what I'm hearing asked, and I've run into
13 myself is maybe the regulatory framework in which
14 the regulatory agency has responsibilities.

15 So for example, that Kryzs might have
16 known that the EPA was the regulatory agency that
17 might have responsibility on pesticides before
18 they came to slaughter, and what level of the
19 program area within EPA to contact if he wanted to
20 know -- if the farmer was trying to leave them on
21 the farm. Because I will tell you, I have
22 actually given presentations since I've been

1 working at OFW where producer groups have called
2 me, state veterinarians have called me and said,
3 we have producers that want residue presentations,
4 and we've contacted the FDA, because we know they
5 visit the farms.

6 But when we contact the FDA, they say,
7 well, we're not really the right group, because
8 you're really dealing with you know, FSIS
9 findings. And they say, well no, we really want
10 you to come, because we're talking about you know,
11 the most common causes of residue, et cetera, et
12 cetera. We really want you to talk to the
13 producers. Oh no, you're talking about FSIS
14 findings.

15 And so, there does seem to be some
16 disconnect on the regulatory agencies and who has
17 responsibilities. And so they said, well, we just
18 gave up and we called you so that you can talk
19 about both sides. And so, okay, all right.
20 That's fine. So, I do those in the evening and
21 talk to producer groups.

22 There seems to be that disconnect of FDA

1 does the after work after there's been a violation
2 on the farm. And EPA would have pesticides, and
3 FDA would have if there was, you know, a feed
4 incident -- FDA might be the one that would be
5 responsible. And there seems to be some
6 regulatory responsibilities that maybe I think
7 Kryzs is asking about. Maybe I'm wrong, Krzys.

8 DR. MAZURCZAK: No, you're right.

9 MS. MASTERS: But I've worked with you a
10 long time (Laughter).

11 DR. RYBOLT: So, I don't think that
12 really fits any of the questions that we're
13 asking, but as Dan mentioned a second ago, it
14 doesn't matter (Laughter).

15 MR. PUZO: Well, we can pretty much add
16 what we want to as the advisory committee.

17 DR. RYBOLT: I'm just following
18 instructions.

19 (Laughter)

20 MR. PUZO: And so I think if -- does
21 somebody have a summary of what they want this to
22 say?

1 DR. BOOREN: That there's interagency
2 alignment on responsibilities and that it's
3 effectively communicated to stakeholders on -- and
4 just generally, who does what, when and where. I
5 think that's what you were getting at, Barb.

6 MS. MASTERS: No, Kryzs.

7 DR. BOOREN: Or Kryzs. Both.

8 DR. RYBOLT: Interagency communications.

9 DR. BOOREN: Who has responsibility,
10 communications. There's a lot of gray areas in
11 this issue, and I think clarification on perhaps
12 agencies' roles.

13 DR. BENNETT: Okay, fine. No, you're
14 right. I think that answer that this person was
15 given was absolutely right. It just kind of
16 depends on where you are at the stage of
17 bandwidth.

18 DR. BOOREN: John, do you have a
19 comment?

20 DR. MARCY: Yes, I do. John Marcy,
21 University of Arkansas.

22 To tag onto A in the first one, to

1 capture that statement that you made, that the
2 global community would expect you to have a
3 surveillance program if you're going to require
4 them to have one.

5 DR. RYBOLT: Mm-hmm. Right.

6 DR. MARCY: I think that's good to put
7 in there.

8 DR. BENNETT: Okay.

9 DR. MARCY: You know, because I hadn't
10 thought about it until you said it. I think it's
11 totally perfect to put in that explanation of
12 what. You know, it really does need to be done.

13 DR. BENNETT: Okay.

14 (Simultaneous discussion)

15 DR. MARCY: We certainly expect New
16 Zealand to.

17 (Laughter)

18 DR. RYBOLT: He's not here (Laughter).

19 DR. BOOREN: Did you get that, Michael?

20 DR. RYBOLT: So you want this in A?

21 What was the comment again?

22 DR. BOOREN: To make sure that the

1 surveillance is important to not only domestic,
2 but international --

3 DR. RYBOLT: International?

4 DR. BOOREN: -- trade.

5 DR. RYBOLT: Yeah.

6 SPEAKER: Requirements.

7 DR. BOOREN: Equivalency.

8 DR. MARCY: You know, this is the type
9 of program that's expected for training private
10 partners internationally --

11 DR. MARCY: So, we have to do it, as
12 well.

13 MR. PUZO: And just a point of order --
14 this is Dan Puzo, moderator, is that for C, there
15 are working groups within the USDA that crossed
16 jurisdiction this morning. There was a very
17 innovative program called Know Your Farmer, Know
18 Your Food. And that involves five or six USDA
19 agencies that work together to promote local
20 agriculture and production.

21 There's also one on poverty, and what
22 your suggestion is in C is relevant in that we

1 need to know or do a better job of communicating
2 residue issues throughout those agencies that are
3 responsible, whether it be APHIS, ERS, us. And
4 then, you know, interagency -- bringing in the EPA
5 and FDA, if those groups don't already exist.

6 DR. BOOREN: This is Betsy Booren from
7 the Meat Institute. Can you drop down? We have
8 had little to no discussion on the question of
9 pork. And before we get too long into --

10 (Simultaneous discussion)

11 DR. BOOREN: -- we can cobble some
12 things together for the rest of them, if we get
13 into a time crunch, but I don't think we've had
14 any discussion here. I would be interested in the
15 subcommittee's thoughts.

16 MR. PUZO: And we do have an hour
17 remaining.

18 DR. BOOREN: Yeah.

19 DR. RYBOLT: I would like to try to get
20 as much as we can capture, so that maybe a couple
21 of us could sit and actually flesh out the
22 responses, and then if we have time, we could read

1 back. Otherwise, we'll have to take -- come in
2 early in the morning and do that, and I don't
3 think anybody wants do to that (Laughter). All
4 right. So, the question is, how should FSIS
5 consider chemical categories; equally or ranked
6 relative to each other.

7 DR. BENNETT: Does that make sense?

8 DR. RYBOLT: I was going to ask you to
9 elaborate a little bit (Laughter).

10 DR. BENNETT: So right now -- and it's
11 not that we're asking should we move away from the
12 holistic sampling that we're doing right now. So,
13 this is Patty Bennett with FSIS. Meaning that one
14 sample tested against several methods.

15 Really, the question is, it talks about
16 where do we spend our extra time. Right? So,
17 right now, when we think about, do we add more
18 chemicals to the veterinary drug methods or the
19 pesticide methods or any other method that we're
20 dealing with, we've never really had a discussion
21 going, oh my god, we should always be -- pesticide
22 should always be first, and then, we should do vet

1 drugs and then we should do --

2 Honestly, it's like who asked me first
3 and who has time to do it, because when you think
4 about enhancing the methods, and by that, we're
5 either extending chemicals or extending the
6 methods to slaughter classes, the methods are kind
7 of housed in different labs. So, pesticides live
8 in one lab, and the other ones live in --

9 (Simultaneous discussion)

10 DR. BENNETT: But the more important
11 thing is, so it's not like we could say, well, I
12 want all three labs to work on extending the
13 pesticide method, because it's really that one lab
14 that's going to -- if they have time, they're
15 going to do that. Right?

16 So, our question to you is, should we
17 just ask people that have time to work on their
18 methods, or should we really say to the labs,
19 look, we really think that pesticides are more
20 important than vet drugs or whatever, and really,
21 we should always be focusing on padding one
22 method, right? Or one class type of chemical

1 hazard than another. Does that make sense?

2 (No response heard)

3 DR. BENNETT: And again, in general, we
4 don't need numbers.

5 MS. O'KEEFE: This is Margaret. Or,
6 should we add a new group?

7 DR. BENNETT: Right. And invest
8 energies into something that we don't know. I
9 mean, we talked a little bit about the unknown
10 category or -- we don't really do a lot with
11 environmental contaminants. So really the stocks
12 are, we have vet drugs, we have pesticides, we
13 have metals. That's our main bins, if you were.

14 DR. SINGH: This is Manpreet Singh. I
15 think we were talking a little bit over the break,
16 and it kind of ties into the third question, too.
17 If we're saying there's time, and what do need to
18 invest our time and monies in, the unknown is
19 actually a big category.

20 DR. BENNETT: Uh-huh.

21 DR. SINGH: And given the talk we had in
22 the morning, where there was the international

1 component of -- there was three phases of the
2 training. There was one which was an audit, where
3 you know, for equivalency. So, I think in that if
4 -- an unknown is an unknown until you actually
5 find out what it is. And maybe there is an
6 unknown for us here in the U.S., and it could be a
7 known in some other country; they're using
8 different --

9 DR. BENNETT: Chemicals, yeah.

10 DR. SINGH: -- drugs. So, for animal
11 treatment. And that's probably what needs to be
12 also gathered into the information and saying,
13 okay, we're going to develop a method for
14 potentially some other drug which is not used here
15 in the U.S. So, I don't know that's just
16 something which I thought was interesting to me.

17 DR. BENNETT: So, I think to add to
18 that, too, what I would say is, a lot of times
19 when we get equivalency reports from the other
20 countries, and they say so -- and we say, so what
21 do you do in your country, they go, exactly what
22 you do (Laughter). Wow. Really? That's amazing.

1 So to your point, we certainly have
2 talked, and we certainly could put more energy
3 into going, okay, yeah, I know you do everything
4 that we do. Is there anything that you do that we
5 don't do? And sometimes, we need to put energy
6 into combing those reports. They go, oh, you know
7 what? You use these vet drugs that either we
8 don't approve or we don't have approved. Right?
9 So you can't use, versus we don't even have them
10 -- or pesticides or something, to say, okay,
11 great. So, maybe we need to talk about them
12 across the agencies and see if that's worth
13 putting into the program.

14 DR. SINGH: One quick comment. This is
15 Manpreet again.

16 It's not necessarily only a list of
17 drugs which are -- they use, because you said, you
18 know, there was like that unexpected chance, also.
19 And what if there is a chance that the unexpected
20 is happening, and here, we have to go and identify
21 that.

22 DR. BENNETT: So, something is happening

1 in their country, and we need to figure out what
2 that is, and then consider testing for it?

3 DR. SINGH: Right. Maybe there is
4 someone who is exporting into the U.S. from their
5 country, but then there's an unexpected chance
6 that something happens from a --

7 DR. BENNETT: Okay. Something happened
8 to that product?

9 DR. SINGH: Right.

10 DR. BENNETT: Okay.

11 DR. SINGH: I don't know how that would
12 be captured.

13 DR. BENNETT: Yeah, and I was just going
14 to say, so how do we identify that? I mean,
15 certainly, if we could, that would fall into like
16 our Montana fire, right? Chemical fire?

17 SPEAKER: Yeah.

18 MR. WILSON: This is George Wilson,
19 Wilson and Associates.

20 I think from my perspective in ranking
21 -- if it's relative, you've got to look at risk.

22 DR. BENNETT: Mm-hmm.

1 MR. WILSON: What is the risk for food
2 borne illness? Short-term or long-term? You
3 know? I mean, if you have a continuous problem,
4 that may be at an acceptable level, but over a
5 long-term exposure, do you have a problem there?

6 So, I think you've got to weigh -- it's
7 not one bucket fits all. You've got to weigh what
8 is the chemical; is it an herbicide, a pesticide,
9 antimicrobial, hormone? You know? And really look
10 at what are those risks, and focus on those that
11 are really critical.

12 And then, on the international
13 perspective, each country is different. Southeast
14 Asia; they have stringent regulations on the use
15 of herbicides and pesticides. However, they're
16 not able to enforce those regulations. And I
17 picked up a lot of information in sitting in on
18 some of the Chifsan training programs for the lab
19 capacity building.

20 And every country is unique, I mean, in
21 the world. So you've got to know what's going on
22 in each country. And so, that's another factor or

1 element when you're looking on the import side,
2 because the samples you're taking right now are
3 really verification of -- you know, they are
4 testing and nothing is getting through. It's just
5 a board -- you know, it's not one bucket is going
6 to fit all. You're going to have to look at
7 geographies, countries by specific -- and take the
8 tiered approach that you have, those countries
9 that have a very robust system versus those that
10 are in development that are now developing the lab
11 capacity, which is good, but it's still that area
12 that is going to take time.

13 So, those may be those countries that
14 you want to focus more emphasis on, on the
15 importance coming. That was just a general
16 comment I had in that area.

17 DR. BENNETT: You know, I think when we
18 talk about ranking, too -- so there's a practical
19 side of me that's coming out. Right? And with
20 our lab folks, it's not like -- they don't want me
21 to say, so, will you just add this chemical A?
22 They want me to say, will you add a class of

1 chemicals or a group of stuff --

2 MS. O'KEEFE: Justify it.

3 DR. BENNETT: -- because it takes almost
4 as much energy to add 20 very similar chemicals
5 than just to add the one. And so that's where I
6 kind of go -- and we sit there and say to them,
7 look, you can add a few of these and a few -- you
8 know, so the question is, should we add a few of
9 these and a few of those, because of risk?

10 And certainly, that's something we can
11 determine and say, you know, there really is a
12 smattering of risk across all these types. Or
13 just say for practicality, and it's better to have
14 as many chemicals as possible to say, fine, do as
15 many as you can, and you know, with a cutoff
16 saying we'll kind of rank them, and then push as
17 many as you can. And maybe that's not a fair
18 question, and that's okay for you to say that, as
19 well.

20 DR. BOOREN: This is Betsy with the Meat
21 Institute.

22 You know, one of the things I'm getting

1 here is, what's the process. You know?

2 DR. BENNETT: Mm-hmm.

3 DR. BOOREN: What is the process of
4 evaluating these chemical risks? And the
5 challenge I'm having is, it's not just emphasized
6 because you're in establishments. It's also the
7 EPA. It's also APHIS. It's FDA approvals.

8 One question would be, how often -- it
9 would seem to me that that collaboration of
10 regulatory groups, it would be beneficial if
11 you're not getting together and saying, what
12 trends are you seeing. Because if FDA is
13 approving new classes of drugs, that will have a
14 downstream implication as well as initiating.

15 I don't know from an interagency
16 standpoint how many of those discussions come on,
17 but from a process standpoint, it would seem that
18 the communication among those agencies should give
19 an idea of what might be coming down the track
20 outside of the emergency, outside of a fire or a
21 chemical spill or a pesticide; that there would be
22 a process to track either new innovations or for

1 seeing other samples. Is that going on?

2 DR. BENNETT: It is probably more expert
3 elicitation, I think, than truly a, let's start
4 with the risk and prioritize from there, if that
5 makes sense. And certainly, I mean, I think we
6 all agree that we need to control the beast of it
7 more. I mean, we've got it by the -- no, we don't
8 even have it by the tail.

9 DR. BOOREN: Then one of my
10 recommendations would be, and I'd open this up to
11 the group -- would be to have that -- recommend
12 that you have a collaboration with those
13 interagencies to have some of those discussions.
14 You should have indications from the FDA that
15 they're getting the new drug approvals or chemical
16 approvals, or EPA with the pesticides.

17 That should help you decide if there
18 should be further investigation into your own
19 methods and tracking. To me, that's a process
20 that I could recommend. I don't know what others
21 in the group think of that, but again, this gets
22 to the gray area of these issues.

1 DR. BENNETT: So, let me say something
2 else, too. That's great, Betsy, with stuff that's
3 new. So also -- and what I have to say is that
4 once we brought these new methods on, these multi
5 analytic methods, then there's also still a catch
6 up period. Right? And this is maybe part of what
7 we're asking you, is -- so there's the chemicals
8 that we never had in the program that we probably
9 should have had.

10 And then, there's new chemicals that are
11 coming on board. And then, there's the
12 information about what the international folks are
13 doing, and it's just trying to figure it out. And
14 maybe, really the answer is that we need to figure
15 out a prioritized system, and then say, you know,
16 here's the 20 year plan, and this is where we'll
17 be in 20 years, and let's just keep backing it up,
18 and now we know what our folks are going to be
19 doing over the next three to five years of adding
20 -- which chemicals they add to, barring the weird
21 stuff that comes down the pike.

22 DR. BOOREN: I think having a process

1 set up that allows industry to comment, other
2 groups to comment -- you would get some feedback
3 that could help longer term.

4 DR. BENNETT: Okay.

5 DR. BOOREN: I know, you know,
6 challenges are when we see new methods, we may not
7 -- certain industry may not be engaged, but I
8 think having those and then having a report out
9 could provide you across all the agencies, very
10 important information that may help.

11 DR. CURTIS: Pat Curtis, Auburn. This
12 is what I was trying to get at earlier when I
13 asked you about --

14 DR. BENNETT: Yeah, you're right. You
15 were, weren't you?

16 DR. CURTIS: You know, what was your
17 process for determining what was on your list, and
18 when you added something new or got rid of
19 something. And to me, I agree with Betsy. I
20 think you need a process, and you need it at a
21 time -- maybe it's my HAACP background (Laughter)
22 that comes through. But you need to have a set

1 time that you're evaluating this, and you need all
2 the parties in. And it would make sense that the
3 lab people that were developing these methods
4 heard what the rest of the group that was looking
5 at the risk and what those components were,
6 because they may see certain ways of you know,
7 grouping things together or finding better methods
8 to do those.

9 DR. BENNETT: Okay.

10 DR. CURTIS: And it just seems like
11 maybe the recommendation is that you create a
12 process with all of the groups and have a regular
13 re-evaluation of what they are testing --

14 (Discussion off the record)

15 DR. RYBOLT: -- should establish a
16 method for reviewing included hazards at some
17 periodic basis with its partner agencies to
18 include international partners. And so that came
19 in for the unknowns. Right? And so, if there was
20 a new known in another country, melamine -- you
21 know, of course, we found an (Inaudible) with
22 that, but then, we know we can add that to our

1 list or whatever.

2 DR. BENNETT: Okay.

3 DR. RYBOLT: So that might include up
4 here as well as down here, somehow. But some of
5 the key points, relative risk, public health
6 based. You know, I threw that in there, and just
7 threw in a conversation, because what's the point
8 in testing if it's not public health based?
9 Right?

10 DR. BENNETT: Absolutely. And that's a
11 big thing.

12 DR. RYBOLT: I mean, I'm not going to --

13 DR. BENNETT: People say they want us to
14 test everything under the sun.

15 DR. RYBOLT: Yeah.

16 DR. BENNETT: It's like, but it's not in
17 our products at a certain level.

18 DR. RYBOLT: Yeah.

19 DR. BENNETT: Not in our box.

20 DR. RYBOLT: If there's no risk, why do
21 it?

22 (Simultaneous discussion)

1 DR. RYBOLT: There was a variation of
2 things. George made a comment about variations,
3 or Manpreet made a comment about variation between
4 countries.

5 DR. BENNETT: Okay.

6 DR. RYBOLT: Betsy has established
7 process, the vet chemical hazard with interagency
8 collaboration, similar to what Pat was saying.
9 So, I think that kind of captures the spirit of
10 the conversation.

11 Other comments on this last one that's
12 not captured here?

13 DR. MARCY: John Marcy, University of
14 Arkansas. Just to elaborate a little bit where --
15 you know, not just vet chemicals, but BPA with
16 pesticides -- you know, they're being released.
17 There's a mechanism -- you know, they have a
18 registered use. So, you need to evaluate -- or
19 the safety of these as they're being used by their
20 listing is one thing. And then you know, also,
21 the possibility of -- is there any possibility of
22 them being used off label?

1 And will that increase the possibility
2 of them getting into the meat supply? But that
3 doesn't mean you test for it, but you need to
4 consider what happens if it's not used according
5 to list.

6 DR. BENNETT: And I think that's
7 something that we've really been trying to work on
8 these last couple of years --

9 DR. MARCY: Right.

10 DR. BENNETT: -- is to be flexible
11 enough. You know, like the machine is always
12 going. We've got the surveillance. We're
13 constantly trying to kind of think about the
14 chemicals that we should have in the program.

15 (Simultaneous discussion)

16 DR. BENNETT: And manage them, because
17 we don't need to test them at all times. And
18 then, kind of dealing with you know, the little
19 fires that pop up and trying to do all things at
20 all times for all people.

21 DR. BOOREN: But I think the review of
22 the surveillance program, it allows you to add

1 risk if needed, but also, remove risks.

2 DR. BENNETT: And I think the labs would
3 love you for that --

4 DR. BOOREN: But I think that we need to
5 make sure, Michael, we document that. It's really
6 easy to keep adding, but I think there are many
7 times where we have issues that we perhaps deal
8 with and address, and it gets down to where do we
9 put resources? In my mind, we need to -- it's
10 both review to keep and review to remove.

11 DR. BENNETT: And I think FSIS would
12 absolutely agree with you on that. I mean, again,
13 it's something that -- especially with our lab
14 folks, that we're constantly saying, we're happy
15 to continue to add, but at what point do you say
16 we have enough information to know that we don't
17 need to test this for this --

18 DR. BOOREN: And it's not to say you
19 can't add it on a later date.

20 DR. BENNETT: Exactly.

21 DR. BOOREN: But it's a living --

22 DR. BENNETT: Right. Absolutely.

1 MS. O'KEEFE: And this is Margaret,
2 FSIS. I guess also part of the question for is --
3 Traditionally, what is your -- should
4 they all be grouped together to see -- like
5 pesticides, vet drugs, knowing that risk of
6 exposure are different for pesticides and vet
7 drugs. When we talk about -- that's one of the
8 things -- do we consider them -- the categories --
9 do we treat the categories differently or with
10 different frequencies or things like that?

11 DR. RYBOLT: In what way?

12 MS. O'KEEFE: That was also --

13 DR. RYBOLT: In what way would you --

14 DR. BENNETT: As your question again.

15 DR. RYBOLT: In what way, though?
16 That's what I was trying to understand on this
17 question. We kind of took it in a different
18 direction than what I was thinking --

19 DR. BOOREN: Perhaps allocation of
20 resources?

21 DR. BENNETT: And maybe that's based on
22 really finally coming to grips and creating a

1 prioritization list. And the prioritization risk
2 -- again, so let's go back and use the logit model
3 that we have been toying with --

4 MS. O'KEEFE: And those are all
5 together.

6 DR. BENNETT: Because -- right, they're
7 all together. But we agree upon these variables,
8 that you know, we could get blessed kind of across
9 the stakeholders and say these are the things that
10 we should be looking at, our risk factors. And
11 so, we come up with our list of 500, or whatever
12 the chemicals, because we know it's something we
13 want to work on for a period of time.

14 And we go, okay, great. So now, how do
15 you start going about dividing the baby -- well,
16 that wasn't probably the right analogy (Laughter).
17 But anyway --

18 DR. CRUPAIN: I would add -- Michael
19 Crupain from Consumer Reports.

20 I would add that I couldn't -- I think
21 it would be hard to rank them, like pesticides
22 versus antibiotics versus something -- heavy

1 metals. Like they're all public health issues,
2 and so I wouldn't feel comfortable saying we
3 should work on one over the other.

4 (Simultaneous discussion)

5 DR. CRUPAIN: But maybe I would be
6 comfortable saying I have some intelligence that
7 suggests that I'm going to find more pesticide
8 residues or higher levels of pesticide residues,
9 and I should focus on that, because I don't have
10 that data right now. You know what I mean?

11 DR. BOOREN: Put in the context of why
12 you perhaps, are doing certain types --

13 DR. CRUPAIN: Yeah, I would prioritize
14 things that raise the level of concern, because I
15 had some evidence pointing to their presence being
16 there now, and I need to know more about that.

17 DR. BENNETT: And again, what we are
18 talking about is, it's the -- there are different
19 labs working on different methods, and they all
20 have their own agendas and they have their own
21 time schedules. So, it could be that there's a
22 few methods that are being moved along.

1 And like I said, the other issue is,
2 we're also continuing to extend methods to the
3 different production classes, because the new
4 methods -- you know, we start and go species by
5 species. And so that takes time. And again,
6 you're right. It's never saying this is -- that
7 it's not going to be done. It's just what am I
8 going to do first? And that's really the
9 question.

10 So okay, so maybe it's just we figure
11 out how we think -- we figure out the kind of
12 world of chemicals we should at least start
13 prioritizing, figure out an accepted way to
14 prioritize them, and then figure out how to roll
15 them in and out of the system.

16 DR. RYBOLT: Does this kind of capture
17 what you were saying, though, in really brief,
18 concise (Laughter) --

19 DR. CRUPAIN: You need to add a few more
20 letters to intelligence, I think we can (Laughter)
21 --

22 DR. RYBOLT: To building that process.

1 DR. BENNETT: Yes. So, we actually have
2 been talking for a couple of years -- and this is
3 Patty Bennett, again, with FSIS.

4 And what we have called it is hazard
5 identification, prioritization and management.
6 And that's really been the phrase, so to speak, of
7 what we've been doing. And so, it's actually
8 quite a validation for you guys, going at -- you
9 know, you actually do need a system.

10 And it's hard to -- it's not so black
11 and white. And maybe the black and white doesn't
12 matter. So maybe that's -- I think that's a
13 little bit of what I'm hearing, as long as we all
14 kind of agree that this is probably the right
15 direction to go in, and to be flexible enough that
16 when the crisis happens, we're okay to stop, deal
17 with the crisis and then come back and kind of
18 keep progressing down our little pathway.

19 MR. PUZO: Okay, we have three committee
20 members that have wanted to make comments.

21 DR. RYBOLT: And we have a little over
22 minutes to finish, too. So I think, Brian?

1 MR. SAPP: Brian Sapp, White Oak
2 Pastures. It kind of goes back to my question
3 from this morning. You've got the targeted
4 implant testing going on, and you've got 5,000
5 tests, of which 1,000 were sent to the lab and
6 confirmed.

7 MS. O'KEEFE: Five thousand were sent to
8 the lab. One thousand were violative.

9 MR. SAPP: Okay, thank you for that
10 clarification.

11 MS. O'KEEFE: Yes.

12 MR. SAPP: But what if there is an
13 unknown chemical there in 1,000 other samples that
14 you don't have a test for? How is the agency
15 addressing you know, something like that? If
16 there's a chemical being used off label somewhere
17 in the United States and the KIS test is picking
18 it up, but you don't have a test in the lab to
19 verify that that's what it is, how does that
20 system work?

21 And is there a way to try to identify
22 you know, more of those KIS test positives and

1 identifying oh yeah, we've got -- there are 500
2 samples here that are the same chemical. Where is
3 it coming from? Is it an off label use? Is it an
4 overuse you know, through VETMED? You know, how
5 does that work?

6 MS. O'KEEFE: This is Margaret, FSIS.
7 In the eastern lab, we've recently tried -- and
8 maybe you know more -- we tried a system where the
9 labs analyzed a certain number of samples and
10 scanned like the top 20 peaks, just to see what we
11 were finding in that. But again, that's a method
12 that there's a finite number of compounds in it.
13 And we did look at that as -- but it was again,
14 coming from like a regulatory agency that was --

15 DR. BENNETT: And I think a lot of it,
16 too, is intelligence. It's somebody telling us
17 that they did it. And thank goodness, people do
18 rat each other out (Laughter), and so we do get
19 intelligence that way. But you're right. And
20 that goes back to the question that we asked you
21 before; how much energy should we spend in the
22 unknowns?

1 So, at least -- for once I said, at
2 least you guys are saying go and really look at it
3 internationally and see if there are chemicals,
4 because here's something else. And I hadn't put
5 this together until recently, and it was like oh
6 my gosh, the chloramphenicol thing. So, it's not
7 just what they might be using on their animals and
8 sending it to us. It's also the drugs that we are
9 buying from them and using on our animals and
10 sending them to slaughter.

11 And then, that gets exactly to what you
12 said, because we're not testing for that chemical
13 because of course, it's not approved in our
14 country. But they bought it someplace else. And
15 that's something that -- you're right. Okay, I
16 worry about that. So, that's my question to you
17 guys. How much should I worry about it?

18 MR. SAPP: Well, I think that -- Brian
19 Sapp with White Oak Pastures again.

20 I think that also goes back to you know,
21 having these meetings with the EPA, the APHIS, you
22 know, figuring out what drugs you know, the FDA

1 has approved where you can say, you know, FDA
2 doesn't come back when you have a problem and say,
3 oh yeah, we approved that six months ago. Well,
4 why didn't you tell us? We don't have a test for
5 that.

6 DR. BENNETT: Well, but no, we have
7 those conversations.

8 MR. SAPP: Okay.

9 DR. BENNETT: So, I don't worry about
10 that. They are very good. Because again,
11 remember what I said before, was that -- I mean,
12 drug is -- it's like a great new pair of shoes.
13 Right?

14 MR. SAPP: Mm-hmm.

15 DR. BENNETT: I mean, you can't wait to
16 wear them and buy them and have them. Right?

17 MS. O'KEEFE: Right.

18 DR. BENNETT: So, I don't worry about
19 the drugs that FDA says it's on the market,
20 because everybody is going to want to use it.
21 Right?

22 MS. O'KEEFE: It's like a little

1 present.

2 DR. BENNETT: Exactly. Right?

3 (Laughter) It's the new miracle
4 drug.

5 (Simultaneous discussion)

6 DR. BENNETT: It's the new magic bullet.

7 It's the stuff that FDA didn't approve, isn't
8 going to, but somebody else and we can get --
9 somebody else can get their hands on it and use
10 it. So that is a concern, but I don't know how
11 much of a concern it is, because we're not looking
12 for it.

13 DR. RYBOLT: Is that domestic or
14 international?

15 DR. BENNETT: Well, two ways. Right?

16 DR. RYBOLT: Yeah.

17 DR. BENNETT: So the one way is kind of
18 what we're talking about. They're using it in
19 their country and sending us the meat products.
20 But the other concern, too, is -- so we had
21 chloramphenicol issue. Well you know, nobody
22 should be using chloramphenicol in our production

1 animals. Somebody was using it. And you just
2 kind of go, okay, so where did you get this from?
3 And we didn't use it. Uh-huh. So, where did they
4 get it from?

5 So, it's that kind of thing. It's like
6 where are people getting drugs that they could be
7 using that we wouldn't have -- because we're
8 having a conversation with the FDA. We're
9 catching what they're putting on the market, but
10 we're perhaps not getting the drugs that somebody
11 else has got on the market. So, I think that's a
12 gap in our system. I just don't know how
13 important it is.

14 DR. VETTER: Dana Vetter, NAVV. Just
15 two really quick -- two really quick comments just
16 on public health risk, and I'm sure you're aware
17 of it, Meg, when you flesh this out. You know,
18 some chemicals -- usually when you're talking
19 about pesticides and metals, you're talking about
20 long-term exposure, and when you're talking about
21 antibiotic risk, that can have more immediate
22 impacts. So you know, those are very different

1 aspects of risk that need to be considered if
2 you're going to rank it.

3 And then, another aside to piggyback on
4 what you were just saying is, have we consulted
5 with or had any dialogue with AVMA on off label
6 drug use; practices in particularly, agriculture?

7 MS. O'KEEFE: Aside from knowing the
8 approved drugs, just think that -- I mean, we're
9 well aware of that, but the --

10 DR. VETTER: And would that be useful?

11 DR. BENNETT: Do you think AVMA would
12 have that information (Laughter)?

13 DR. VETTER: I don't know (Laughter).
14 But they might be willing to look into it.

15 DR. BENNETT: Okay.

16 DR. CRUPAIN: So, Michael Crupain,
17 Consumer Reports.

18 I think that if a drug is not approved
19 in this country or is actually banned in this
20 country, or we know that they're using it and that
21 it's approved in another country, it could be used
22 in another country, I think that would be a

1 valuable thing to look at, because there's a
2 reason we don't have it approved here, and that's
3 because we haven't demonstrated its safety or
4 efficacy, or we have decided it's not safe. And
5 so, I think that's a valuable --

6 DR. BENNETT: And particularly if we can
7 get that information, yes. That would --

8 (Simultaneous discussion)

9 DR. CRUPAIN: I mean, yeah, if you can
10 get that intelligence from what's going on in
11 another country, I think that should be on your
12 list of something that you should look for.

13 DR. BENNETT: Okay.

14 DR. CRUPAIN: I think that's important.
15 And that's different than extra label use --

16 DR. BENNETT: Right.

17 DR. CRUPAIN: -- which is an approved
18 drug, which that's a different thing.

19 DR. BENNETT: Right, so we're talking
20 about something that's coming in to this country.

21 DR. CRUPAIN: Yeah, or as you said, it
22 could come in actually in the meat, or it could

1 come in in the feed or something that's being
2 imported.

3 DR. BENNETT: Or we're being it and
4 we're using it on our own animals domestically.

5 DR. CRUPAIN: Yeah. The other thing I
6 just wanted to say from before is, I think -- I
7 don't know which question this fits in, and maybe
8 it's too specific, but before you remove something
9 from the list that you're testing for, you'd
10 better be damn sure that you're really not finding
11 it.

12 So I look at -- I'm really interested in
13 arsenic. I spend a lot of time looking at
14 arsenic, and you find no level -- zero arsenic in
15 any samples you test, but the levels that you're
16 looking for are way too high. So you're looking
17 for 200 parts per billion, which you'll never find
18 in meat, because that's really, really high. So,
19 I would like you to reconsider the levels that
20 you're testing for.

21 I would think you should lower your
22 level of protection for that. That might be too

1 specific, but I wouldn't want you to stop testing
2 that, because I think arsenic is an important
3 issue, and there's lots of ways we input arsenic
4 into our food system.

5 (Simultaneous discussion)

6 DR. BENNETT: I think that's a fair
7 comment.

8 MR. PUZO: We trust that's a --

9 DR. BENNETT: And we do struggle with --
10 because that is a decision. Right? How low do we
11 want to go? And is it because there's a
12 tolerance? Is it because we need to be aware? Is
13 it because -- how much energy do we have to get it
14 to a level where it would be useful? Absolutely.

15 But I would say, too, when we talk about
16 managing chemicals, really, if it was important
17 for us to put into the method, the program to
18 begin with, we're not going to get rid of it.
19 Now, we may rotate it out for a few years, but my
20 guess is that would be something -- and so that
21 would be part of that decision process.

22 So, how often do we need to check on

1 that to make sure that we're doing okay? Because
2 it's not worth investing in any chemical if we're
3 really not going to keep it, even if we don't test
4 for it all the time.

5 MR. PUZO: Okay. Well, we're coming on
6 the final minutes, and do you want to have the
7 arsenic --

8 (Simultaneous discussion)

9 DR. CRUPAIN: I would like that, if they
10 would --

11 MR. PUZO: That's up to you, committee
12 members.

13 DR. BOOREN: Well, I would disagree. I
14 don't think we need to be specific, but I think we
15 should be specific in the process in which we
16 recommend that they evaluate. I think if we get
17 into specific chemicals, Mike -- when you were
18 talking, Michael, my thought was -- we want to be
19 thoughtful if you're adding and removing.

20 But I think what you hit on is there's
21 something very important for your segment of whom
22 you're representing. I may have other interests.

1 I think it's incumbent on the agencies in this
2 meeting to solicit comments and review them
3 thoughtfully. And that way, you have a chance to
4 comment. I have a chance to comment.

5 We can go through this process and then
6 trust the agencies to make the best public health
7 decision. But I wouldn't want to specifically
8 target specific chemicals and levels. I don't
9 think we have the expertise of this group to do
10 that.

11 DR. BENNETT: Okay.

12 DR. BOOREN: But I would recommend a
13 process of soliciting that type of information and
14 making sure that's included.

15 DR. RYBOLT: It makes sense to me do
16 that. And so, would we throw that in here with
17 the removing -- the part we talk about removing
18 and adding? And then also, looking at levels,
19 tolerances or whatever?

20 DR. CRUPAIN: I don't know if it's in,
21 but I guess there should be -- maybe there exists
22 already? Can I -- I mean, I could probably just

1 send you an email and say you should look at --

2 DR. BENNETT: Send it in.

3 DR. CRUPAIN: We're talking about a
4 process for -- like for me, for example, for this
5 arsenic issue, I think I would like you to
6 re-review how you do this test. Is there a
7 process for that, or should I just send you an
8 email?

9 (Laughter) Or should it be a more
10 formal process?

11 DR. BENNETT: That's a great question.
12 I don't know the answer. If another agency asked
13 us, that's a different answer, because it's an
14 interagency process. I don't know what to say
15 when a stakeholder says, hey, would you review
16 that.

17 Can I think about that and see if I can
18 find an answer for you tomorrow?

19 DR. CRUPAIN: Yeah.

20 MS. O'KEEFE: Yeah.

21 DR. BOOREN: But I think that just
22 reiterates the process --

1 MR. PUZO: Okay, two quick things we
2 need. We're in the final minutes. We haven't
3 answered 2B. And the other issue, while you're
4 thinking about that, is that we are state
5 colleagues here, suggested comparing state
6 laboratory test data to FSIS data, in particular,
7 the small plants.

8 (Simultaneous discussion)

9 MR. PUZO: But is that a formal
10 recommendation to compare the state data with
11 FSIS?

12 DR. CRUPAIN: Michael Crupain, again.
13 Is there anyone against adding something in here
14 that they -- that FSIS should release more of the
15 data or more interpretations of the data, as I
16 suggested earlier?

17 DR. BENNETT: The communication? Was
18 that not part of the recommendations --

19 DR. CRUPAIN: It didn't get written up
20 there that there should be more --

21 DR. BENNETT: -- or whatever -- however
22 Betsy described it would good.

1 DR. CRUPAIN: A more detailed analysis
2 of data.

3 DR. BOOREN: Context, yeah. I think we
4 were all saying sort of the same -- sort of -- I
5 would have no problem adding the language. It's
6 more for the importance of the context; what is
7 actually being said. It can be applied to
8 different stakeholder groups.

9 DR. BENNETT: But I think that's
10 different from what you just said.

11 DR. CRUPAIN: Well, that would include,
12 for my stakeholder group --

13 DR. BENNETT: Yeah.

14 DR. CRUPAIN: -- having more data. So,
15 maybe if we could -- if there's no objection to
16 including the language -- including you know,
17 parentheses or something, including releasing a
18 more detailed analysis of the available data.

19 DR. RYBOLT: That's what you were
20 saying?

21 DR. CRUPAIN: Yeah.

22 DR. BENNETT: So we have -- this is

1 Patty Bennett again, with FSIS.

2 We should have like standard language in
3 the red book, blue book and the quarterly reports
4 that if you would like to -- so maybe that answers
5 your question. If you would like to see changes
6 in the documents that we put out currently that
7 you can actually contact --

8 SPEAKER: Email and phone number.

9 DR. BENNETT: We have an email and a
10 phone number that you can actually call, so either
11 red book or the quarterly reports, there should be
12 contact information. So that actually might
13 answer your question.

14 (Simultaneous discussion)

15 DR. BENNETT: At least we would have it
16 down and we could start moving it to --

17 DR. RYBOLT: Where is the residue on the
18 data release? Is it on the list?

19 DR. BOOREN: It was RTE and it was E
20 coli.

21 DR. BOOREN: But you know, the red book
22 -- which one is -- one is sampling. I never know

1 which colors, which book (Laughter). The result
2 book?

3 MS. O'KEEFE: Is the red book.

4 DR. BOOREN: The red book. I got it
5 right. The red book does show a lot of that data,
6 but I know that there's a backlog. I think
7 Michael, to your point, is encouragement of
8 recommending that that report be released in a
9 more timely fashion.

10 DR. BENNETT: Yeah, and to that end, I
11 will tell you that we have been working very hard,
12 and we have actually proposed, because of our
13 international folks, that we put out the blue book
14 by September 30th to meet with our fiscal year,
15 because that helps with our trading partners, and
16 the red books come by the end of the calendar
17 year, December 31st.

18 So yes, we are two years behind. But we
19 do have the quarterly reports out, so that kind of
20 closes the gap a little bit. But absolutely, we
21 are -- if it kills somebody, we're going to get
22 those books out (Laughter).

1 MS. O'KEEFE: Yes.

2 DR. BENNETT: So that is our goal, and
3 it makes sense. It's very hard to do business
4 when we don't even have the data available.
5 So, absolutely. But we're happy for you to make
6 that recommendation, if that's what you want.
7 Yes, Naser said thank you, too (Laughter).

8 DR. BOOREN: It's good to put a name to
9 a face for a report (Laughter).

10 DR. BENNETT: Poor Naser, he's going to
11 have to do a name change.

12 DR. RYBOLT: I think we captured the
13 spirit of what you were suggesting there, and
14 we'll flesh out details. Obviously, we'll have to
15 get more --

16 DR. BENNETT: Right, plus, we will have
17 to get --

18 DR. RYBOLT: Wordy. But one thing we
19 haven't touched on is, emphasize allocating the
20 right portion of samples across the domestic
21 program scheduled versus inspector generated.
22 That's one that I haven't heard any comments on,

1 really. We've talked around it, but we really
2 haven't addressed the question itself.

3 And so we have -- go ahead.

4 DR. BOOREN: I was just going to say,
5 based on what I have -- this has been a very
6 enlightening conversation in the last couple of
7 hours. It's been interesting. I think from a
8 domestic program, based on what I'm hearing from
9 staff is you're very -- you're confident that the
10 number of samples are representative of the
11 surveillance program for the population within
12 each species.

13 And the inspector generated program is,
14 we have trained inspectors in facilities that if
15 they initiate -- see a concern that they're able
16 and have the power to take a sample as often as
17 they need to, to feel confident of safety of the
18 food supply. Is that --

19 DR. BENNETT: Yes.

20 DR. BOOREN: Okay. If that's the case
21 from a process standpoint, I think we've hit the
22 target.

1 DR. RYBOLT: Yeah.

2 DR. BOOREN: That's just my gut feeling.

3 I get the feeling knowing -- talking to our
4 members and knowing how many inspector generated
5 samples are being taken, they feel confident that
6 their inspectors can do that. I'm comfortable
7 with what's proposed. I'm open to other
8 recommendations, but that's just we're I'm at.

9 DR. RYBOLT: I'm at the same place.

10 DR. BOOREN: Betsy at the Institute.

11 Forgot to do that at the beginning.

12 MR. SAPP: Brian Sapp, White Oak
13 Pastures. I would agree with you. If we, you
14 know, do something to make sure that those
15 employees are being trained correctly and taking
16 the test correctly. If they're not trained and
17 they're not taking the test correctly, the test is
18 really no good for us to use.

19 So, I guess that training program would
20 come through the district offices. You know? But
21 I think that's an important aspect of the testing
22 is, you know, the employee training part of it.

1 DR. BENNETT: You mean in terms of how
2 to interpret the test or how to choose -- decide
3 when to do the test?

4 MR. SAPP: All of the above.

5 DR. BENNETT: See the above.

6 MR. SAPP: All of the above.

7 DR. BENNETT: So, the test has been in
8 place for a few years now, 2009. Right? 2009?

9 DR. BENNETT: So, we've gotten over the
10 hump of people understanding literally, how to run
11 the test. So, I think we're good there.

12 (Simultaneous discussion)

13 DR. BENNETT: We just reissued directive
14 10,800 where we kind of cleaned up the guidance
15 that we've always had, and reissued that.
16 Certainly, training is always something that I
17 think we can always get better at and to stay on
18 it. So yeah, always a work in progress.

19 DR. RYBOLT: What about correlations?

20 DR. BENNETT: We do have correlations.
21 There are district correlations. I don't always
22 get the opportunity to attend all of them. There

1 is also a -- in fact, it was today, but I came
2 here instead. The DVMSS -- so the veterinarians
3 who are kind of specialists in terms of looking
4 for residue issues -- they meet monthly, and they
5 actually talk about you know, what are they seeing
6 kind of across the districts, and talk about some
7 of the issues that -- and they try and resolve
8 them collectively.

9 You know, again, I don't work in OFO, so
10 I'm not a hundred percent sure, but it seems like
11 there are some processes in place for them to
12 correlate over these issues.

13 MR. SAPP: Brian Sapp, White Oak
14 Pastures again. You know, and I think there's
15 some disconnect as well, in inspection personnel
16 in large facilities and inspection personnel in
17 small facilities or very small facilities as far
18 as their training and what they're capable of
19 doing.

20 DR. BENNETT: Okay.

21 MR. SAPP: Or, you know, the resources
22 they have as far as, you know, if you're in a

1 large facility, you've got a veterinarian on
2 staff. If you're in a very small facility, you
3 would be you know, an inspector in charge. You
4 know, and then the veterinarian may be four hours
5 away. You know, so who is doing that testing, and
6 you know, going through the district offices to
7 make sure that the right person is doing the test
8 and doing the test right in a timely fashion, you
9 know, for the facility? Those are three points
10 that I think would be important.

11 DR. RYBOLT: Is that a training
12 opportunity or how --

13 DR. BENNETT: It may be a resource
14 thing, as well.

15 DR. RYBOLT: I mean, you have the
16 directive. Right?

17 DR. CURTIS: So, you're primarily
18 concerned with the small plant training?

19 MR. SAPP: Correct. Inspectors in small
20 facilities for your state sponsored program, as
21 well.

22 DR. RYBOLT: Does that work with the

1 cooperative extension unit somehow? You know, I
2 guess it's not planned through inspectors only
3 though.

4 SPEAKER: Yeah, I don't --

5 MR. SAPP: Yeah, never mind

6 (Laughter).

7 (Pause)

8 MR. SAPP: I guess training through the
9 district office.

10 DR. RYBOLT: Yeah.

11 MR. SAPP: You know, through directives
12 or you know, in person training.

13 DR. CURTIS: Well, there is inspector
14 training.

15 DR. MARCY: Sure.

16 (Simultaneous discussion)

17 DR. MARCY: At the district. Right?

18 DR. BENNETT: And I know that they also
19 -- this is Patty Bennett with FSIS.

20 It's my impression that a lot of the
21 inspectors have closer ties with the policy group
22 that's in Omaha. And a lot of them, especially

1 the veterinarians, do a lot of Q&A back and forth
2 with the inspectors. And I know they'll do like
3 (Inaudible 00:58:40) relations with some of the
4 inspectors, if they're having trouble -- I don't
5 know, making judgment calls on what they're seeing
6 or when to test or something like that.

7 Now, is it enough? I don't know. I'm
8 not there. But I am aware that it exists.

9 DR. CRUPAIN: This is Michael Crupain.
10 Is this question asking should there be a limit on
11 inspector generated testing? Because it seems
12 like that at the moment, you can have as much as
13 you want.

14 DR. RYBOLT: You can.

15 DR. CRUPAIN: So, is that what this
16 question is getting at?

17 MR. SAPP: Yeah, the term allocation is
18 kind of confusing.

19 DR. BENNETT: Oh, okay, sure. You know,
20 I think it goes back to -- so again, we have about
21 15,000 samples that we put towards residues. And
22 about five of those -- did I do that right? Does

1 that make sense? Five, six, 7,000 -- six or 7,000
2 go towards the inspector generated. Seven, six,
3 yeah, go towards the inspector generated, you
4 know, as a result of the KIS screens.

5 Is that enough? I mean, should we
6 encourage more testing at the implant level, so
7 that there are more samples that are kind of
8 moving in that direction? Or again, move samples
9 from another program and put it towards
10 encouraging more inspector generated?

11 (Simultaneous discussion)

12 DR. CRUPAIN: Are you saying -- go
13 ahead.

14 DR. MARCY: John Marcy, University of
15 Arkansas. I just got confused that -- I thought
16 5,000 was, if they were positive on the KIS, they
17 went to the -- you know, you're not allocating.
18 It's everything that was positive on that test
19 went on for --

20 DR. BENNETT: Right, but the --

21 DR. CRUPAIN: -- confirmation.

22 DR. BENNETT: But we still say --

1 because we can look and say, okay, so we've been
2 doing KIS testing for a years, and we know that
3 yeah, about -- I know it was a little lower for
4 '13, but about six to seven thousand samples end
5 up going to the lab for further confirmation that
6 come out of those KIS screens.

7 So, we can kind of plan for that,
8 because we see that same number of samples coming
9 out of the plant that are KIS positive and going
10 on to the lab. That's what I meant. Now, and you
11 say, well yeah, but Patty, they're being generated
12 out of the plant. But of course, we can drive
13 that a little harder if we wanted.

14 Right now, our policy is to say we've
15 provided you guidance. We train you. We leave it
16 to your direction. I mean, we could certainly
17 drive more targeted testing if we wanted to. For
18 instance, for a period of time, FDA had come to us
19 and they said, hey, we think that KIS negative
20 tests are actually positive, and you're missing
21 some positive results. You need to look into
22 that.

1 We said okay, we will. So, we said,
2 we'll want 300 KIS negative tests sent to the lab,
3 and we're going to -- if they're done for
4 mastitis, then we want you to lab confirm it. So,
5 that was a driven thing. Right? You know, as it
6 turns out, KIS was actually pretty good. I mean,
7 we found a few positives that we wouldn't have.

8 MS. O'KEEFE: Right.

9 DR. BENNETT: But by and large, they
10 were negative. So in that sense, I could see we
11 could drive them. Maybe not that the inspectors
12 have to do KIS tests, but maybe we could drive
13 some other targeted testing. So, that's what I
14 mean by that, if you're interested.

15 DR. CURTIS: Pat Curtis, Auburn
16 University. If there's a need for that, that's
17 fine. Otherwise, it looks like you could put in
18 the efforts for the training, and they were
19 really, truly trained, they should be able to
20 determine if they need to do the test.

21 DR. BENNETT: Right.

22 DR. CURTIS: And that goes back to what

1 Brian was saying. We just need to make sure that
2 everybody is equally trained --

3 DR. BENNETT: Okay.

4 DR. CURTIS: -- across.

5 DR. BENNETT: Sure.

6 DR. CURTIS: And I think there are
7 better opportunities for training in the large
8 plant for those inspectors than they are for some
9 of the small plant inspectors to get their
10 training. And so I think just emphasizing that
11 it's important that every -- all of the inspectors
12 across at all sized plants are truly trained on
13 this, so that they can understand.

14 DR. BENNETT: Sure.

15 DR. CURTIS: And there may still be
16 needs for occasional times, if you want to do the
17 targeted testing.

18 DR. VETTER: Dana Vetter, NAFV. And
19 just to -- as a side note, I do want to stress
20 that I think this is one of the things that we
21 actually do really good.

22 DR. BENNETT: Okay.

1 DR. VETTER: And that inspectors -- and
2 I think part of that is, again, the KIS test. I
3 think we implement really good training in how to
4 do it and perform it. But I think the other side
5 of that is that these suspect animals that we're
6 targeting, truly do tend to be more objective than
7 subjective. They usually do stand out. They're
8 typically sick animals or show animals or like we
9 said, we target these bob veal that have been
10 bottle fed and that sort of thing.

11 So, I'm not saying that we shouldn't put
12 that in there. I just wanted to make that
13 comment, that I feel like it is one of those things
14 that we do a really good job at when it comes to
15 that sort of thing, this targeted testing.

16 DR. RYBOLT: So I think I captured what
17 Brian -- what you were getting at, a
18 recommendation review. And the agency should
19 review inspector training and conduct periodic
20 reviews across research to ensure adequate
21 implementation with specific focus on small
22 establishments? I think it was not just small,

1 but you know, there should be -- to make sure that
2 we have that covered somehow. I don't know what
3 that means.

4 MR. SAPP: Maybe with more emphasis on
5 small

6 (Simultaneous discussion)

7 DR. RYBOLT: Yeah, with more --

8 DR. RYBOLT: Okay. We still use the
9 word allocation in here. I don't know if that's
10 still confusing for folks as far as the first
11 part of the question or really, the root of the
12 question, which is allocation across domestic
13 scheduling versus the inspector generated as
14 appropriate. Do we still think it's appropriate,
15 based on the way the question is worded?

16 (Simultaneous discussion)

17 DR. RYBOLT: I mean, allocation might
18 not be the right word, but I think everybody kind
19 of agrees with the way you have it set up. Right?
20 There's no cap on the inspector generated.

21 DR. BENNETT: Right.

22 DR. RYBOLT: So, yeah. So that covers

1 all the questions, I believe. We have 15 minutes.
2 I mean, we've still got to go through and work out
3 --

4 DR. BOOREN: Let's go through them,
5 Michael.

6 DR. RYBOLT: Yeah, that's what I wanted
7 to make sure.

8 (Simultaneous discussion)

9 DR. BOOREN: I know Michael had a
10 concern that we might not have captured some of
11 the communication (Inaudible 01:04:43), so I just
12 want to make sure --

13 DR. CRUPAIN: I think we can edit it.

14 DR. BOOREN: Okay, good.

15 DR. RYBOLT: So, do you want me to read
16 through it, or do you want to?

17 DR. BOOREN: Yeah.

18 DR. RYBOLT: Soon the first question --
19 I'm not going to read each one of the questions.
20 You guys have it in front of you and you have it
21 at the top. But for subpart A, the committee --
22 and please disregard some of the shorthand -- I'll

1 fix all that.

2 The subcommittee finds great value in
3 the National Residue Program. The subcommittee
4 recommends that the agency develop communication
5 tools to fully and clearly explain the program for
6 all stakeholders (consumers, industry, academia,
7 international trading partners, et cetera).
8 Surveillance is important for the international
9 trading partners, and therefore, domestic should
10 be included. That goes back to -- we require that
11 we should do it, too. Should include release of
12 more detailed analysis of available data in a more
13 timely fashion. That goes to kind of what Michael
14 was talking about.

15 Questions, comments on that; parts that
16 are not missing, if it's just -- okay?

17 DR. CRUPAIN: I don't understand when it
18 says, and therefore, domestic should be included.

19 DR. RYBOLT: That goes back to -- I
20 think Marcy made the comment.

21 DR. MARCY: Yeah, if we --

22 DR. RYBOLT: And Patty --

1 (Simultaneous discussion)

2 DR. MARCY: You know, foreign people to

3 --

4 DR. RYBOLT: Have a surveillance program

5 --

6 (Simultaneous discussion)

7 DR. MARCY: You have to do -- we can't

8 require them to do something that you're not

9 doing.

10 DR. RYBOLT: Yeah.

11 (Inaudible portion)

12 DR. MARCY: Right.

13 DR. BENNETT: That's a nice way to say,

14 is this accepted practice across the board?

15 DR. MARCY: It is.

16 DR. BOOREN: It's from a standpoint of

17 -- I think what we're getting at is the

18 equivalency of residue testing here; that that

19 domestic is for other international efforts.

20 DR. RYBOLT: Yeah. And we'll flesh that

21 out.

22 DR. CRUPAIN: Do you want to say,

1 therefore, a domestic program is important?

2 DR. MARCY: Right. Surveillance is
3 required for international. Right? Is it not?

4 DR. BENNETT: Yes.

5 DR. MARCY: Yeah, it's required.

6 DR. RYBOLT: Yeah.

7 (Simultaneous discussion)

8 DR. RYBOLT: Okay. We'll flesh that
9 out. But otherwise, is everybody okay with A?

10 (No response heard)

11 DR. RYBOLT: All right. And once we get
12 it finalized, printed out, everybody will have a
13 copy to review it and have your final say so.
14 Probably not this morning, but we'll do that.

15 Subpart B: The agency evaluate if there
16 are more findings in small plants, domestic and
17 import both within FSIS data, and also, state
18 residue programs. So, I think that kind of goes
19 with a little bit of what Kryzs was saying, and I
20 think Dr. Masters was up to talk about that.
21 Good?

22 (No response heard)

1 DR. RYBOLT: I'm just going to keep
2 going unless somebody just yells at me and tells
3 me to stop. (Laughter) We added subpart B. The
4 subcommittee recommends that the agency develop
5 interagency communications and clearly define
6 agency roles and responsibilities for
7 stakeholders.

8 Know your farmer, know your food was
9 something that Dan mentioned across departments,
10 also, so that kind of goes back to what Kryzs was
11 talking about, and Dr. Masters talked about, as
12 well, as far as having some sort of clear
13 understanding of how the residues play out across
14 the different agencies, whether it's EPA, FDA,
15 APHIS, whoever it is -- FSIS. Right?

16 (No response heard)

17 DR. RYBOLT: Okay. Number 2 has three
18 parts. So, subpart A. The process of weighing
19 the volume by species -- I don't know what I was
20 getting at with that one, but we were talking
21 about -- is emphasizing or allocating the right
22 proportion of samples for domestic versus import;

1 need to balance with equivalence, may have
2 stratified sampling programs.

3 Agency should consider appropriate drugs
4 in use in another country which are excluded or
5 banned in the U.S. I think that may have been --
6 somebody over here made that comment. Does that
7 make sense? Are there questions about that?

8 (No response heard)

9 DR. RYBOLT: It's still got to be
10 fleshed out, obviously, but Michael's like what

11 (Laughter)?

12 (Discussion off the record)

13 DR. BOOREN: The weighted by volume was
14 how they're currently doing it.

15 DR. RYBOLT: Mm-hmm.

16 DR. BOOREN: They're looking at --
17 they're making sure they're getting the right
18 sampling in the right areas of the whole
19 population of the subcategory. And much of that
20 is done by volume of establishment.

21 (Pause)

22 DR. RYBOLT: Any additions, added

1 comments?

2 (No response heard)

3 DR. RYBOLT: Does it make sense to you?

4 (No response heard)

5 DR. RYBOLT: All right. The
6 subcommittee believes that the allocation across
7 the domestic should -- or domestic schedule versus
8 the inspector generated is appropriate. The
9 subcommittee recommends the agency review
10 inspector training and conduct periodic reviews
11 across districts to ensure adequate implementation
12 with more emphasis on small establishments. Kind
13 of the last one we worked on, so I think we've got
14 agreement on that one.

15 Moving forward, subpart C: The
16 subcommittee believes that FSIS is appropriately
17 allocating samples across slaughter classes
18 effectively from the domestic schedule and
19 sampling program. The domestic scheduled sampling
20 program should be a random baseline -- I don't
21 think we can use that word.

22 DR. BOOREN: Surveillance.

1 DR. RYBOLT: We'll have to take that out
2 (Laughter).

3 DR. BOOREN: I think it's -- is
4 surveillance fine with the subcommittee?

5 SPEAKER: Yes.

6 DR. RYBOLT: Yeah. Okay?

7 (No response heard)

8 DR. RYBOLT: All right? Good?

9 (No response heard)

10 DR. RYBOLT: Looks like nobody is
11 shaking their head no.

12 All right, number 3, pretty long here.
13 Let's see. Using the domestic sample set, FSIS is
14 encouraged to investigate. Now, the question
15 here, does the committee agree with FSIS emphasis
16 on known versus unknown chemical hazards? We
17 really didn't get to a complete answer. I just
18 jotted some notes initially. Using the domestic
19 sampling set, emphasized and encouraged to
20 investigate -- I think this was -- you know, it
21 depends on what we -- I don't know where that one
22 was going.

1 DR. BOOREN: That was my initial
2 interpretation as we sort of fleshed it out was,
3 if there was going to be a sample set for the
4 agency to evaluate new methods or so forth, it
5 should come out of the domestic sampling program.

6 DR. RYBOLT: Oh, that's right.

7 DR. BOOREN: But I'm happy to remove
8 that. That was the first attempt on this
9 question.

10 DR. RYBOLT: I agree.

11 DR. BENNETT: Right.

12 DR. BOOREN: So, I can remove that part.
13 It doesn't seem appropriate anymore.

14 DR. RYBOLT: I would agree with that,
15 actually.

16 DR. BOOREN: Okay.

17 DR. RYBOLT: If you're going to look at
18 something new, it should be within the domestic --
19 well --

20 DR. BENNETT: Again, it seemed to me
21 that the conversation went to a different
22 direction, but --

1 DR. BOOREN: Yeah.

2 DR. BENNETT: -- yeah, it's whatever you
3 guys want to put up there, we'll look at it.

4 DR. CRUPAIN: Just looking for unknown
5 things. I mean, I don't see why you would want --

6 DR. BOOREN: The reason -- my thought
7 process on using the domestic is that if there
8 were clear definitions of how the samples were
9 taken -- so if they were archiving the samples for
10 later use, you would have clear population
11 information compared to inspector generated, which
12 is much more weighted towards a bias of perhaps,
13 violations and so forth.

14 This would be a cleaner population set
15 to test the methods on. And then, it would track
16 easier with some of the historical data. It could
17 just fit into that. That was the thought process
18 for that.

19 DR. CRUPAIN: I think that makes sense,
20 if you're doing it -- it depends on why you're
21 doing it. So if you're doing it for surveillance,
22 the same thing, then yeah, that makes sense. If

1 you're doing it because you're looking for an
2 emerging hazard for public health safety kind of
3 thing, then it probably makes sense to do it on a
4 targeted sample where you think there might be
5 something going on. Right?

6 DR. BENNETT: Or is that too specific?

7 (No response heard)

8 DR. BENNETT: So, I think the targeted
9 program -- generally, again, when I think of the
10 inspector generated, that they're looking for
11 issues with veterinary drugs 99 percent of the
12 time. Sometimes other chemicals.

13 If this is kind of what Betsy's looking
14 at, it's like we don't know or we don't know
15 (sic). So, I don't know that targeting dairy cows
16 tells us what we don't know, because maybe it's
17 not a dairy cow thing; it's a U.S. Cattle issue
18 or something like that, because somebody has
19 introduced it into the feed, or somebody has
20 brought it across the border, and now they're
21 applying it to all kinds of slaughter classes.

22 So, I guess it depends on what we're

1 looking for.

2 DR. BOOREN: I'm happy to keep it in or
3 remove it, but that was sort of my thought process
4 on a population.

5 DR. RYBOLT: So, on the second
6 paragraph, I think I actually tried to write the
7 answer (Laughter) to the question. The
8 subcommittee agrees with FSIS on unknown chemical
9 hazards. The subcommittee encourages the agency
10 to continue focus on those known hazards, but
11 should establish a method for reviewing included
12 hazards and levels, to Michael's point earlier, at
13 some periodic basis with partner agencies to
14 include international partners. And it also goes
15 to what Pat was talking about, as well.

16 There was also a comment made -- someone
17 made a comment about the time frame required for a
18 confirmation test; that the subcommittee
19 recommends investigation to more rapid methodology
20 that would ensure more timely results, as it does
21 hinder the establishments to get results, because
22 10 days -- I think we talked about turnaround of

1 some results.

2 (Pause)

3 DR. RYBOLT: Patty looks -- no?

4 DR. BENNETT: No, I mean, you're the
5 committee.

6 (Simultaneous discussion)

7 DR. RYBOLT: Does that make any sense to
8 you (Laughter)?

9 DR. BENNETT: No, it does. It makes a
10 great deal of sense.

11 DR. BOOREN: I think it's important to
12 have it in there. And my standpoint is the
13 importance of having it from an advisory committee
14 is it's important for our regulatory agencies to
15 make the investment on continually improving
16 technologies for detection. And that's why I
17 think that statement is important, is that --

18 DR. RYBOLT: Yeah, and we'll flesh out
19 to work with other agencies or whoever it is,
20 because as Dan mentioned, this is beyond FSIS.
21 This is all the way up to the secretary. So,
22 he'll direct other divisions, ARS or whoever, to

1 do some work with as well. So, we'll flesh that
2 out.

3 So everybody is good with that, then?

4 (Simultaneous discussion)

5 DR. RYBOLT: And we'll take out the
6 first part. Those were just Betsy's thoughts,
7 initially.

8 DR. CRUPAIN: For the first part, do we
9 -- do we think that? I mean, I don't have a
10 problem with it as a sort of concept. We think
11 that it should focus on the known, but perhaps, as
12 time and resources permit, they should both --
13 they should look into explored unknowns. Is that
14 something we think they should --

15 They're asking us, should they look at
16 unknowns?

17 DR. BOOREN: I think we also sort of
18 answered that question in sort of question 4, when
19 we talked about the process. To me, that gets to
20 that, Michael. The standpoint of --

21 DR. RYBOLT: Yeah.

22 DR. BOOREN: Because they have a process

1 with the other agencies, the interagencies. That
2 will help probably direct known and unknown
3 sampling, because they'll know. And that -- I
4 think we can -- it makes sense to sort of wrap it
5 in that discussion of, if they're recognizing --
6 if they're hearing, known, do we need to develop
7 -- or unknown, do we need to develop, and then,
8 what is the sampling mechanism?

9 And I'm happy to leave that at the
10 discretion of the agencies to recommend what's the
11 best. But I think that process could be defined
12 or encouraged.

13 MR. PUZO: We only have a matter of a
14 few minutes.

15 DR. RYBOLT: Yeah, literally like five.

16 MR. PUZO: The other committee finished
17 a while ago, so you can fine tune this tonight --

18 (Simultaneous discussion)

19 DR. RYBOLT: I think we can add
20 something to that.

21 MR. PUZO: -- or tomorrow. And
22 tomorrow, during the general session, you can

1 individually make points that elaborate upon what
2 the committee recommends, as well, including
3 dissent.

4 DR. RYBOLT: We want to be done by
5 tomorrow, though. We don't want to have to do
6 homework (Laughter).

7 (Simultaneous discussion)

8 DR. RYBOLT: All right. So the last
9 one, real quick.

10 House (phonetic 01:15:32) should
11 emphasize, consider chemical categories, nuclear
12 ranks relative to each other. Just general
13 comments were made. They didn't come up with an
14 actual answer here, relative -- risk relative,
15 public health based, variation between countries
16 should be considered, established processed
17 review, as we talked about a second ago.

18 Interagency collaboration, need process
19 again, to add new hazards, but also need the
20 process to remove hazard risks that are deemed --
21 I used the word de minimis, even though that
22 really doesn't mean anything. For me, hazard

1 ranking should be based on public health risk and
2 known issues.

3 And then, Dana mentioned something about
4 need to make sure we can stir those long-term
5 exposures versus, et cetera. So, does that make
6 sense for this? We'll have to flesh it out,
7 obviously, but anything somebody was thinking that
8 wasn't captured?

9 DR. BOOREN: We've got two minutes. Is
10 the group comfortable with the framing here? Is
11 there anything missing? Any red flags?

12 DR. RYBOLT: Yeah, anybody's thoughts?

13 (No response heard)

14 DR. BOOREN: And I think there will be
15 time to review and add tomorrow morning, but from
16 a consensus standpoint, if we walk out of the room
17 in a minute, are you comfortable with what we're
18 going to put forward?

19 DR. MARCY: Yeah, I think this is where
20 we captured this number -- this last one. John
21 Marcy, University of Arkansas.

22 Where we captured that unknown -- new

1 versus unknown, which you know, I think back in
2 number 3, we probably need to change that to new
3 versus unknown. It's known to somebody. You know
4 (Laughter)? We're not reinventing it.

5 (Simultaneous discussion)

6 DR. BOOREN: This is good?

7 (No response heard)

8 DR. BOOREN: Final?

9 SPEAKER: Yes.

10 SPEAKER: Yeah.

11 SPEAKER: Yes.

12 DR. BOOREN: Feel good about it?

13 SPEAKER: Yes.

14 MR. PUZO: Well, I'd like to thank you
15 all for some excellent work and conversations, and
16 now we'll reassemble in the general session.

17 (Whereupon, at 3:29 p.m., the
18 PROCEEDINGS were adjourned.)

19 * * * * *

20

21

22

1 CERTIFICATE OF NOTARY PUBLIC

2 DISTRICT OF COLUMBIA

3 I, Irene Gray, notary public in and for
4 the District of Columbia, do hereby certify that
5 the forgoing PROCEEDING was duly recorded and
6 thereafter reduced to print under my direction;
7 that the witnesses were sworn to tell the truth
8 under penalty of perjury; that said transcript is a
9 true record of the testimony given by witnesses;
10 that I am neither counsel for, related to, nor
11 employed by any of the parties to the action in
12 which this proceeding was called; and, furthermore,
13 that I am not a relative or employee of any
14 attorney or counsel employed by the parties hereto,
15 nor financially or otherwise interested in the
16 outcome of this action.

17

18

19 (Signature and Seal on File)

20 -----

21 Notary Public in and for the District of Columbia

22 My Commission Expires: April 30, 2016

