

UNITED STATES DEPARTMENT OF AGRICULTURE

+ + + + +

NATIONAL ADVISORY COMMITTEE ON
MICROBIOLOGICAL CRITERIA FOR FOODS

+ + + + +

PLENARY SESSION

+ + + + +

September 9, 2015
10:00 a.m.Residence Inn by Marriott
333 E Street, S.W.
Washington, D.C.

CHAIR: MR. BRIAN RONHOLM
Deputy Under Secretary for Food Safety,
FSIS

VICE-CHAIR: DR. SUSAN T. MAYNE
Director, Center for Food Safety and
Applied Nutrition
Food and Drug Administration

MODERATOR: DR. JAMES E. ROGERS
Executive Secretariat
National Advisory Committee on
Microbiological Criteria for Foods
FSIS

COMMITTEE MEMBERS:

MR. GARY ACUFF
DR. ARUN BHUNIA
MS. VANESSA COFFMAN
MAJ BARBARA CLOUTIER
DR. PETER FENG
DR. STEVEN INGHAM
DR. MOHAMMAD KOOHMARAIE

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

COMMITTEE MEMBERS (Continued):

DR. BALA KOTTAPALLI
DR. ROBERT LaBUDDE
DR. RICHARD LINTON
DR. GUY LONERAGAN
DR. EVELYN MBANDI
DR. PETER MURIANA
DR. ALISON O'BRIEN
DR. WILFREDO OCASIO
DR. TIFFIANI ONIFADE
DR. SALINA PARVEEN
DR. RUTH PETRAN
DR. LAURIE POST
DR. JOHN RUBY
MS. ANGELA RUPLE
DR. STACEY SCHULTZ-CHERRY
DR. ROBERT TAUXE

NACMCF EXECUTIVE COMMITTEE:

COL MARGERY HANFELT
DR. ARTHUR P. LIANG
DR. MARIANNA NAUM

FSIS STAFF MEMBERS:

DR. UDAY DESSAI, Senior Public Health Advisor
MS. KAREN THOMAS, Advisory Committee Specialist

I-N-D-E-X

<u>AGENDA ITEM</u>	<u>PAGE</u>
Welcome	4
Dr. James Rogers	
Opening Remarks	4
Mr. Brian Ronholm	
Deputy Under Secretary for Food Safety	
Opening Remarks	12
Dr. Susan Mayne, Vice-Chair, NACMCF	
Director, Center for Food Safety and	
Applied Nutrition	
Food and Drug Administration	
Introduction of Individuals Present	17
Present New Work Charge: Effective <i>Salmonella</i>	24
Control Strategies for Poultry	
Dr. Uday Dessai	
Present New Work Charge: Virulence Factors	36
and Attributes that Define Foodborne Shiga	
Toxin-producing <i>Escherichia coli</i> (STEC)	
as Severe Human Pathogens	
Dr. Peter Feng	
Public Comments	61
(None)	
Wrap Up and Adjourn	62
Dr. James Rogers	

1 P-R-O-C-E-E-D-I-N-G-S

2 (10:00 a.m.)

3 DR. ROGERS: Good morning. Welcome to
4 today's Plenary Session of the National Advisory
5 Committee on Microbiological Criteria for Foods or
6 NACMCF.

7 My name is James Rogers. I am the Executive
8 Secretariat for NACMCF and I work for Food Safety
9 Inspection Service of the USDA.

10 To start off, a little bit of technical, for
11 the microphones, you have to push the button to speak.
12 When you do speak, please introduce yourself and your
13 affiliation, and then because only two microphones can
14 be open at once, please turn the microphone off.

15 Okay. We're going to start today's meeting
16 with a presentation from our Chair, Mr. Brian Ronholm.
17 Brian, you may speak from there.

18 MR. RONHOLM: All right. I think this is
19 working. It's less a presentation, then more kind of
20 opening remarks. So good morning, everyone, and thank
21 you very much for being here for today's plenary
22 session.

1 As Jim mentioned, I'm Brian Ronholm. I'm
2 the Deputy Under Secretary for Food Safety, and I'm
3 the Chair of NACMCF as we affectionately call it, and
4 this is our first full meeting of the 2015 - 2017
5 Committee, and I'm very pleased to be welcoming aboard
6 11 new members and 19 returning members and I want to
7 thank each and every one of you for your commitment in
8 lending your expertise to this committee.

9 Since becoming Chair of NACMCF, I've gained
10 an understanding and a real appreciation --

11 (Microphone feedback)

12 MR. RONHOLM: Sorry, I want to make sure
13 that, you know --

14 -- of the work this committee performs. We
15 have an especially strong membership for this term
16 that includes valuable and diverse expertise. This is
17 a great fit for USDA as we work toward advancing a
18 science-based, public health agenda.

19 There are no easy answers to complex
20 problems and that is why you are all here, and that is
21 why this committee exists. NACMCF is among the most
22 respected scientific advisory committees because of

1 its extremely hard working and dedicated group of
2 scientists and professionals.

3 And, it's also because the work performed in
4 this committee goes beyond these walls. It gets
5 directly applied and put to use across the federal
6 government and the agencies with jurisdiction over
7 food safety issues.

8 Whether it's FSIS, FDA, CDC, the National
9 Marine Fishery Service, and the Veterinary Service
10 Activity at DoD, we all benefit from your work.

11 Food safety microbiology is a complex
12 challenge, and it's a challenge that is always
13 evolving. As a result, there will always be work to
14 do. So it takes a real commitment to illness
15 prevention and to public health to address these
16 issues. And it will take the kind of diversity of
17 expertise and experience represented on this committee
18 to meet such a complex challenge.

19 The committee was established to help build
20 and maintain an integrated national approach to food
21 safety, one that goes from farm to fork and one that
22 best protects consumers.

1 Over the next two and a half days, you will
2 begin working on two issues. First, effective
3 *Salmonella* control strategies for poultry and second,
4 virulence factors and attributes that define food
5 borne Shiga toxin-producing *E. coli* as severe human
6 pathogens.

7 Since we are restarting these subcommittees
8 with newly assigned Chairs and a number of new
9 members, we recognize that you will have the added
10 challenge of reviewing past work and assessing the
11 approach of previous subcommittees.

12 Both of these issues you will be undertaking
13 can improve the way we combat food borne pathogens and
14 prevent illness. Both can help our agencies address
15 some very real challenges of today's food safety
16 system. And ultimately, and most importantly, both of
17 these studies can be used at the federal level to make
18 decisions that protect Americans from food borne
19 illness.

20 *Salmonella* continues to be one of the major
21 food safety challenges in the U.S. FSIS has
22 instituted a number of new measures and policies such

1 as the *Salmonella* Action Plan to address the national
2 concern of this pathogen in our food. To better
3 protect public health, we need more information on
4 what makes a particular *Salmonella* strain more or less
5 virulent to humans.

6 Additionally, we want to know where on the
7 poultry carcass does the pathogen reside and what
8 additional control steps should the Agency consider in
9 controlling the presence and levels of the bacteria in
10 our food. We believe there's enough expertise in this
11 room to help.

12 The FDA is very interested in what makes
13 Shiga toxin-producing *E. coli*, or STECs, virulent to
14 humans and how it can isolate and identify virulent
15 strains in the products they regulate. We also
16 believe that the expertise on this committee can
17 greatly assist with this issue.

18 If there's one thing that's happening across
19 the food safety regulatory landscape and should be
20 happening is that we are asking the tough questions.
21 Most of you are scientists. So I'm sure you can
22 appreciate just how important it is to seek answers to

1 tough questions in order to advance our knowledge and
2 improve food safety.

3 In the food safety world, these tough
4 questions include, are we reacting to food safety
5 problems or preventing them in the first place? Are
6 we reducing and working to eliminate the risk of
7 pathogens before reaching consumers? Are we
8 effective, efficient, coordinated, making the best use
9 of our resources and using the best available science?
10 Are we ready and equipped for the 21st century food
11 system?

12 USDA and FDA and other food safety
13 stakeholders are asking if we are doing all that we
14 can to protect public health through food safety, and
15 we are searching for solutions. That's why you're
16 here this week, to help us answer those questions. We
17 need your perspective, your insight, your ideas to
18 make our food safety system the strongest available.

19 I can't emphasize enough the message I
20 present to you today, and that is your work is
21 critically important. It matters a great deal. It
22 matters to the Secretary of Agriculture. It matters

1 to the Secretary of HHS. It matters to our food
2 safety agencies as we work to make our food safety
3 program stronger, and most of all, it matters to the
4 American people who count on us to make decisions that
5 prevent food borne illness.

6 The committee is a forum for sharing ideas,
7 for getting input from everyone with a stake in food
8 safety, and for thinking about ways to tackle
9 challenges that we encounter along the entire farm to
10 fork spectrum.

11 So thank you again for serving as a member
12 of NACMCF. This is an important advisory role, and
13 you have a key part to play as we build a stronger
14 food safety system. We take your work seriously and
15 together we have a lot to accomplish. Thanks so much
16 for your time and for your commitment to food safety
17 and public health, and I look forward to your work.

18 And now it's my pleasure to turn the floor
19 over to our NACMCF Vice Chair, Dr. Susan Mayne. She's
20 Director of FDA Center for Food Safety and Applied
21 Nutrition or as we all call it, CFSAN. Dr. Mayne was
22 appointed in her current position in January of this

1 year, and in her role, she leads CFSAN's development
2 and implementation of programs and policies related to
3 the composition, quality, safety, and labeling of
4 foods, additives, and cosmetics.

5 Dr. Mayne came to FDA from Yale where she
6 was the CEA Winslow Professor of Epidemiology and her
7 distinguished career at Yale included two leadership
8 positions, Chair of the Department of Chronic Disease
9 Epidemiology and Associate Director of the Yale Cancer
10 Center.

11 Now, in addition to her work at Yale, if
12 that wasn't enough, Dr. Mayne received a BA in
13 chemistry from the University of Colorado and earned a
14 Ph.D. in nutritional sciences with minors in
15 biochemistry and toxicology from Cornell, such an
16 underachiever.

17 She also was -- if that wasn't enough, she
18 is author or coauthor of more than 200 scientific
19 publications.

20 So in other words, Dr. Mayne has probably
21 forgotten more about science than I'll ever know. And
22 if you could all do me a favor and never do a side-by-

1 side comparison of our resumes.

2 So, thank you. Dr. Mayne.

3 DR. MAYNE: Thank you. Okay, -- turned
4 yours on. Okay, all right. Can you hear me? Sounds
5 like it's working. All right. Well, thank you so
6 much for the warm welcome. It is my distinct pleasure
7 to be here today, representing FDA, an opportunity to
8 partner with Brian and all our colleagues from USDA on
9 this very important initiative. It's my first formal
10 NACMCF meeting. So it's my real pleasure to be here.

11 As a new member and on behalf of FDA, I
12 really want to begin by acknowledging all the
13 different agencies that have participated in this
14 really important issue, FDA, CDC, the Defense
15 Veterinary Service of DoD, NMF, all the strong support
16 that you've given to NACMCF, and with FSIS as the
17 lead, helping to move things along under very
18 difficult fiscal operating constraints, unknown
19 budgetary cycles, as well as government closures and
20 weather problems for travel. I experienced one of
21 those myself trying to get to CDC for a meeting that
22 was snowed out.

1 So I've learned in the last seven months
2 that I've been at FDA, I've been learning about
3 NACMCF. I've sat on a few of the phone calls and seen
4 some of the preparatory work for the committee, and so
5 today I get to really see it in action with the two
6 subcommittees today that are going to be working on
7 some really important issues as you heard from Brian,
8 really important issues.

9 So NACMCF continues to be a very strong
10 vehicle for external advice, by limiting the federal
11 partner members to one per agency and having the
12 subcommittees chaired by the external members, Alison
13 O'Brien, Carolyn Hovde for STEC, Gary Acuff and Guy
14 Loneragan for *Salmonella* in poultry. We can only give
15 you our most sincere thanks for the work that you've
16 done with regard to your leadership and stepping up to
17 public service.

18 And public service is so important and, in
19 fact, that's what brought me to the FDA. I was an
20 academic for 27 years. I had an opportunity to
21 participate in some of these really important policy
22 initiatives. I was on the U.S. Food and Nutrition

1 Board. I loved the application of science for policy,
2 and that's what you're doing here today, is using your
3 knowledge, using your expertise to help make a
4 difference, and I really applaud each and every one of
5 you for that work.

6 The diversity is what's so key I think to
7 the mission of NACMCF in terms of making it work.
8 We've got representation from academics. We've got
9 industry partners, federal, state. We've got consumer
10 groups represented, and we have a wonderful diversity
11 of backgrounds and expertise and ethnicity and gender
12 on this committee, and that is so important in terms
13 of achieving the mission that we want to achieve.

14 I want to, in addition, recognize our
15 consumer rep, Ms. Vanessa Coffman, and the Keep
16 Antibiotics Working coalition that's also working on
17 behalf of NACMCF.

18 It is about the science. I am a scientist
19 by training, I always rely on the science. I've seen
20 how the science informs the policy, the work that
21 we're doing and in this setting, that's how this group
22 will move forward. It's not just the experts in a

1 given field reviewing and recommending needs for the
2 field, but a broad perspective, a broad community of
3 science experts working in consultation with technical
4 experts of a given field, applicable to the charge to
5 help us, the federal partners, the stakeholders, and
6 the nation to assess the current state and then to
7 plan to move forward to obtain the best, most
8 impactful science.

9 And so I really want to thank all the
10 incoming committee members for their service. I know
11 that this is time away from your day jobs. This is
12 busy, busy time for all of us, but we thank you for
13 the time and for the dedication that you're giving to
14 this work. As I said, that's how I really got
15 interested in public policy, a service like this, that
16 I think is so, so important and I recognize and
17 applaud you for that.

18 I also want to thank the work, the hard work
19 of the committee for some of the past efforts
20 including the response to the questions posed by the
21 Food and Drug Administration, the Food Safety and
22 Inspection Service, the Centers for Disease Control

1 and Prevention, and the National Marine Fishery
2 Service, and the Department of Defense Veterinary
3 Service Activity regarding control strategies for
4 reducing food borne norovirus infections that was
5 chaired by Margaret Hardin and Dave Gombas, and we
6 thank you for that very hard work.

7 And also, response to questions posed by the
8 Department of Defense regarding the microbiological
9 criteria as indicators of process control for -- or
10 insanitary conditions. That was chaired by Skip
11 Seward and Jeff Kornacki, and we thank you again for
12 the hard work that goes into these various activities.

13 So in conclusion, I just want to say that
14 this -- the work of this committee is incredibly
15 important to CFSAN. It's incredibly important to the
16 FDA. It's incredibly important to the American
17 people. I want to thank everyone who's here today for
18 your efforts on behalf of food safety. We are very,
19 very grateful for all you do in your role as public
20 servants. Thank you very much.

21 DR. ROGERS: Thank you, Mr. Ronholm and Dr.
22 Mayne.

1 I'm very excited about opening this plenary
2 meeting for the introduction of the two new charges.
3 I'm also very happy to welcome the new members of
4 NACMCF. As our returning members know, and you will
5 learn, there's a lot of process in an advisory
6 committee, but I beg your patience as we work our way
7 through because your work will pay off as we receive
8 your scientific advice and as your reports are used to
9 apply to food safety programs around the federal
10 government.

11 We will now go to introductions, and so
12 again, please introduce yourself and your
13 affiliations. We'll start at this end of the table,
14 please.

15 DR. KOOHMARAIE: Good morning. My name is
16 Mohammad Koohmaraie. I'm a new member. I was with
17 USDA ARS for over 20 years and left and joined a
18 private firm, IEH Laboratories Consulting Group in
19 2008. So --

20 DR. TAUXE: Good morning. Rob Tauxe at the
21 Centers for Disease Control and Prevention in Atlanta.
22 I'm Deputy Director of the Division of Foodborne,

1 Waterborne, and Environmental Diseases there, a
2 medical epidemiologist.

3 DR. ONIFADE: Hello. I'm Tiffiani Onifade,
4 and I come to this Board from previously serving as
5 the over -- Foodborne Illness Investigations for the
6 State of Florida, and now I am the Director of Food
7 Safety for the Florida Department of Agriculture and
8 Consumer Services, and I am new to the Board.

9 DR. FENG: Good morning. Peter Feng, I'm a
10 research microbiologist with Center for Food Safety
11 Applied Nutrition, FDA, been to FDA 27 years. I'm the
12 subject matter expert for *E. coli* and pathogenic *E.*
13 *coli*.

14 DR. PETRAN: Good morning. I'm Ruth Petran
15 with Eco Lab. My role there is to lead food safety
16 and public health efforts for our company globally and
17 I'm a returning member of the committee.

18 MS. COFFMAN: Hello. I am Vanessa Coffman
19 and I am a new member. I am also your consumer rep
20 this year. I come from Johns Hopkins where I am a
21 Ph.D. student. I also work for the Center for a
22 Livable Future which is a part of the Keep Antibiotics

1 Working coalition and formerly I was at STOP Foodborne
2 Illness doing policy coordination for them.

3 DR. LINTON: Good morning. Rich Linton from
4 North Carolina State University. I'm a professor of
5 food microbiology and Dean of the College of
6 Agriculture and Life Sciences and this is my second
7 term on the committee.

8 DR. INGHAM: Good morning. I'm Steve
9 Ingham, Administrator of the Division of Food Safety,
10 Wisconsin Department of Agriculture, Trade, and
11 Consumer Protection, and this is my second term on the
12 committee.

13 DR. LONERAGAN: I'm Guy Loneragan. I am a
14 veterinary epidemiologist and professor of food safety
15 and public health in the Department of Animal and Food
16 Sciences at Texas Tech University, and I'm a returning
17 member.

18 DR. RUBY: Morning. My name is John Ruby, a
19 microbiologist by training, work for a company called
20 JBS where I oversee food safety, and I've been there
21 for 15 years. This is my first time on the committee.

22 DR. KOTTAPALLI: Hello, everyone. My name

1 is Bala Kottapalli. I am a senior principal
2 microbiologist at ConAgra Foods. I'm responsible for
3 food safety and micro programs for ConAgra products.
4 I've been there at the company for 3 years and before
5 that I worked for Kraft, now called Mondelez. I'm a
6 new member for NACMCF.

7 MS. RUPLE: Good morning. My name is Angela
8 Ruple. I'm with the Department of Commerce, National
9 Marine Fishery Service, where I'm the lead
10 microbiologist for the National Seafood Inspection
11 Laboratory, and I am a returning member.

12 DR. LIANG: Art Liang with CDC. I'm a
13 member of the Executive Committee for NACMCF.

14 DR. NAUM: Good morning. I am Marianna
15 Naum. I'm the FDA liaison to NACMCF, and I am a
16 member of the Strategic Communications and Public
17 Engagement Group and the Deputy Commissioners for Food
18 and Veterinary Medicine.

19 COLONEL HANFELT: Good morning. Colonel
20 Margery Hanfelt. I'm a veterinarian with the Defense
21 Health Agency Veterinary Services on my first time on
22 the Executive Committee.

1 MAJOR CLOUTIER: My name is Major Barbara
2 Cloutier. I'm a veterinarian, currently assigned with
3 the Armed Forces Health Surveillance Center here in
4 Silver Spring, Maryland. This is my first time on the
5 committee.

6 DR. BHUNIA: My name is Arun Bhunia. I'm
7 Professor of Food Microbiology. I'm a microbiologist
8 in the Department of Food Science at Purdue
9 University, and I'm a returning member.

10 DR. MBANDI: Good morning. I'm Evelyn
11 Mbandi with FSIS, Office of Policy. I'm the Deputy
12 Director of Risk Innovations and Management Staff.
13 I'm a returning member.

14 DR. O'BRIEN: My name is Alison O'Brien. I
15 am professor and chair at Uniform Services University
16 in Bethesda, Maryland. My group has worked on STEC
17 for a while, and I believe this is my fourth term on
18 this committee, not consecutive, second consecutive.

19 DR. MURIANA: Hi, I'm Peter Muriana. I'm a
20 food microbiologist at Oklahoma State University in
21 the Department of Animal Science, and I'm a returning
22 member.

1 DR. POST: Hi, I'm Laurie Post. I'm new to
2 the committee. I am the Director for Food Safety and
3 Regulatory Affairs at Deibel Laboratories. I came to
4 Deibel after a 27 year career at Mars Global Chocolate
5 where I was lead for microbiology and food safety.

6 DR. SCHULTZ-CHERRY: Good morning. I'm
7 Stacey Schultz-Cherry. I'm a Professor in Infectious
8 Diseases at St. Jude Children's Research Hospital
9 where my lab specializes in influenza and enteric
10 viruses, and I am a returning member.

11 DR. LaBUDDE: Hi, I'm Robert LaBudde. I'm a
12 consulting statistician with a company called Least
13 Cost Formulations, Limited, and I'm also a Professor
14 of Statistics at Old Dominion University, and I'm a
15 returning member.

16 DR. PARVEEN: Good morning. I am Salina
17 Parveen, a professor in food science and technology
18 program at the University of Maryland Eastern Shore.
19 I teach graduate level courses in food toxicology and
20 food microbiology and conduct research in food safety
21 and water quality. I am a returning member.

22 DR. OCASIO: Good morning. I'm Wilfredo

1 Ocasio, and I'm Chief Science Officer for the National
2 Food Laboratory, a testing and consulting firm based
3 in the San Francisco Bay area, and I'm a returning
4 member.

5 DR. ROGERS: Okay. Thank you for the
6 introductions. I want to remind everyone that we will
7 have a public comment period later on in the program.
8 For anyone in the audience that wishes to make a
9 public comment, please register with us at the table
10 outside where we have a signup sheet. Each registrant
11 will have up to 10 minutes for their remarks.

12 Although we're introducing two new charges
13 today for NACMCF, we also have another list of
14 upcoming charges. I just wanted to note for the
15 executive committee that we will be circulating that
16 list and bringing the list up for discussion as to
17 what should be the next two NACMCF charges or should
18 we add two new charges to the list.

19 Okay. We will move to the presentations.
20 Our first speaker today will be Dr. Uday Dessai, the
21 Senior Public Health Advisor of the Office of Public
22 Health Science, Food Safety Inspection Service, the

1 United States Department of Agriculture. He will
2 present the new FSIS charge on *Salmonella*. Doctors
3 Guy Loneragan and Gary Acuff will serve of Chairs of
4 this subcommittee. So I now turn the floor over to
5 Dr. Dessai.

6 DR. DESSAI: Thank you, Jim, and good
7 morning, everybody. Can you hear me?

8 Again, morning, Chair and the Co-Chair,
9 members, old and new, and the audience.

10 I've been over the NACMCF operations for
11 about 8 years and have seen how challenging it gets to
12 handle the charges once the charge is given to a
13 committee or subcommittee handles the charge. There
14 are a number of things that happen in terms of even
15 understanding the charge, and once you understand the
16 charge, then you need to go back and forth with the
17 agency that gives you the charge so you are in line
18 and the committee has really understood the charge and
19 then you gather all the best science out there to make
20 those recommendations to the agency.

21 Sometimes it happens that the questions in
22 the charge can be quite confusing and the committee

1 can then decide to basically go one way or the other,
2 but explicitly stating that given the charge question,
3 this is what we understand and we choose to go this
4 way.

5 Now, why am I talking about all this, even
6 before talking about the charges? That's because as
7 we go through the charges and the questions, you might
8 see now and later on that some things may be clear,
9 some things may not be clear and that's why. This is
10 the background that I'm providing you so you know how
11 to really approach those questions.

12 Now *Salmonella* is a problem. We've heard
13 about it. We know *Salmonella* is a problem. We know
14 *Salmonella* is unlike any other bug, and the challenge
15 is humongous. And, unfortunately, some size of the
16 attribution of *Salmonella* totally, unless it switches,
17 a million annually, comes from the products that we
18 regulate, we as FSIS, and we have major commodities
19 and there are some numbers which are thrown around,
20 poultry being one of the major contributors.

21 So when we -- we were talking about this
22 charge. We've been talking about this *Salmonella*

1 charge for quite a while now, and the charge was
2 developed with the initial idea to have a broad
3 capture so the committee basically can think broad and
4 then decide which way to go, to what you're going to
5 see today. The charge has been finally fine tuned to
6 a species which is poultry and eventually to a few
7 questions which are of great importance to the Agency,
8 and there are about six questions which we'll go
9 through.

10 However, things have changed. This is a
11 rapidly changing field and things keep changing. From
12 the time we develop the charge to now, there may have
13 been changes. For instance, whole genome sequencing
14 has really picked up speed and it is at a entirely
15 different level. So if you think there are
16 opportunities within the charge to add additional
17 components, you can feel free to do those, but connect
18 with the Agency, agency leadership and see that you're
19 going the right direction.

20 So for having said that, let's go over a few
21 slides here. The estimates of *Salmonella* illnesses
22 out of the 1 million annually, about 360,000 are

1 supposed to be associated with FSIS regulated foods
2 and FSIS has done a number of things to take control
3 of *Salmonella*.

4 Now every action, there is a lag and then
5 the effect is seen. So we put in place one FSIS
6 strategic plan which ends next year, and the next one
7 will start in 2017, and the common theme in both these
8 charges is great science, the best available science
9 to make regulatory decisions, that's number one.
10 Number two is innovation. So that is with the current
11 plan and that is with the next plan as well.
12 Modernization, innovation, best science. So that's
13 the theme.

14 Given that theme, NACMCF fits the best here
15 because that's the function NACMCF does, providing the
16 agencies unbiased scientific advice.

17 So *Salmonella* action plan which many of you
18 are familiar with, this was put in place for a while
19 and then, of course, last year we had our one-year
20 report and a lot was talked about it, but the bottom
21 line is, as a result of this action plan, we were able
22 to get all those different things which need to be

1 done to control *Salmonella* and reduce *Salmonella* in
2 FSIS regulated products.

3 One of that was modernization of poultry
4 slaughter, and there are a number of activities which
5 are coming in. For example, we're getting new
6 performance standards. They'll be announced very
7 soon. We've also tightened some of the existing
8 performance standards. We are trying to capture new
9 commodities to have new performance standards.
10 There's a lot of activity around *Salmonella* and
11 *Salmonella* control. So our products get safer and the
12 Salmonellosis attribution to FSIS gets reduced.

13 And, of course, we've been waiting for a
14 long time. We had those two very demanding charges.
15 One is norovirus. The other one was DoD charge which
16 we finally finished and finished very well despite the
17 challenges that we've had. Those were difficult
18 topics.

19 And so here is *Salmonella* charge, another
20 difficult topic. It's a demanding topic. It's a
21 demanding field to basically understand what
22 *Salmonella* is, how *Salmonella* gets into poultry, how

1 can we control *Salmonella* and how can we demonstrate
2 that we have controlled *Salmonella* and then move
3 forward. So basically a demanding charge, one more
4 demanding charge.

5 Going to the questions, very specific
6 questions. We have six questions and some of the
7 questions have sub-bullets there, but those six
8 questions in totality try to capture what we do not
9 know about *Salmonella* or what we partly know about
10 *Salmonella*. So when you answer those questions, with
11 all the available science around, nationally and
12 internationally, other people who have worked on
13 *Salmonella* and other systems who have controlled
14 *Salmonella* in effective manner, when you review all
15 that, you will be answering all that knowledge,
16 scientific knowledge in six questions that FSIS is
17 asking you.

18 The first question is about virulence. What
19 criteria define *Salmonella* that are highly virulent to
20 humans? Are markers serotype specific? What tools
21 are available for continuing to identify the most
22 virulent food borne *Salmonella*? And this may have

1 been rather difficult, more difficult a few years ago,
2 but today with whole genome sequencing technology and
3 the work ongoing and by the time you guys conclude the
4 charge, there will be much more information that will
5 be available on this specific aspect.

6 Question number 2 has three sub-bullets
7 there. Where does *Salmonella* reside inside and on the
8 surface of poultry and how do those populations of
9 bacteria contribute to food contamination? We've been
10 in *Salmonella* business for a long time, and this is a
11 learning experience.

12 Discuss the locations, persistence, and
13 resistance to interventions. I'll come back to this
14 point in a little bit.

15 Discuss the latest information on ecology of
16 *Salmonella* within or on poultry regarding the gut,
17 cloaca, bone marrow, the heart, skin follicles, skin
18 surfaces, lymphatic systems, immune evasion, and
19 others. These are all the things that we thought of.
20 There might be others that you might be able to add.

21 And the last bullet there is, discuss
22 strategic -- strategies to mitigate risk factors at

1 these locations. And that's the challenge, and this
2 point is important one.

3 I'm going back to the first bullet there.
4 The resistance part of it is interesting, and you can
5 see from the most recent reports on NARMS that we
6 presented where we sample *Salmonella* in the ceca, then
7 on the carcass or the product, and then at the end in
8 retail. Interestingly, the resistance seems to be
9 increasing when low in ceca, higher on the surface and
10 the highest at retail.

11 So there's a challenge here to understand
12 what's going on and a opportunity for you to inform
13 FSIS how do we deal with this situation?

14 Question number 3 has two bullets there.
15 Would removing flocks of highly *Salmonella*
16 contaminated birds entering the slaughter plant reduce
17 food borne illness in humans? It seems like a simple
18 answer there, but rather complicated.

19 What are the important considerations to
20 arrive at a threshold level of *Salmonella* associated
21 with incoming birds that would necessitate additional
22 control steps in the food safety system or HACCP plan?

1 And those threshold levels could be expressed as
2 prevalence levels, for example, CFUs per gram of the
3 feces.

4 What are the key considerations, steps for
5 an alternative processing scenario if the threshold
6 level is exceeded? This would be a important point
7 for us to be able to make determinations in reducing
8 *Salmonella* at every stage.

9 Question number 4, what would establishments
10 who slaughter and/or process poultry consider when
11 determining appropriate level of *Salmonella* that would
12 necessitate additional control steps in the food
13 safety system or HACCP plan? What are the factors
14 that affect the threshold level and at what point of
15 processing should measurements be made? Measurement
16 of *Salmonella* has been a demanding kind of a issue.
17 We've been doing measurements in terms of sometimes
18 percent positives, prevalences, and those MPNs. MPNs
19 are very important because numbers are important, not
20 just the prevalence, but getting those numbers in a
21 consistent basis in a way that is feasible to every
22 establishment is a challenging task.

1 Question number 5, this is based on question
2 number 3 and 4, so as informed by question number 3
3 and 4, what methods are best suited to measure
4 pathogen levels on raw poultry -- on raw poultry and
5 in products more rapidly than current tests? What is
6 a sampling scenario that would enable an establishment
7 to test incoming birds for a threshold *Salmonella*
8 level and have a result in a timely manner so that
9 processing can proceed as appropriate? Threshold
10 *Salmonella* level, the committee can decide what that
11 is. Is it going to be percent positives? Is it going
12 to be prevalence? Or, is it going to be MPNs, the
13 numbers that you're talking about?

14 Question number 6, that's the last question,
15 that the charge has. Considering the farm to table
16 continuum for poultry, what are the top three focus
17 points, control measures or best practices, that would
18 be compatible with industry-wide practices, which
19 could be addressed or implemented to achieve the
20 highest rate of reduction of *Salmonella* with regard to
21 both food borne illness and on product?

22 Now I think you'll be spending substantial

1 time on this particular point here because this is the
2 one that the Agency might be able to translate into
3 actions to reduce *Salmonella* further beyond what we've
4 already done.

5 Now on the charge, I see, of course, you
6 know, I applaud Guy and Gary for their willingness to
7 chair this workgroup, demanding workgroup rather, and
8 I'd like to really applaud all the members who are
9 participating in this charge. Some of you have
10 participated in other charges and others, the newer
11 members, this is the first time, and for the newer
12 members, I want to say this, these subcommittees work
13 24/7, just making you aware. It's a lot of hard work.
14 People don't even take breaks. When I was running
15 NACMCF, somebody said, you're running a sweat shop.
16 It wasn't me. It was just the people who were so
17 dedicated in moving the work forward. So again,
18 congratulations for taking on this challenging work.

19 Another point I just want to make here for
20 having been on NACMCF management for over 8 years is
21 that because of the rotation, 2-year rotation, if you
22 are able to do substantial work in those 2 years, that

1 is really helpful because if you haven't done that,
2 when the committee rolls over, there are changes that
3 happen. You're pretty much back to, it may not be
4 square one, may be square two. So it is very
5 important to get most of the work, the groundwork, and
6 put it in the form of documents so even if there's a
7 rollover in the committee, you can -- the next
8 committee can pick where you actually left.

9 So in summary, what are you going to do?
10 What NACMCF is? Your role here has been discussed
11 before, but what you're going to do is very, very
12 vital and very critical for FSIS for us to be able to
13 reduce *Salmonella* in our products, those 360,000
14 illnesses we are talking about. We're talking about
15 illness rate of 15, which is right now, to about 11.4,
16 which is quite challenging. So we depend on you to
17 provide us those recommendations so we can bring about
18 that change and meet our Healthy People 2020 targets.
19 Thank you.

20 DR. ROGERS: Thank you, Uday, for presenting
21 the new NACMCF charge on *Salmonella*.

22 We are slated to have a 15 minute break. I

1 have about 10:20. So we'll resume promptly at 5
2 minutes to 11. Thank you.

3 (Off the record at 10:38 a.m.)

4 (On the record at 10:53 a.m.)

5 DR. ROGERS: Okay. We're about to prepare
6 for the next presenter.

7 (Pause, background conversations)

8 DR. ROGERS: Okay. Next we'll hear from Dr.
9 Peter Feng, a research microbiologist for the Food and
10 Drug Administration. Dr. Feng will introduce the FDA
11 charge for Shiga toxin-producing *E. coli*. Doctors
12 Alison O'Brien and Carolyn Hovde are the incoming
13 Chairs for this project. Dr. Feng.

14 DR. FENG: Good morning, Mr. Chair, Madam
15 Vice Chair, and fellow committee members and visitors.
16 It's a real pleasure for me to represent the FDA to
17 present this charge on Shiga toxigenic *E. coli*.

18 You can read on the slide, this is the main
19 charge, the language of the charge, the virulence
20 factors and attributes that defined food borne Shiga
21 toxigenic *E. coli* severe human pathogens.

22 I've summarized the five questions that are

1 outlined in the charge and these are shown here.
2 Current knowledge on the virulence and pathogenicity
3 of STECs, methods available for STEC and virulence
4 testing, criteria for assessing severe health risks,
5 and criteria for distinguishing STEC pathogens from
6 non-pathogens, and finally, data gap for molecular
7 risk assessment.

8 Instead of going through the charges in
9 detail, what I've done is I've prepared a presentation
10 kind of summarizing or kind of covering a lot of these
11 questions that are outlined in the charges, and then
12 to illustrate the complexity of this whole world of
13 pathogenicity, risk assessment, and STEC, using a
14 situation I go through almost every day, the presence
15 of STEC in fresh produce, which is a area of primary
16 concern for the FDA.

17 So this is a diagram showing the pathogenic
18 *E. coli* groups that we know of. All these pathogens
19 are classified or grouped based on virulence factors,
20 but you're going to see very quickly that this is a
21 very misleading diagram and that's because most of the
22 virulence factors that are carried by pathogenic *E.*

1 *coli* are mobile genetic elements, so they can be
2 transferred. A horizontal transfer will disseminate
3 these virulence factors to other groups and so there's
4 a lot of overlap in pathogenicity.

5 But there's one common theme that all these
6 pathogenic *E. coli* have and the common theme is they
7 have to be able to enter the body which is usually by
8 ingestion. They have to be able to adhere to
9 intestinal epithelial cells and then elaborate the
10 virulence factors that they produce.

11 So let's focus on Shiga toxigenic *E. coli*
12 which is a topic of the charge. Shiga toxin-producing
13 *E. coli*, or also known as STEC, the only criteria to
14 be classified as an STEC is the production of Shiga
15 toxins. There's roughly somewhere around 300
16 serotypes of STEC that are known and if you, you know,
17 read some other authors such as Mora from Spain, he
18 said there's 472 serotypes of STEC that are known,
19 that have been recorded.

20 Two main type of toxins, Shiga toxin 1 and
21 Shiga toxin 2. Toxin 1 is also known as 1a, phage-
22 encoded, identical to the Shiga toxin for use by

1 *Shigella dysenteriae* type 1. Two variants exist in *E.*
2 *coli*, there's 1c and 1d. Toxin 2, also known as 2a is
3 also phage-encoded, is more often implicated in severe
4 disease such as HUS. Many variants in type 2, you
5 have 2b through the 2g.

6 Okay. 2d is a very interesting toxin. 2d
7 is used to be known as 2d activatable because this
8 toxin can be activated by elastase in the human mucus
9 and it becomes 10 to 1000 times more cytotoxic for
10 Vero cells.

11 Not all these subtypes seems to affect
12 humans. The ones that are implicated most often in
13 severe diseases are 1a, 2a, 2c, and 2d.

14 Okay. Some like 1c is the most common
15 serotype found in STEC isolator from sheep and the
16 infections a lot of times, 1c, are asymptomatic from
17 very mild diarrhea.

18 Also we know that Shiga toxin without an
19 adherence factor seems to be insufficient to cause
20 severe diseases, and many of the STEC of the 300 and
21 some serotypes do not seem to carry known adherence
22 factors and I put known in question mark because

1 there's so many other adherence factors that we're not
2 aware of.

3 Okay. So in the end, not all STECs are --
4 seems to be pathogenic for humans, but some estimates
5 around 50 to 100 serotypes are -- can be considered as
6 pathogens.

7 *Enterohemorrhagic E. coli* on the other hand
8 is -- represents a pathogenic group and this is a
9 pathogenic subset of STEC and all these guys do have
10 adherence factors, the primary adherence factor being
11 intimin protein encoded by the *eae* gene that's located
12 on the LEE pathogenicity island. Prototype O157:H7,
13 but there are many, many EHEC serotypes.

14 Many definitions have been proposed for
15 EHEC. The simplest one is simply an STEC with
16 intimin, a STEC with adherence factors. There's
17 around 30 *eae* alleles or generic variance of *eae* but
18 *eae* is also a virulence factor in pathogenic *E. coli*.
19 So it's a shared virulence factor.

20 But being Mother Nature, there's also always
21 exceptions. Serotype such a O113:H21, O91:H21, the
22 O104s, the O104:H4 that caused the big outbreak in

1 Germany 4 years ago, they do not produce eae or they
2 do not produce intimin, but their EHECs have caused
3 HUS. So it's postulated that these eae (-) strains
4 have other means to be able to adhere to intestinal
5 epithelial cells.

6 Another definition of EHEC is simply an STEC
7 that has been implicated in severe diseases, namely
8 hemorrhagic colitis or hemolytic uremic syndrome, and
9 the third definition is an STEC with the same
10 clinical, epidemiological, and pathogenic traits.

11 These are very complete definitions, but the
12 problem when we work with foods is, when we isolate an
13 organism from food, we know it's an STEC because you
14 have Shiga toxin genes, but we have no benefit of
15 symptoms or clinical data.

16 So the dilemma we have is we really don't
17 know whether we have a STEC on our hands that may be
18 non-pathogenic or we really have an EHEC that's going
19 to cause severe disease in humans.

20 And because the virulence factor, Shiga
21 toxin, is carried in the phages, and there's a lot of
22 horizontal gene transfer going on, there are many,

1 many other organisms that have been found that had the
2 capability to produce Shiga toxins. The *Dysenteriae*
3 type 1 used to be the only *Shigella* that produced
4 Shiga toxins and this is where the name Shiga toxin
5 came from, but then there has been isolation of *sonnei*
6 that caused diarrhea in Germany, a bloody diarrhea in
7 Finland, *flexneri* carrying -- producing Shiga toxins
8 have been isolated in Haiti and Dominican Republic.

9 *E. coli*, of course, we have over 300
10 serotypes of STECs, but there are many hybrid
11 organisms. For instance, the strain that caused the
12 HUS outbreak in Germany is a hybrid of
13 enteroaggregative *E. coli* that had acquired the
14 ability to produce Shiga toxins. So it's a hybrid of
15 EAEC and STEC.

16 We have looked at several all nontypeable
17 H52 strains that have been isolated from commodities
18 like cilantro, cantaloupe, and also raw milk cheeses
19 and these are hybrids of enterotoxigenic *E. coli*
20 because they carry, you know, the stable toxin genes
21 of ETEC and also Shiga toxin 1 from STECs. These are
22 hybrids.

1 And recently I read of O26:H6 strains which
2 are hybrid of STEC and extraintestinal pathogenic *E.*
3 *coli* which is commonly associated with urinary tract
4 infections. So it illustrates that the mobility of
5 this virulence factor is non-pathogenic *E. coli*.

6 Other like enteric organisms, *Enterobacter*
7 *cloacae* have been known to produce Shiga toxin and
8 cause HUS in Germany, Australia. You have *Citrobacter*
9 *freundii* that produce Shiga toxins, *Aeromonas veronii*,
10 *Acinetobacter haemolyticus*, all these have been known,
11 documented to produce Shiga toxins.

12 So one speculation is that people have found
13 very high titers of Shiga toxin phages in sewage. So
14 one speculation is that a lot of these other organisms
15 are acquiring the ability to produce Shiga toxins by
16 infection through phages as they transit through the
17 human sewage.

18 So in essence, what we have, the real
19 picture of Shiga toxin-producing *E. coli* is we have --
20 the large circle represents Shiga toxins, okay, the
21 many types we have. We have *eae* gene which is the
22 being adherence factor which is shared between EPEC

1 and EHEC. We have O157:H7 in the middle. We have eae
2 (-) EHECs that are known to cause HUS, and we have a
3 whole bunch of other organisms include ETEC, ExPEC,
4 and EAEC that also have the ability to produce Shiga
5 toxins. Okay.

6 Don't get the impression that these things
7 are common. They are very, very rare, but they have
8 been documented to exist.

9 Okay. The complexity of the non-O157 STECs,
10 it is over 300 serotypes known. To make it very, very
11 complex, the regional variation importance. In
12 different regions of the world, don't seem to have
13 problem with some serotypes, others do. For instance,
14 Australia, O157:H7 doesn't seem to be a major problem,
15 but in the U.S. and Europe, it is a major problem.

16 So over the years, you know, different
17 regions have kind of elaborated their own serotypes of
18 concern. In the European Food Safety Authority, they
19 came up with what they call the Big 5, which comprise
20 of these five serotypes. After the O104 outbreak with
21 fenugreek seeds, they added O104 to their sprouts
22 regulations. So it's a Big 5 plus the O104 strain.

1 I then heard of a Big 9 term used which
2 comprised of these nine serotypes and this has also
3 appeared in Europe.

4 In the U.S., FSIS, in 2001, designated the
5 Big 6 as the -- and these are the six serotypes that
6 have been designated. O157:H7 remains to be a top
7 priority of concern, health concern.

8 The one thing about focusing on serotype is
9 we're actually dealing with a situation of Big X
10 because you really don't know what are the serotypes
11 are going to pop tomorrow and cause problems and this
12 is exactly what happened with O104:H4 because it was
13 on nobody's radar and it just popped out and caused
14 the largest HUS outbreak in the world.

15 Regardless of what serotypes people focus
16 on, the testing strategy is essentially the same.
17 Most people use sequential multiplex PCRs to test
18 enrichments. Usually the first run enrichment they'll
19 test for Shiga toxin and eae and if those are
20 positive, they'll come around with a second round
21 which tests for specific serotypes. All this is done
22 by PCR.

1 The limitation of this strategy is you're
2 using a multiplex assay. In other words, you're
3 testing multiple targets in a sample that contains
4 mixed flora, okay. So it's not uncommon that each of
5 the positive signals that you get on your testing are
6 actually coming from different cells. So you can have
7 one cell that give you the Shiga toxin signal, another
8 cell that give you eae signal, another one that gives
9 you the O type signal. Okay.

10 And that basically forced us into the need
11 to confirm where we have to go through very laborious
12 procedure to isolate and verify that all the targets
13 are within the same cell.

14 To give you an idea how complex this
15 procedure is, in the O145 outbreak in romaine that --
16 romaine lettuce that happened a couple years ago, one
17 of the labs that isolated the pathogen from the
18 Romaine lettuce actually spent the whole weekend in
19 the lab and screened through over 70 colonies before
20 they actually found the organism. So it's a very
21 labor intensive procedure.

22 Another problem about the limitation in this

1 testing strategy of focusing on Shiga toxin, eae, and
2 O types, of course, is you're going to miss eae (-)
3 EHECs like O113, O91, O104. Okay.

4 Each of the O types have many H types, okay,
5 and not all these H type had the same health concern.
6 To give you an example, O157:H7 obviously is a health
7 concern, but the O group also -- the O157 O group also
8 has H3, H12, H16, H38 and so forth and these, a lot of
9 them are not regarded as a pathogens. Another example
10 is the O91 group. O91:H21 is a health concern, but
11 H10, H14 probably less of a health concern.

12 How about Shiga toxin positive eae (+) STEC,
13 but not part of the Big 6 or Big 5. You know, some
14 people advocated we're going to release these
15 products. Personally I think if you have a strain
16 that has Shiga toxin and adherence factor, that's
17 probably a danger factor. It's probably playing
18 Russian roulette if you're going to release that
19 product. Okay.

20 We know that not all Shiga toxin subtypes
21 affect humans. So are we going to start testing for
22 Shiga toxin subtypes? And that's a very elaborate

1 process because there are three Shiga toxin 1 variants
2 and seven toxin 2 variants.

3 We know there's 30 eae alleles. Are all
4 these virulent for humans? We really don't know. So
5 that's certainly a data gap, okay, and if we have to
6 start subtyping for the eae alleles, that's going to
7 be a humongous task for the analysts.

8 And lastly, when we focus on specific
9 serotypes, we're going to miss others that are maybe
10 found in foods. To give you an example, a couple
11 years ago, there was a isolate of O113:H21 that was
12 found in a cilantro sample from the supermarket, and
13 we've decided to take regulatory action on that
14 sample. So, but focusing on specific serotype, what
15 are missed on that one?

16 So the premise that FDA really operates on
17 is based on the law which is outlined in Section
18 402(a)(1), which states that food is considered
19 adulterated if it contains substances that are
20 injurious to health.

21 So to expand that, the position that we're
22 kind of working on is that the presence of pathogenic

1 STEC in food is a health concern. The difficult part,
2 of course, is how do you determine pathogenic, which
3 brings me to the topic of health risk. This is what,
4 you know, part of the charge of -- to the
5 subcommittee, okay.

6 I firmly believe that nothing in this life
7 is risk free. So in that sense, all STEC I think have
8 some sort of risk. So instead of defining them as
9 non-pathogenic STEC or pathogenic STEC, I tend to
10 prefer to use the term low risk or high risk STEC
11 because I think all STEC, you know, if -- even a
12 strain that's non-pathogenic to one individual, if
13 it's infected into a immunocompromised individual,
14 then they get symptoms. So I tend to prefer low risk
15 versus -- and high risk.

16 Now some of the strategy has been proposed
17 years ago by Dr. Karmali when he came up with the
18 seropathotype scheme of doing risk assessment, namely
19 incidence of illness, severity of illness, and what
20 serotype is involved. Okay. It's a pretty logical
21 approach to determine risk, but there were a lot of
22 holes and it didn't really fit in many situations.

1 So I think risk-free certainly is not
2 attainable in our business, but I think the best we
3 can do is to minimize the incidence of severe risk, in
4 other words, hemorrhagic colitis and HUS. So that's
5 the best we can do.

6 Some known risk factors we can say about
7 STEC. First of all, Shiga toxin and *eae* is a very
8 good predictor that the strain may cause severe
9 disease such as hemorrhagic colitis and HUS. We also
10 know that some -- only certain Shiga toxin subtypes
11 causes illness, a severe illness: 1a, 2a, 2c, and 2d.
12 We know that Shiga toxin without adherence factor is
13 not enough to cause severe illness. This much we
14 know.

15 The known factors, however, is the human
16 factor because different people have different
17 susceptibility to pathogens, okay. I've heard of --
18 or read about instances of identical twins getting
19 infected with the same strain, one child came up with
20 symptoms, one did not.

21 And then very recently I read this article
22 about a O78:H- strain in Finland produced Shiga toxin

1 lc which is not one of the toxin subtypes that are
2 usually associated with severe disease. The strain
3 didn't have adherence factor. So normally you would
4 not consider this to be a health risk strain. It was
5 isolated from the feces of all five family members,
6 okay, the parents and all the siblings, totally
7 asymptomatic. The two-year-old came down bacteremia,
8 bloody diarrhea, HUS. So the human factor is bigger
9 known in our business in determining health risk.

10 So people have been -- people that work with
11 Shiga toxigenic *E. coli* have always been trying to
12 come up with some handles or to determine health risk.
13 So there was a meeting held by the Canadian Food
14 Inspection Agency in Ottawa about 5 years ago, and a
15 couple of thoughts emerged from that meeting.

16 One of the proposal was based on virulence
17 factors and serotype, okay. And the group, we
18 basically decided the toxin 2, but not 2e, 2f, or 2g,
19 adherence factor *eae* and certain O types, and because
20 there was also a very large European contingency, we
21 couldn't agree on the serotypes. So we decided to
22 stick, in the U.S. we'll go with the Big 6, in Europe,

1 they're going to stick with the Big 5.

2 Another proposal was made by the Danes and
3 this is based only on virulence factors. 2a and/or 2c
4 plus adherence factors is considered a severe health
5 risk. 2d by itself, and that's because 2d has been by
6 itself has been known to cause instances of HUS.
7 Toxin 1a and *eae* only some serotypes, not all the
8 serotypes.

9 If you look at all these proposed criteria,
10 some key risk factors emerge, risk adherence factors,
11 Shiga toxin subtypes, and also serotype of the
12 organism, and I will also borrow from Dr. Karmali
13 seropathotype classification, I think the history of
14 having caused severe diseases is also an important
15 factor.

16 So how applicable are these proposed
17 criteria to real life situations? And I'm going to
18 show you that with produce, which is one of main
19 concerns.

20 Now here's a real Hallmark card that was
21 sent to me by a friend. Here's we have Mr. *E. coli*
22 who sells vegetables. So his store is called *E. coli*

1 Vegetables and he can't understand why his business so
2 lousy.

3 Now this card 20 years ago would not have
4 been funny because if you tell people that eating
5 fresh produce is dangerous, people will say you're
6 crazy, okay. Unfortunately, it's a real problem we
7 have today because one statistic I read said that the
8 fresh produce market in the U.S. is somewhere around
9 \$3 billion a year, if you break that into cost,
10 somewhere around 2.7 million bags of salad or fresh
11 produce sold every day in the United States, and
12 that's a huge, huge market.

13 We know produce is very complex
14 microbiologically. These are just a list of some of
15 the survey studies that have been done. Typically
16 total bacteria count can be in the range of a million
17 to 10 million per gram. That's very normal. Coliform
18 count usually is around over 10,000. *E. coli*,
19 however, doesn't seem to be a common organism in fresh
20 produce. Here's a couple large surveys done in the UK
21 and only .5 percent of the samples had over 100 *E.*
22 *coli* per gram.

1 In the U.S., we have done a couple studies.
2 We have 16 percent of the samples to have *E. coli*, but
3 all of them were less than 10 organisms.

4 But there are some exceptions. You see the
5 study from Brazil, that looked at 133 salad samples,
6 73 percent of the samples had fecal coliform over 100
7 organisms per gram. So if you're thinking about going
8 to Rio for the Olympics next year, be careful with
9 their salads.

10 Now UK does have a limit for indicator
11 organisms in produce. Less than 20 is acceptable, 20
12 to 100 marginal, greater than 100, unsatisfactory. In
13 the U.S., we have no indicator limits for fresh
14 produce. So we only regulate based on presence of
15 pathogens.

16 We know pathogens also exist in fresh
17 produce, and the largest publicly available database
18 on pathogen produce is probably that generated by USDA
19 Microbiology Data Program. I was advisor to this
20 program for about 6 years, and they -- this is the
21 samples, the type of produce they looked at, okay,
22 broken down per year. An average around 10 to 15,000

1 samples were tested for *Salmonella*, O157, ETEC, and
2 STEC. Unfortunately, the program was succumbed to
3 budget cuts in 2012.

4 So I started to keep tab on STEC isolation
5 from produce working with this group, and you can see
6 that in the first initial years, only a handful of
7 STEC were isolated and mostly from this type of
8 products. Okay. Nothing real significant.

9 2008, they added spinach and this is as a
10 result of the 2006 spinach outbreak with O157:H7 and
11 you can see the numbers started going up and often
12 associated with spinach. 2009, 12 out of the 13 came
13 from spinach, 14 out of 30 came from spinach, and so
14 forth. So assuming that estimated around 2200 samples
15 of spinach tested per year, you essentially come up
16 with a prevalence rate between .5 to 1 percent in
17 spinach.

18 If you tabulate all STEC isolations based on
19 commodities, it's more obvious, 70 out of the 132 STEC
20 isolated from produce came from spinach. So somehow
21 there seems to be an STEC in spinach connection
22 because we don't see this with incidence of *Salmonella*

1 or ETEC. So something about spinach and STEC that we
2 haven't quite figured out.

3 When we're working with the -- doing sample
4 analysis, this little leaf of baby spinach tumbled out
5 of a bag that was labeled triple washed, and you can
6 see all this brown stuff caked over it. So triple
7 wash does not guarantee that it's clean.

8 So how well did this STEC fit the health
9 risk criteria that was proposed? Well, if we go with
10 the FSIS Shiga toxin, *eae*, Big 6 plus, because it
11 includes O157:H7, 7 out of the 132 organisms fits the
12 4 - O157:H7, the O121 strain, and 2 - O26 strain that
13 produced Shiga toxin 1.

14 The European Food Safety Authority criteria
15 of toxins, *eae*, Big 5, only six of them fit.

16 From the Canadian study, Proposal A, toxin
17 2, *eae*, Big 6, only 5 of them fits because the 2 - O26
18 is only produce Shiga toxin 1. So they dropped out.
19 Same thing with the EFSA Big 5, you -- only the 4 -
20 O157:H7s fit.

21 Proposal B, which is based on virulence
22 factors, toxin 2, *eae*, the 2 - O165:H25 strains fits

1 the criteria because they produce both toxins and they
2 have eae adherence factor. 1a and eae, 4 isolates
3 fit, O26 is certainly pathogenic but these two other
4 guys is uncertain.

5 Then Shiga toxin 2d alone we had actually
6 found 15 out of the 132 isolates to produce Shiga
7 toxin 2d alone, but there are various serotypes and
8 many of these are nontypeable.

9 So to pursue further about the virulence or
10 the virulence potential of the 2ds, as I mentioned, 2d
11 is mucus activated to become more cytotoxic and it has
12 been implicated in HUS.

13 In collaboration with Angela from Alison
14 O'Brien's lab, we studied 14 of these 2d alone strains
15 from produce and found that 6 -- that these had 6 to
16 21 times activation on mucus. So these are
17 activatable toxins. Six out of the nine strains that
18 we examined by mouse had 20 to 60 percent lethality on
19 streptomycin treated mice. So it certainly suggests
20 pathogenic potential.

21 With the sequencing, however, 6 of the
22 isolates had *Shigella* type antigens, B9. Only this

1 strain O181:H49 had a history of human isolation.
2 Rest of them had no incidence of human isolation or
3 causing outbreaks. So it's really difficult to
4 determine whether these guys are really virulent or
5 not or can cause severe disease or not.

6 EHECs that did not fit criteria, there were
7 5 - O113:H21s and 1 - O91:H21s, all isolated from
8 spinach that were not describing any of the proposed
9 criteria. Also 50 percent of the STEC strains only
10 had partial serotype or untyped.

11 So the bottom line is we had over 100 out of
12 the 132 STEC strains. They are STEC because they
13 carry Shiga toxin genes, okay. They had other
14 putative virulence factors like enterohemolysin, STEC
15 agglutinating adhesin, Sub A/B and so forth, but it
16 was really difficult to determine whether these are
17 truly health risks to cause severe diseases.

18 So bottom line is that most of the STEC were
19 isolated from produce. It was extremely difficult to
20 make health risk decisions.

21 Now there are many, many putative virulence
22 factors that, you know, people have been looking, you

1 know, for years trying to get a better handle on what
2 are the critical virulence factors, and so there are
3 many, many putative virulence factors that have been
4 proposed.

5 Enterohemolysin is a very common one that's
6 found in a lot of the strains that cause severe
7 diseases. We know it's a mechanism for the bacteria
8 to acquire -- but its role, precise role in
9 pathogenesis is really uncertain because there are
10 some very pathogenic strains that do not express
11 enterohemolysin. It's also found in ETEC, atypical
12 EPEC, and also generic *E. coli* has been found to
13 produce enterohemolysin.

14 STEC agglutinating adhesin is a very common
15 gene in strains that do not have -- do not produce
16 *eae*, okay, but as time went on, it doesn't seem to
17 show any close association with HUS. Others like
18 subtilase cytotoxin, it's a very potent toxin, even
19 more cytotoxic than your Shiga toxins. Okay. But
20 it's not found in all *eae* (-) strains and so, you
21 know, most people say we need some more EpiData to
22 determine the health risk of illness.

1 Then others, you have sab, you have non-LEE
2 effectors, espP, cytoskeletal [sic] distending toxin,
3 and so forth. So there's a whole battery of these
4 putative virulence factors that we're going to have to
5 examine to see whether they're truly involved in
6 pathogenesis.

7 So I'm going to skip through the next few
8 slides which I'm going to present to the subcommittee
9 and then jump to the last slide which is going back to
10 the charges, okay.

11 Current knowledge on virulence and
12 pathogenicity of STEC. Well, we do have some current
13 knowledge. We know Shiga toxin adherence factors are
14 important, okay, but we don't think that's the whole
15 picture, especially for the eae (-) EHECs. You know,
16 these guys had to have some sort of -- to be able to
17 adhere and we don't know quite what that is.

18 Are methods available to test for STEC and
19 virulence testing? Absolutely. But these are very
20 tedious because we need to isolate and confirm. Okay.

21 Criteria for testing severe risk. Many have
22 been proposed, but as shown by the example with

1 produce, they're not always very effective.

2 Distinguishing between STEC pathogen, non-
3 pathogens, it certainly exists, but there is
4 uncertainties, namely human factor.

5 And lastly, data gaps for doing risk
6 assessment, many, many, many putative virulence factor
7 has been proposed, okay, but we have to also make a
8 distinction that *eae* (+) and *eae* (-) strains, you
9 know, tend to have virulence factors that aren't --
10 that are not shared.

11 So this is essentially a very quick summary
12 of the complexity of dealing with STEC, you know, in
13 the commodities that are regulated by FDA. Thank you.

14 DR. ROGERS: Thank you, Dr. Feng, for your
15 comments on the STEC charge.

16 Okay. We're in the section of our program
17 where we can have public comments. I want to remind
18 you that the comments will be limited to 10 minutes.
19 If there is anyone in the audience that would like to
20 make a public comment, you'll have to come to the
21 front podium microphone and please remember to state
22 your name and affiliation.

1 Okay. So it seems like we have no public
2 comments for this meeting.

3 So we're at the close of this Plenary
4 Session. I saw Mr. Ronholm has left. He usually
5 closes the Plenary as the Chair. I will give him like
6 30 seconds, and then I will step in.

7 DR. MAYNE: We have 30 seconds?

8 DR. ROGERS: Thirty seconds.

9 DR. MAYNE: Okay.

10 (Pause/background conversations)

11 DR. ROGERS: Okay. Seeing that he has not
12 reappeared, since I do have his comments, we would
13 like to thank everyone who participated today, and we
14 offer our deep appreciation to the members of the
15 committee and the experts who will share their time
16 and scientific expertise that will assist us in the
17 work of NACMCF.

18 As Executive Secretariat, I now call this
19 meeting adjourned. Thank you for your attendance.

20 (Whereupon, at 11:25 a.m., the meeting was
21 concluded.)

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C E R T I F I C A T E

This is to certify that the attached proceedings
in the matter of:

NATIONAL ADVISORY COMMITTEE ON
MICROBIOLOGICAL CRITERIA FOR FOODS

PLENARY SESSION

Washington, D.C.

September 9, 2015

were held as herein appears, and that this is the
original transcription thereof for the files of the
United States Department of Agriculture, Food Safety
and Inspection Service.

TIMOTHY J. ATKINSON, JR., Reporter
FREE STATE REPORTING, INC.