

UNITED STATES DEPARTMENT OF AGRICULTURE  
FOOD SAFETY AND INSPECTION SERVICE

\* \* \* \* \*  
\*  
In the matter of: \*  
\*  
NATIONAL ADVISORY COMMITTEE \*  
ON MEAT AND POULTRY INSPECTION \*  
\*  
\* \* \* \* \*

Via WebEx

Friday,  
September 25, 2020

The above-entitled matter came on for  
hearing, pursuant to notice, at 9:00 a.m.

FACILITATOR: DR. MINDY BRASHEARS  
VALERIE GREEN

**A P P E A R A N C E S****Facilitator and Presenters:**

VALERIE GREEN  
Designated Federal Officer  
National Advisory Committee on Meat and  
Poultry Inspections

DR. MINDY BRASHEARS  
Under Secretary for Food Safety, USDA

KRISTINA BARLOW  
Senior Microbiologist Science Staff  
Office of Public Health Science  
Food Safety and Inspection Service

ROSALYN MURPHY-JENKINS  
Director, Labeling and Program Delivery Staff  
Office of Policy and Program Development  
Food Safety and Inspection Service

SALLY JONES  
Senior Staff Officer, Labeling and Program  
Delivery Staff  
Office of Policy and Program Development  
Food Safety and Inspection Service

MERYL SILVERMAN  
Senior Staff Officer  
Risk Management and Innovations Staff  
Office of Policy and Program Development  
Food Safety and Inspection Service

ROBERT WITTE  
Senior Staff Officer  
Policy Development Staff  
Office of Policy and Program Development  
Food Safety and Inspection Service

**Committee Members:**

THOMAS GREMILLION  
Consumer Federation of America

Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

JAMES JENKINS  
Louisiana Department of Agriculture and Forestry

DR. AMILTON DE MELLO  
University of Nevada

TINA RENDON  
Pilgrim's Pride Corp

KIMBERLY RICE  
Rose Acre Farms

DR. JIMMY L. AVERY  
Mississippi State University

WILLIAM H. BATTLE  
Pride of the Pond and Battle Fish North

TINA CONKLIN  
Michigan State University

DR. PATRICIA ANN CURTIS  
North Carolina State University

DR. JENNIFER A. EBERLY  
Maine Department of Agriculture

CASEY LYNN GALLIMORE  
North American Meat Institute

DR. JOSEPH JAY HARRIS  
Southwest Meat Association

DR. BYRON WILLIAMS  
Mississippi State University

SHERRI L. WILLIAMS  
JBS USA, LLC

GREGORY GUNTHORP  
Gunthorp Farms

SARAH SORSCHER  
Center for Science in the Public Interest

DR. CURTIS LYNN KNIPE  
Ohio State University

MISHA ROBYN  
Centers for Disease Control and Prevention

Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

I N D E X

| <u>ITEM</u>   | <u>PAGE</u> |
|---|-------------|
| <u>Welcome</u>  |             |
| Valerie Green   | 6           |
| <u>Subcommittees</u>  |             |
| Subcommittee I: Validation of Ready-to-eat<br>(RTE) Shelf Stable Multi-hurdle Lethality<br>Treatments                     |             |
| Deliberation  | 8           |
| Report  | 44          |
| Q/A   | 63          |
| Vote  | 72          |
| Subcommittee II: FSIS Testing of Boxed Beef<br>Primal and Sub-Primal Products for Shiga<br>toxin-producing E. coli (STEC) |             |
| Report  | 51          |
| Q/A   | 75          |
| Vote  | 113         |
| <u>Closing</u>  |             |
| Valerie Green   | 116         |
| Dr. Mindy Brashears   | 116         |

P R O C E E D I N G S

(9:03 a.m.)

AT&T EVENT PRODUCER Welcome and thank you for joining today's conference, National Advisory Committee on Meat and Poultry Inspection public meeting.

Before we begin, please ensure you have opened the chat panel by using the associated icon located at the bottom of your screen. And if you require technical assistance, please send a chat to the producer.

To submit a written question, select All Panelists in the dropdown menu in the chat panel, enter your question in the message box provided and send.

To minimize background noises in this call, please ensure that your audio device is muted. As a reminder, this conference is being recorded. And with that, I'll turn the call over to Val Green, moderator for the committee. Please go ahead.

MS. GREEN: Thank you. Good morning and welcome back everyone. My name is Val Green, the designated federal official for the National Advisory Committee on Meat and Poultry Inspection.

And I'll also be serving as your moderator

Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 for today. Before I begin, I would like to  
2 acknowledge the participants dialing in from the  
3 West Coast especially our committee members.

4 We had an early start yesterday, which  
5 means that some were up and on the line as early as  
6 5 a.m. Pacific Standard Time. Thank you, committee  
7 members for your time and participation in this  
8 event.

9 Now I'll start with the updates to the  
10 agenda. We did not receive any request for public  
11 comment, so we will -- that time for the  
12 subcommittees to reconvene and complete their  
13 report.

14 After the deliberations, we'll return to  
15 the main event line and the subcommittee chairs will  
16 have 30 minutes each to present their  
17 recommendations to the full committee.

18 We will take a break and ensure that each  
19 committee member receives a copy of the subcommittee  
20 report. When we reconvene each chair will lead the  
21 discussion of their subcommittee's recommendations  
22 and lead the full committee to a final vote.

23 Are there any questions from the committee  
24 members? Okay. We'll move right into the  
25 deliberations. And for the committee members, the

Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 dial in information for Subcommittee 2, we'll use  
2 that information to dial in and to begin your  
3 deliberations.

4 Michele, would it be possible to also  
5 provide the breakout session dial in information for  
6 the participants, for the attendees in the chat  
7 feature?

8 AT&T EVENT PRODUCER Sure, I can do that as  
9 well.

10 MS. GREEN: Okay. So the deliberations are  
11 open to the public, so you're welcome to stay. And  
12 we'll return back to this main line at 10 a.m. for  
13 the committee report.

14 So for those staying on the main line, I'll  
15 go ahead and turn it over to Patricia Curtis, the  
16 subcommittee chair.

17 DR. CURTIS: Thank you -- this on the  
18 screen are recommendations that we came up with  
19 yesterday. Thank you. Let's start where we left  
20 off.

21 I think we got tired yesterday afternoon  
22 when we were reviewing the wording on our  
23 recommendations for Question 1. So I want to open  
24 that up for further discussion this morning.

25 MR. GUNTHORP: Hey, this is Greg. Any

Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 chance somebody can tell me the Event ID and for, I  
2 can't get my website to work.

3 MR. GREMILLION: Yeah, this is Thomas. I'm  
4 having the same problem.

5 MS. GREEN: Did you all receive the email  
6 from Michele?

7 MR. GUNTORP: Yeah, I did it, right click.  
8 I clicked on it and it just keeps giving me an  
9 error. The event is finished.

10 AT&T EVENT PRODUCER Well is that the email  
11 you got this morning? Like --

12 MR. GUNTORP: Yes.

13 AT&T EVENT PRODUCER -- it's just someone  
14 that has the WebEx link ending in 832784.

15 MR. GUNTORP: I've got 832752.

16 AT&T EVENT PRODUCER That's the breakout  
17 one. That's another session. So you need --

18 MR. GUNTORP: 784?

19 AT&T EVENT PRODUCER 784 is the one I just  
20 sent. I've already sent it to you Greg, and who  
21 else didn't get it?

22 MR. GREMILLION: Thomas. Yeah, mine, the  
23 email I got today is also 832752.

24 MR. GUNTORP: Okay. Yeah, it's starting  
25 WebEx for me when I changed that number. Thank you.

1           AT&T EVENT PRODUCER   Sure.  I'm sorry, the  
2 other person was Thomas?

3           MR. GREMILLION:  Yeah.

4           DR. CURTIS:  Your email on the list that I  
5 was sent, so give me a second.  Thomas, I'm sorry,  
6 Val, if you do have Thomas' email, can you send that  
7 to me in the chat?  I don't see that on the list.

8           MS. GREEN:  Okay.  One moment.  Sure.

9           DR. CURTIS:  Sure.  Okay.  Can everybody  
10 else, everyone else see the website and the  
11 information we have up?

12          MS. RICE:  Yes.  This is Kim.

13          DR. CURTIS:  Thank you.  So could I go back  
14 and see then if anybody has any work missing that  
15 they wanted to do to the response to the first  
16 question?

17                 There seemed to be some discussion about  
18 wanting to make some changes to it yesterday  
19 afternoon.  I see we have a quiet group.

20          MS. RICE:  The coffee probably hasn't  
21 kicked in at this point.  Hey this is Kim.  On the  
22 third line until documents are available, assuming  
23 that's the sentence we keep or the structure we  
24 keep, what we mean there is until additional peer  
25 reviewed, journal articles and/or scientific

1 support, correct? Do we need to make that more  
2 clear?

3 DR. CURTIS: Okay.

4 MS. RICE: Or were we talking about the  
5 guidance document or both?

6 DR. CURTIS: I think we were talking about  
7 both. Others want to comment?

8 U/F: We might take out the beginning of  
9 the sentence, we agree that. It doesn't really  
10 matter if we agree or not because it is a  
11 declaration. We could just change that to every  
12 establishment must have.

13 MS. RICE: I'm good with that.

14 U/F: And then maybe just delete what's in  
15 the, kind of the second option, what's in the, I  
16 can't remember what these things are called, little  
17 piece.

18 DR. CURTIS: Everything was in the first,  
19 please delete.

20 U/F: Yes. I do need more coffee.

21 DR. CURTIS: Okay.

22 U/F: I'm glad I'm the only one that does  
23 that occasionally.

24 DR. CURTIS: Other changes?

25 U/F: The last sentence of that first

1 bullet, there would be one authority within, can we,  
2 would it be more direct to say there should be one  
3 authority that is responsible for reviewing the  
4 support?

5 U/F: Do we want to add something because  
6 of that specialized content or just leave it that  
7 it, you know, while the, while the discipline needed  
8 as opposed to be open for others to review other  
9 validation information.

10 I guess, a reasoning, but while we, it  
11 should be only one.

12 MS. RENDON: This is Tina Rendon. I agree  
13 with that, that due to the specialized nature of the  
14 products or the process.

15 U/F: And again, I think it's on the first  
16 sentence of that bullet where we say, but an  
17 establishment may not be able to build this. What  
18 if we change the wording to something like, when the  
19 establishment may lack this information, or  
20 something like that.

21 Because I don't, honestly in my opinion, I  
22 don't want to give them an out. I want to recognize  
23 that they don't have it and that, you know, we're  
24 trying to help them get that information.

25 U/M: I agree with that. I had the same

Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 concern.

2 U/F: But then the establishment may lack  
3 the appropriate peer review journal articles and  
4 scientific support.

5 DR. CURTIS: Any other changes?

6 U/F: What if, what if, I'm trying to be  
7 more of an elitist on this, which just makes sense.  
8 They lack the appropriate tools used, journal  
9 articles and scientific support to do so.

10 And just delete everything between support  
11 and to, or am I misreading that?

12 MS. RENDON: This is Tina. I would agree  
13 with that.

14 U/M: I disagree, if this possibly, delete  
15 it if you do so, because that's just, I don't know,  
16 basically --

17 U/F: Maybe at the end of so and at this  
18 time, because then there's an out when the, when the  
19 articles are available, they can no longer do this  
20 nonsense. Not this nonsense, but you know what I  
21 mean.

22 MS. RENDON: This is Tina Rendon. Do we  
23 need to delete the rest of that sentence?

24 DR. CURTIS: I think that, I think so.  
25 That sounds rather redundant. Other changes?

1 MS. RENDON: This is Tina Rendon again.  
2 The part where we're talking about testing, so the  
3 third to the last line there on that bullet. This  
4 may be in combination with the increased FSIS's  
5 testing.

6 What we want to say, this may be increased,  
7 that this may be in combination with increased  
8 FSIS's and/or plant testing? Or and plant testing.

9 DR. CURTIS: Okay. Because we, okay.

10 U/M: Yeah. I think that will be good.

11 U/F: Or should we just make the sentence,  
12 increased FSIS's and plant testing may be required,  
13 period. At least, yeah, that --

14 U/F: Then change plant to establishment  
15 for consistency.

16 U/F: Agreed.

17 DR. CURTIS: Thank you.

18 MR. GUNTHORP: And so the idea if they  
19 can't meet the existing regulations then FSIS and  
20 the plant should be conducting testing, which I'm  
21 thinking they would otherwise. This is Greg.

22 DR. CURTIS: Is that the assumption  
23 everybody has? Just want to clarify.

24 U/F: Can you, can you repeat that?

25 MR. GUNTHORP: The idea is that, that the

1 thousand of them that haven't validated have a plan  
2 that meets the regulations, then the additional,  
3 increased FSIS and the plant testing is compensating  
4 for that, you know, lack of a validated test plan.

5 U/M: It sounds right to me.

6 U/F: And that establishment potential for  
7 testing and holding is only if they're using, I  
8 guess the scientific information that demonstrates  
9 logs and above log reduction.

10 Otherwise that does not apply, just out of  
11 curiosity. Although they need to actually do test  
12 and hold in combination with, regardless of the  
13 scientific articles they used.

14 MS. RENDON: This is Tina Rendon. I think  
15 the intent would be either their scientific document  
16 doesn't say that or their process doesn't match the  
17 scientific document to get a five log reduction or  
18 they're still filling in those gaps?

19 U/F: Could we just split this into two  
20 recommendations? Either they have a combination of  
21 documents that are, you know, not so great and they  
22 submit them, and they might have to do some extra  
23 testing or they can test and hold.

24 U/F: Everybody agree with that? Anybody  
25 disagree with that?

1           U/M: And so this, they could be, this  
2 would be allowing them to do testing, to test and  
3 hold indefinitely? Is that the, that's the idea  
4 then?

5           U/F: I'm still having trouble hearing you  
6 but, at least I'm --

7           U/M: Sorry. So that would, either they  
8 meet the regulatory requirements, or they can do  
9 test and hold indefinitely? Or is that --

10          U/F: Well I think it's not, the, well in  
11 really, in the real life is, there'll be three  
12 options. They can meet the regulatory requirements.  
13 So you don't even need any of this.

14          Option 2 would be, you can't really, you  
15 don't really have all the scientific support, but  
16 you submit your Frankenstein plan of journal  
17 articles to LIMS (ph.) or whoever.

18          They approve it and say, okay, yeah. It's  
19 great. You might have to do some extra, no, you're  
20 going to have to do some extra testing. Or three,  
21 you just test every lot.

22          What your, you know, the little guy, he  
23 doesn't, or doing a small lot, that's not very  
24 economical. But if you got a huge lot and you only  
25 have to spend \$35 for ten samples, that's, that's

1 probably easier than, you know, having to go through  
2 LIM to do extra testing, and blah, blah, blah.

3           So I was just saying maybe those should be  
4 two separate options. Either you can do test and  
5 hold, if it's financially viable for you, or you  
6 could do this.

7           Put together a bunch of articles that  
8 aren't quite right, submit it to LIMS, see if it's  
9 okay, and then maybe have to do some extra, FSIS has  
10 to do some extra testing. But that was my take on  
11 it, but, you know.

12           DR. DE MELLO: Right. This is Amilton from  
13 Nevada. So one point that's important here, too  
14 though. Yesterday, I think it was Greg mentioned  
15 that there are some products that require more than  
16 90 days for curing or aging.

17           And we know that a HACCP plan has 90 days  
18 of a period. So I think we should add, stop it  
19 here, a bullet point that for products that need  
20 more time for the process or whatever, does 90 days  
21 maybe expanded if -- does it make sense?

22           U/F: Well throw those two together. I  
23 can't speak for others. I asked that. If I have a  
24 facility that needs more time to validate their  
25 process plan more than the 90 days, if they show me

1 that they've, they've, you know, they're making a  
2 good faith effort to collect the data.

3 But they haven't had enough days of  
4 production yet, you know, enough data yet to prove  
5 it, I always grant an extension and I was under the  
6 impression that FSIS does the same.

7 MR. GUNTHORP: This is Greg. I think it  
8 would be a good thing for that to be in the  
9 compliance guidelines though to spell out the, for  
10 products that have a very long drying period.

11 That it's clear that it's going to take  
12 more than 90 days to validate a process, you know,  
13 12 lots or 12 weeks production minimum or something  
14 like that.

15 But I'm a big fan that if USDA could give  
16 little plants some safe harbors, and then if they  
17 want to go above or beyond, or want to do something  
18 different, then that has to support it.

19 But, you know, I know lots of little  
20 processors that get into some really serious pissing  
21 contests with the in-plant inspectors over, you  
22 know, is this enough, that enough.

23 It's, you know, if USDA could make that  
24 clear, it'd be great for the little processors. It  
25 just, so like my hams, there's no way you can

1 validate a ham process in 90 days when they take a  
2 year of drying.

3 U/F: Should we put that under point, under  
4 Question 2 where we're discussing guidance?

5 MR. GUNTHORP: Yeah, that would probably  
6 make more sense.

7 U/F: Yeah. That's part of the gathering's  
8 recommendations.

9 MR. GUNTHORP: This is Greg again. So what  
10 I'm basically hearing out of our recommendations,  
11 and correct me if I'm wrong, is that first and  
12 foremost, we would encourage people to produce  
13 products and come up with a validated, five log  
14 reductions.

15 If not, then we're, they are going to  
16 extend their support to LIMS or somebody else and  
17 they're going to make a determination whether that's  
18 acceptable.

19 And then the final alternative would be  
20 that they would do some kind of producing with a  
21 test and hold, which would also have to be approved  
22 by LIMS or someone.

23 DR. CURTIS: That appears to be what our  
24 recommendation is, yes.

25 MR. GUNTHORP: Okay.

1           U/F: What test and hold would have to be  
2 approved by LIMS? If you just made that in your  
3 HACCP plan, I'm going to test every lot? I don't  
4 know if LIMS would have to do that. I don't know.  
5 Maybe --

6           U/M: LIMS is going to make the --

7           U/F: -- -- can say --

8           U/M: -- determination on the, on testing  
9 frequency, how many tests need to be done before  
10 that product there enters commerce. You know, the,  
11 I mean those are the things that pop into my mind.

12           U/F: I see what you mean because we  
13 discussed 10 to 15 amount, or 10 or 15 using  
14 whatever guidelines are. If you guys, everybody out  
15 in the field is going to know that. So yeah -- --

16           U/M: And then me as a, me as a plant  
17 owner, I don't know that I wouldn't feel comfortable  
18 producing a product and shipping it right off, just  
19 the first set of tests because say you had a really,  
20 really low frequency of bugs.

21           It's just going to take tests before you  
22 would figure that out anyway, right?

23           U/F: So you would want, what you're saying  
24 is you would want FSIS to approve your testing  
25 frequency?

1 U/M: Oh no, I don't know about that. I'm  
2 saying that me as a responsible plant owner, I would  
3 want to do more than, just test more than one batch  
4 before I sent the stuff out to commerce.

5 Because heaven forbid my, say my third  
6 batch, I figured out that we were going to  
7 occasionally get salmonella or listeria slipping  
8 through our process, and then I've already got two  
9 batches out in commerce.

10 So I think it's, you know, that whole  
11 testing and holding concept is kind of, you know, we  
12 talked about earlier, it's kind of contrary to pass  
13 up in the, you know, you can't, you can't test it  
14 all.

15 Unless you test it all, you don't know that  
16 it was negative.

17 DR. DE MELLO: This is Amilton. You know,  
18 Greg, it was today that we need to have an idea  
19 about, you know, how many repetitions we need to  
20 have and how many applications we need to have in  
21 that relegation, if it is a conversation. Is that  
22 correct?

23 MR. GUNTHORP: Yeah, that's what I'm  
24 saying.

25 U/F: Would you, you wouldn't have actually

1 conflated your validation study if you just run the  
2 test one time either.

3 DR. DE MELLO: Yeah. That's why I asked  
4 about the frequency and how many times.

5 U/F: So you'd take test and pull it off  
6 the tables? That's the recommendation?

7 U/M: I don't know. I like the hold  
8 concept, the test and hold, you know, along with,  
9 you know, like that blue ribbon test course where  
10 they're, you know, you're doing a validated two log  
11 reduction, and then you're testing and holding.

12 You know that is scientifically supportable  
13 in that, you know, if you're starting with good  
14 source material that, you know, you haven't  
15 temperature abused, and you know you had process  
16 control on slaughter.

17 You probably didn't have a higher log  
18 reduction than that. You're testing to show that  
19 you didn't. And then turn around and do your  
20 process.

21 I mean I, that's where I, I think that  
22 this, I think it makes sense. I just don't think  
23 it's going to require someone with some very good  
24 knowledge of food safety and processes to be able to  
25 be an unbiased determination of whether the process

1 makes sense.

2           And that's where I still think that it's  
3 going to be LIMS or somebody like that because I  
4 don't know that most in-plant inspectors have that  
5 kind of knowledge and skillset.

6           U/F: So do we want to go back and combine  
7 these into one recommendation, or do we want to  
8 leave that as a separate recommendation?

9           U/M: I mean I still think it's a three-  
10 tiered recommendation and I really like that three  
11 tier, that validated five log reductions. The  
12 ideal, the combination of resources to come up with  
13 it and LIMS looks over that or test and hold with  
14 substantially lower reduction.

15           U/F: Maybe move the sentence up. The one  
16 that says, increased FSIS and establishment testing  
17 may be required, move that up to follow point one,  
18 combine the best possible scientific source.  
19 Maybe it just needs to be after each one. I don't  
20 know. But --

21           MS. RENDON: This is Tina Rendon. So on  
22 that note, I do like moving that increase FSIS and  
23 establishment testing will be required to that first  
24 one.

25           The second one, since we're talking about

Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 in association with test and hold, maybe we just say  
2 the increased FSIS testing may be required, after  
3 that one.

4 U/F: Agreed.

5 MR. GUNTHORP: No I think that -- this is  
6 Greg again. I think that makes sense, too because,  
7 you know, if you look at Listeria in ready-to-eat  
8 products, you know, USDA clearly has delineated  
9 certain categories that they don't test as  
10 frequently such as cook-in-the-bag product.

11 But it's a significantly less risky  
12 product. And so USDA could differentiate between  
13 products on which classes would be less supportable  
14 and therefore possibly more risky.

15 DR. EBERLY: This is Jennifer. So maybe  
16 that last sentence that's not with the point, it  
17 says there should be one authority. I think we,  
18 have we agreed that, that one authority should be  
19 responsible for both, either of these?

20 So there should be one authority but then  
21 FSIS should be responsible for reviewing the support  
22 due to specialization of the processes or reviewing  
23 an establishment's test and hold process proposal,  
24 something like that?

25 MS. RENDON: This is Tina. I would agree

Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 with that.

2 U/F: Any other changes?

3 U/F: That same sentence, which says there  
4 should be one authority then FSIS will be  
5 responsible for, maybe change that, responsible for  
6 to reviewing the, I don't know the right words. I  
7 want to specify, reviewing the, reviewing --

8 U/F: I would --

9 U/F: Those words.

10 U/F: That would --

11 U/F: Something like that.

12 U/M: You could say, assessing risk, kind  
13 of doing, is that right? Reviewing the extent to  
14 which the --

15 U/F: It's approved use of, I don't want to  
16 say questionable, because that's not -- reviewing  
17 the proposed combination of imperfect support  
18 documents.

19 U/F: Isn't that their validation plan?  
20 Aren't we just asked --

21 U/F: Yes.

22 U/F: -- to review their validation plan?

23 U/F: Yeah. That would be, that would be  
24 good.

25 U/F: So it's which ever options they

1 choose. It's their, the establishment's validation  
2 plan.

3 U/F: Maybe we change --

4 U/F: I like that.

5 U/F: -- we're reviewing your establishment  
6 validation plan due to what, we could just say, the  
7 use of multiple support documents, something like  
8 that.

9 Because it's not because of the process is  
10 complicated. It's because they're using documents  
11 that are maybe not perfect.

12 MR. GREMILLION: This is Thomas with  
13 Consumer Federation of America. So the, it seems  
14 like test and hold proposals are part of the  
15 validation plan.

16 So maybe, so maybe that should be,  
17 reviewing the establishment validation plan,  
18 including any test and hold proposals, due to, and  
19 then put all that.

20 And if in moving that, we're reviewing  
21 establishment test and hold proposals to the end of  
22 that. Does that make sense? But maybe I'm, maybe  
23 I'm misconstruing what was the aim of the previous  
24 one.

25 U/F: I like it, but maybe we should put at

Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 the end of reviewing the establishment validation  
2 plan for fermented, salt cured or dried products, so  
3 that people don't say, well, I'm going, you know,  
4 that where we're really only recommending it for  
5 this particular type of product.

6 So people don't just go crazy and like,  
7 well, I'm going to use these documents for my  
8 slaughter and, you know, where there's plenty of  
9 documents for those products.

10 MR. GREMILLION: Yep. Okay. Well --

11 MR. GUNTHORP: This is Greg. I'd kind of  
12 like to see it as the, only on the processes that  
13 didn't validate a five log reduction or where  
14 there's differences from the support paper.

15 I'd prefer not to have to send in a  
16 validation and get them approved on processes that  
17 are clearly in compliance with 417 with the HACCP  
18 approved.

19 U/F: Well maybe, so including a test of  
20 whole facilities, when the establishment is using  
21 multiple support documents that do not -- because  
22 not is not quite the right word.

23 But not using, well, I know what you're  
24 trying to say, Greg. I do, you know, but if you  
25 don't want to have to send it in if everything's

1 good --

2 MR. GREMILLION: Yeah. Well, so this is  
3 Tom again. Maybe when an establishment is using  
4 non-standard.

5 MR. GUNTHORP: This is Greg again, because  
6 it's almost like what we're doing is proposing  
7 something similar to the waiver, you know, request  
8 that establishments put in, which little plants, I  
9 don't think ever are involved in that.

10 But, you know, USDA does grant waivers to  
11 the regulations. And what we're really saying is  
12 that some of these products aren't able to clear  
13 they're, in all likelihood, completely safe but with  
14 current science support it's, there's minor  
15 differences here and there.

16 U/F: I think like when they can't make  
17 standard report requirements.

18 U/F: Well we don't, we want them to meet  
19 some requirement. But when we say, some scientific  
20 documents, we're not completely exact parameters of  
21 their process, something like that so, I just don't  
22 want to give everybody a license to, you know, --, I  
23 guess.

24 So, you know, the documents need to be at  
25 least somewhat in the realm of what they're actually

1 doing, their process.

2 U/F: So would it help if we changed, there  
3 should be one authority within FSIS that will be  
4 responsible for reviewing the establishment  
5 validation plan for non-traditional niche or niche.

6 However you say that, fermented, salt cured  
7 and dried products, including any test and hold  
8 proposal, blah, blah, blah. And then stop the  
9 sentence at, when the exact parameters of their,  
10 when scientific documents do not meet the exact  
11 parameters of their process.

12 U/M: I agree with all of that.

13 U/F: And maybe we want to put, save the  
14 specialized, so do not meet the exact specialized  
15 parameters because that's specialized. Because  
16 that's really what we're saying, right?

17 A slightly different formulation. It's a  
18 slightly different process. It's a slightly  
19 different diameter. Whatever it might be.

20 MR. GUNTORP: Yeah, this is Greg. I think  
21 that's what we're saying because I think we're  
22 saying that they still have scientific support.  
23 Theirs is just differing slightly from it.

24 You know, and that, there's, I'm, I'm okay  
25 with it as long as is it's clear that the people

1 that are producing processes that are consistent  
2 with their support papers and have paperwork for  
3 five log reductions that we're not dragged into  
4 this.

5 And I'd almost bet that USDA would hope  
6 that not everybody in the country, you know, like  
7 was mentioned the fact they're getting stuff for  
8 slaughter paperwork and everything else imaginable.

9 MS. RENDON: Tina Rendon. I agree with the  
10 way it's worded here. I think it's good.

11 U/F: Okay. Anybody disagree with the way  
12 that we are, what we have here for the response for  
13 Question 1? Are we ready to move to Question 2 just  
14 for a quick review?

15 MR. GUNTHORP: This is Greg. One more  
16 question and then I'll shut up. Is Meryl on the  
17 line this morning?

18 MS. SILVERMAN: Yes. I'm here.

19 MR. GUNTHORP: Yeah. Is, I have a  
20 question. Is this something that right now is a  
21 very small establishment sent documents, is this  
22 something that USDA would give them an answer on, on  
23 whether their process was supportable?

24 MS. SILVERMAN: Yes. So we do review  
25 through Ask FSIS supporting documents, either that

1 would be submitted by FSIS personnel or  
2 establishment.

3           Ultimately the determinations made by the  
4 inspector in the establishment or other personnel  
5 like NEIO (ph.), but we can give policy feedback  
6 whether the documents meet our recommendation. So  
7 does that answer your question?

8           MR. GUNTHORP: Yeah. That answers my  
9 question. So somewhat similar to what we're saying  
10 should be happening right now.

11           U/F: Yes. So it's not like a standard  
12 process. It's, it's a courtesy, I guess right now  
13 if somebody asks.

14           U/F: I guess, I guess I would say, Greg,  
15 though that our recommendation is a little bit this  
16 because Meryl says that the guidance on policy  
17 there's no, they're not actually the ones who are  
18 going to approve it.

19           So it's the concern that different  
20 districts are going to do different things by  
21 putting --

22           MR. GUNTHORP: Oh yeah, exactly.

23           U/F: -- that authority on LIMS, that, I  
24 think that, that would solve the problem of this  
25 district allowing it, but the other one not.

1 MR. GUNTORP: Oh yeah. I mean I  
2 completely agree. I was just asking. Something was  
3 said, it made me think that they're probably doing  
4 some of this now.

5 So I think it would just fit in their  
6 wheelhouse. I like the recommendations.

7 U/F: Okay. So are we ready to move down  
8 to Question 2 now? Everybody agree with this one?  
9 Anybody opposed?

10 U/F: I agree.

11 U/F: All in favor?

12 ALL: Aye.

13 U/F: Let's move to the next question and  
14 take a look at these recommendations and see if  
15 there's anything you want to change on these.

16 DR. EBERLY: I did some, I did some  
17 rewriting this morning. Nothing that changes the  
18 meaning, I don't think. But if I could just suggest  
19 because it sounds better, on the first, this is  
20 Jennifer Eberly.

21 The second bullet where it says updating  
22 the list of state passive contact and coordinators.  
23 I thought may be should end the, that sentence with,  
24 so they may provide the FSIS reviewed and approved  
25 journal articles to producers trying to write

Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 passive plans for these products.

2           Just so it doesn't seem, we may know what  
3 it meant but rereading it this morning, I'm not sure  
4 it was clear why we wanted them to update the list.  
5 And I'd change that to, that bullet to, approved  
6 journal articles to establishments producing these  
7 products.

8           U/F: But see the approved articles are  
9 going to be in bias, right?

10           U/F: Right. They should be. But I  
11 thought the point was to, so they would know what it  
12 was before the guidance comes out, which may be a  
13 couple years.

14           U/F: Right. I just thought that the list,  
15 that -- of the list was to provide contacts for  
16 those small plants that may need a system, and  
17 figure out how to do this at all, not just for the  
18 journal articles.

19           U/F: Okay. You can --

20           MS. RICE: This is Kim.

21           U/F: Hi Kim. Go ahead.

22           MS. RICE: Sorry. I was just going to say,  
23 can we change what she just said, not get rid of it  
24 all but add to what, I think it was Patty who was  
25 talking, to basically clarify to assist small and

1 very small processors with the validation process  
2 because that's really what we're trying to do here.

3 MS. RENDON: And this is Tina. Maybe we  
4 do, comma, including assisting, locating the journal  
5 articles or providing them, including providing  
6 them.

7 U/F: Right. But I think part of it was as  
8 the producers, somebody like Greg, who, if he  
9 doesn't have a Scott, somebody who works with the  
10 university to provide the articles because it's --

11 U/M: Scott?

12 MS. RENDON: I like it. This is Tina.

13 U/F: Another suggestion.

14 DR. EBERLY: Well this is Jennifer. On the  
15 next bullet point where it says, establish working  
16 with scientists working in this area. Should we  
17 just put working in meat science?

18 U/F: Working in meat science won't mean  
19 that they're particularly, they may or may not be  
20 working at, specifically in that type of product.  
21 But if you want to broaden it, you can put in meat  
22 science.

23 Or we don't have to put anything about the  
24 working group. What do you all think? Do we need  
25 to --

1           U/F: I just didn't, the statement says  
2 area. I'm like what area? If I was somebody else  
3 reading it. That's all I was responding to.

4           U/M: Okay. Those things will define  
5 general. Food science would probably be better.  
6 Maybe.

7           U/F: I would change it to read in the  
8 artisanal niche shelf stable are -- fermented, self  
9 cured or dried products area.

10          U/M: That would be very --

11          U/F: Well again the question is very  
12 specific. Right? They're asking us about those  
13 products and what to do about those, the folks  
14 producing those products who don't have this  
15 information. And this information doesn't exist.

16          DR. DE MELLO: Right. I understand that.  
17 But so, because you're going to force that producer  
18 to look for somebody out of state, in my, in my  
19 understanding you might be to find anybody in your  
20 state that understands fermentation, pH drop, like  
21 the microbiology.

22                 So I think that the majority of academia  
23 understands what should be done there.

24          U/F: But Amilton, with that, this is an  
25 established workgroup to help FSIS and others figure

1 out what the gaps are. Not to provide one on one  
2 work with the producers. That's what the bullet  
3 above is about.

4 DR. DE MELLO: All right.

5 U/F: At least that was my understanding of  
6 the workgroup.

7 U/F: My only --

8 U/F: This is -- that was --

9 (Simultaneous speaking.)

10 U/F: I was just going to say --

11 U/F: -- is terrific.

12 U/F: -- and that is --

13 U/F: I'm sorry.

14 U/F: Go ahead.

15 U/F: Go ahead.

16 U/F: No, you. I'm done.

17 U/F: Okay. My only concern with being as  
18 specific as ready to eat niche artisanal, fermented  
19 whatever, are there enough scientists working in  
20 that area to form a working group?

21 I don't know. Maybe somebody from the  
22 universities can tell me, are there enough people  
23 there to formulate this group?

24 MS. RENDON: This is Tina Rendon. So  
25 whenever, I mentioned this yesterday because my

1 thought process was the scientists that have done  
2 the work as far as the scientific journal articles,  
3 or whatever that Meryl and their group has  
4 identified.

5           Maybe reaching out to those scientists, and  
6 then the ones like, I apologize, the guy from Ohio  
7 State who said that, I believe he was the one that  
8 has worked with some of the companies in Ohio or  
9 something that was said yesterday.

10           Someone on this group, I apologize. I  
11 don't remember who. But maybe they could be part of  
12 the working group that falls under this  
13 subcommittee, more or less, so it's not, you know,  
14 some random working group.

15           It's one that FSIS would work with to get  
16 the scientific support and identify those gaps and  
17 fill those gaps, similar to what they did with  
18 Appendix A. I know NAMI headed up that working  
19 group but similar to that.

20           U/M: -- the one that you were trying to  
21 think of a minute ago, but here's the other  
22 question. I think there's enough people. I don't  
23 want the group to be too big to be functional.

24           But I think there's enough people that have  
25 worked in this area to set up this working group.

1 And I do, I do like being more specific, because I  
2 was just thinking if the other break out group was  
3 looking for somebody to work on E. coli testing and  
4 whatnot.

5 I don't think I would want to be part of  
6 that working group because that's not an area that I  
7 have spent a bit, you know, a lot of time on. But I  
8 do like the idea of being a little more specific  
9 about what this working group's going to be involved  
10 in.

11 U/F: Any other recommendation for changes  
12 for any of our last bullets or --

13 DR. KNIPE: Yes, this is Lynn Knipe again.  
14 And then that C bullet point there with establishing  
15 working group. We had talked yesterday, and I  
16 didn't get a copy last night of the discussion  
17 points yesterday.

18 I don't know if anybody else did. But at  
19 one point I don't know whether we add to this or  
20 make a separate bullet point, but we were talking  
21 about using these, actually identified parameters to  
22 establish, I guess they call it, validated  
23 processing guidelines actively as safe harbors. Is  
24 that still an interest to the group?

25 U/F: And they have this workgroup then

Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 establish safe harbor?

2 U/M: Well --

3 U/F: So you're --

4 U/M: Yeah. They will work to identify the  
5 parameters that would be used in establishing  
6 validated processing guidelines. They might have to  
7 do some research to, it would almost be like making  
8 Appendix A for this product category.

9 U/F: You're right. We talk about having  
10 them establish the criteria, right, for the key  
11 points.

12 U/M: But I don't whether that would go in  
13 that same bullet point or do we start a new one, or  
14 I'm not quite sure how that would do that.

15 MS. RENDON: This is Tina Rendon. I would  
16 agree with that unless Meryl and the group already  
17 have some of those established through the guidance  
18 document they're working on. But I would agree with  
19 that. This is Tina Rendon again.

20 U/F: I want to do a time check. We have  
21 six minutes left. Is that correct?

22 U/F: Yeah.

23 U/F: This is --

24 MS. GREEN: Do you need additional time?  
25 This is Val Green. Do you need additional time to

1 continue?

2 U/F: I think we just need just a few  
3 minutes to finish up the working group here.

4 MS. GREEN: Okay. That's fine.

5 U/F: The bullet point on that. It won't  
6 be long.

7 MS. GREEN: Okay.

8 U/F: Why don't we just add that under our  
9 working, as a part of the working group up there to,  
10 how did you, how did you word that Lynn?

11 Establish --

12 DR. KNIPE: The validated processing  
13 guidelines.

14 U/F: So we want it as a part of the  
15 working group in a separate or is that open? Which  
16 do you want --

17 DR. KNIPE: Go ahead. It kind of flows  
18 with that working group concept. But I --

19 U/F: Okay. Yeah. In our notes yesterday  
20 we did say, establish a working group to look at the  
21 data that is currently available to identify gaps  
22 and establish critical parameters that would need to  
23 be met for products or processes.

24 DR. KNIPE: Yes. Um-hum. I like that. I  
25 don't know what everybody else thinks.

1 U/F: I like it.

2 MS. RENDON: I agree. This is Tina.

3 MR. GREMILLION: Yeah. I agree. This is  
4 Thomas.

5 U/F: Okay. Anything else or are we ready  
6 to move forward with these recommendations?

7 MS. RICE: So this is Kim. I'm sorry. The  
8 next three that say, include, include, include. So  
9 what include, those are part of the guidelines?  
10 Make the guideline publication a high priority.

11 We might want to move that up and then all  
12 of those others are under that publication.

13 U/F: Yes.

14 MS. RICE: What we --

15 U/F: That makes more sense.

16 U/M: Yeah.

17 U/F: Yeah.

18 U/F: Those others are just stuff for  
19 what's under that. Yeah.

20 MS. RICE: The three includes?

21 U/F: Yeah.

22 MS. RICE: So you can't, yeah.

23 U/F: Okay. Does that make more sense to  
24 everybody?

25 U/F: Yes. It does.

1 U/M: It looks good.

2 U/F: Okay.

3 U/M: Yep.

4 U/F: Everybody, anybody, yeah, opposed to  
5 the right, any of the recommendations that we have  
6 here? Okay. We will include that these are our  
7 recommendations from our subcommittee. And then --

8 DR. EBERLY: Okay. One -- sorry, this is  
9 Jennifer. That sentence establish working group.  
10 Does that make sense? I feel like there's, we did  
11 phrase twice.

12 It established which of those that need to  
13 be met. And establish but it's, and it says, and  
14 establish critical parameters twice. Right. It  
15 repeats itself.

16 U/F: Oh, it sure does. So it's the second  
17 and.

18 U/M: One of those is a copy and paste  
19 issue. It looks identical.

20 U/F: It just deletes all the way over to  
21 the -- and then to the sentence, to the, to the  
22 period from where the person is now to the period.

23 If that's deleted, I think it would make  
24 sense then, maybe. If -- critical, it would need to  
25 be met for product or process, period.

1 U/F: Yep. Good catch. Anything else?

2 U/M: Then we just took out the guidelines.  
3 Didn't we?

4 U/F: No. And establish which critical  
5 parameters would need to be met for the product and  
6 process.

7 U/M: Okay.

8 U/F: You could put, to be included in the  
9 guidance when published. I don't know.

10 U/F: Well that's what the guidance is  
11 focused on is a, is it not, for what the working  
12 group will provide?

13 MS. RENDON: This is Tina Rendon. I think  
14 it would be good to just clarify that, that will be  
15 the guidance document. I agree with Jennifer.

16 U/F: Any other corrections?

17 U/F: And Val, if you're still, I think  
18 we're finished.

19 MS. GREEN: Okay. Thank you.

20 And I believe Subcommittee 2 is joining us  
21 as well. May I take your recommendations up? Then  
22 we'll roll right into the brief. Is Casey on the  
23 line? Has your group returned?

24 MS. EDELSTEIN: This is Rachel. It's taken  
25 me a while to reenter. Other people might be having

1 this problem, too.

2 MS. GREEN: Okay. Michele, can we ensure  
3 that the committee members are added to the  
4 panelists?

5 AT&T EVENT PRODUCER Sure. I'll take look  
6 and add them. Thank you.

7 MS. GREEN: All right. Everyone please  
8 hold. We'll start on the panel. We're waiting for  
9 Casey Gallimore to join. I think she just joined.  
10 Robert Witte to join.

11 MS. GALLIMORE: Casey Gallimore's on.

12 MS. GREEN: Okay. Tina Conklin?

13 MS. CONKLIN: Yes. Tina's here.

14 MS. GREEN: Thomas Gremillion?

15 MR. GREMILLION: I'm here.

16 MS. GREEN: All right. Okay. I believe we  
17 have Subcommittee 2 on the line.

18 Welcome back everyone. We'll begin with  
19 the report out from Subcommittee Chair, Dr. Patricia  
20 Curtis on the recommendations for validation of  
21 Ready-to-eat Shelf-stable Multi-hurdle Lethality  
22 Treatments. I'll go ahead and turn it over to Dr.  
23 Curtis.

24 DR. CURTIS: Thank you. This committee  
25 worked on trying to figure out how would be the best

1 way to address the issues posed to the subcommittee  
2 on the fermented, salt-cured and dried products.

3           Because we're seeing more and more of the  
4 small processors working in the artisanal type of  
5 products coming in here, and they're have, they  
6 would have a problem meeting the traditional  
7 validation requirements.

8           And we had a long discussion about that,  
9 which is sort of backwards for Question 2. But  
10 I'll, I'll start with why our recommendations were  
11 in order of what the questions were raised by FSIS.

12           And the first question was what actions  
13 should FSIS take when it determines that an  
14 establishment lacks scientific support for the  
15 lethality treatment of a fermented, salt-cured or  
16 dried product.

17           And the issue that you run into here is  
18 we're currently are not sufficient articles to cover  
19 the areas needed to provide the traditional  
20 validation requirements for a HACCP plan.

21           And to do this, what a large company might  
22 to is go out and get a challenge study done. But  
23 that's very expensive and not economically feasible  
24 for many of these small producers.

25           So what the committee determined was that

1 there were several things posed for options. And  
2 after much discussion the best that we could come up  
3 with for them was to come up with the best possible  
4 combination of available scientific support  
5 documents.

6           Albeit these may not match exactly the  
7 product parameters that meet their particular  
8 product, which means it's not, it wouldn't normally  
9 be approved under a traditional validation plan.

10           But there's not anything there for them to  
11 really match up currently. So the idea was that  
12 they would be able to do this the best they could of  
13 the best science that would be available and match  
14 that to theirs.

15           And add with that maybe some increased FSIS  
16 or establishment testing to require, to make sure  
17 that this was really working with their product, is  
18 one option.

19           Or the second option, to use scientific  
20 support that demonstrates less than five log  
21 reduction, potentially in association with test and  
22 hold.

23           And this would probably also mean increased  
24 FSIS testing would be required. The one thing that  
25 we did agree upon is whatever the establishment

1 chose to, the option they chose to take that if they  
2 couldn't meet a traditional validation requirement  
3 then there should be one authority within FSIS that  
4 would be responsible for reviewing the  
5 establishment's, the validation plan for these  
6 nontraditional niche fermented, salt-cured and dried  
7 products.

8           Including any test and hold proposals when  
9 the scientific documents did not meet the exact  
10 specialized parameters. And we felt that it needed  
11 to be one authority within FSIS who truly understood  
12 all the niche approaches and the scientific  
13 information needed to do that, to figure out if they  
14 matched with that approach.

15           Moving to Question 2, it supports some of  
16 the things that we were talking about those plants  
17 needing. And right now there is really a lack of  
18 information for the establishment to use.

19           But according to our FSIS people, they said  
20 that there's a pre-publication list of journal  
21 articles that they have in, or they're working up  
22 their draft guidance for this group.

23           And so the committee felt that it would be  
24 best if we could go ahead and make a pre-publication  
25 of all the peer reviewed articles that have already

1 been collected by FSIS available to those plants  
2 now, while we're waiting for the publication of the  
3 guidance document, knowing it may be quite some time  
4 before the actual guidance documents come out

5 Another thing that we thought is that a lot  
6 of these small processors may not really know who  
7 they can approach to help them figure out how to  
8 validate their plan.

9 And FSIS currently has a state HACCP  
10 contact and coordinators list that is often used by  
11 FSIS when they recommend for processors to get  
12 assistance. But that list is somewhat out of date.

13 And the committee thought if we could  
14 recommend updating that list, that it would provide  
15 and assist small and very small processors, would  
16 name the people who could help them with the  
17 validation process.

18 And especially to be able to access these  
19 journal articles once FSIS's released the list of  
20 these articles that they had for the guidance  
21 document.

22 They compress (ph.) the processes may not  
23 be able to actually access the articles but the  
24 people on that HACCP contact and coordinator's list  
25 would be able to obtain copies of the articles for

Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 the small processors.

2           And the cause, moving to the next bullet,  
3 the cause there is a number of gaps and a lot of  
4 questions regarding this process yet. The committee  
5 recommends establishing a working group basically  
6 made up of scientists working in this niche market  
7 to look at the data that is currently available and  
8 help identify gaps.

9           And particularly to establish which  
10 critical parameters would be needed to be mapped for  
11 a product and a process that, and to have this  
12 information then included into the guidance document  
13 that FSIS is creating.

14           We want to make that guidance document a  
15 high priority at the conclusion of the working group  
16 activity and then we have several things we wanted  
17 to make sure that was included in the guidance  
18 document including the resource of the niche meat  
19 processors assistance network.

20           And including a multi-hurdle approach that  
21 allows the process that support less than five log  
22 reduction of salmonella. And then to include  
23 guidance on extended validation time for those  
24 products with long processing times than the  
25 guidance.

1           These are the products, normally you have  
2 90 days for validation. Some of these types of  
3 products require a lot longer than 90 days to  
4 complete the process.

5           So in order to get enough products made to  
6 validate the process, they would need a longer time  
7 in order to get their validation done. And then to  
8 recommend that research that is identified for those  
9 gaps to be complete.

10           I have priority within the Agency and to  
11 have make these priorities known to funding agencies  
12 so that those gaps could be filled with research to  
13 address these areas.

14           And so with that, I'll be glad to try to  
15 answer any questions, or my committee members might  
16 have some additional things they would like to add.

17           MS. GREEN: Thank you, Dr. Curtis.

18           Next we'll hear from Subcommittee chair,  
19 Subcommittee 2's chair, Ms. Casey Gallimore on the  
20 recommendations for FSIS testing of boxed beef  
21 primal and sub-primal products for Shiga  
22 toxin-producing E.coli.

23           Michele, please give control of the screen  
24 to Ms. Gallimore.

25           AT&T EVENT PRODUCER I'm sorry. Can you

Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 repeat that name again?

2 MS. GREEN: Casey Gallimore.

3 AT&T EVENT PRODUCER Okay. One moment.

4 MS. GREEN: While we're waiting for her to  
5 pull up the recommendations -- all right. Okay.  
6 We'll go ahead and get started.

7 MS. GALLIMORE: Thank you. As you all  
8 know, we were charged with the question, if an  
9 establishment identifies boxed beef primal and  
10 sub-primal products as intended for intact cuts,  
11 should FSIS continue not to sample, or should FSIS  
12 test these products?

13 The committee pretty much knew -- no, not  
14 pretty much. We unanimously agreed that, yes, FSIS  
15 should continue not to sample these products. There  
16 was long discussion about the concerns over  
17 sampling.

18 There were concerns over whether the  
19 sampling would even be effective if the, a very,  
20 very large amount of products that would, that would  
21 be subject to sampling.

22 It just didn't seem like the most effective  
23 way to really get to the problem, which is outbreak,  
24 which are outbreaks related to products that are  
25 ground at retail from primal and sub-primal that

1 were not intended for non-intact use.

2           So the committee started deliberating on  
3 what things could be done to fix the root cause of  
4 the issue. And there were, there were some  
5 recommendations that we came up with on the current  
6 system.

7           So currently, as Robert Witte talked about  
8 yesterday morning, there are some things being done  
9 to try and provide information from the processor,  
10 established the packer or processor establishment  
11 down to the retailer about the intended use of the  
12 product.

13           So there are some ways we believe that  
14 current system could be strengthened. One of those  
15 would be to for FSIS to create a centralized  
16 resource most likely in the form of a webpage  
17 somewhere on the FSIS website with more information  
18 on the specific subject of intended use for boxed  
19 primal and sub-primal products.

20           And not just, you know, what their typical  
21 intended use is, but outline why that is their  
22 intended use and the risks with utilizing those  
23 products for non-intact products.

24           So once there is a webpage established, the  
25 Agency could update their current guidance for

Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 industry recommending that current intend use  
2 statements be updated to provide more information.

3           And they could, one of the ways that could  
4 be done would be just to link to the FSIS website.  
5 But also, you know, current company webpages that  
6 talk about intended use could go into a little bit  
7 more detail of why that, the intend use is what it  
8 is and what the risks are with using it not as it is  
9 intended.

10           And then also including that intended use  
11 information in company letters of guarantee and  
12 distributing that information to their customers on  
13 an annual or more frequent basis to ensure that,  
14 that information is getting to at least the next  
15 person in the supply chain.

16           And then, you know, best practice would  
17 also be to recommend that, that person continue the  
18 information chain throughout the supply chain. As  
19 we know these products typically go through multiple  
20 stops in the supply chain before they reach the  
21 retailer.

22           So along with some efforts to strengthen  
23 the current system, the committee came up with a  
24 second recommendation to -- it was based off of the  
25 learnings and the successes of both the LM (ph.)

Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 project that was discussed yesterday morning, and  
2 the implementation of grinding log at retail  
3 establishment.

4           And so our second recommendation, draft  
5 recommendation would be to conduct a similar  
6 outreach and education effort to the LM project,  
7 kind of using that as a baseline outline for the  
8 project.

9           And I know yesterday morning during the  
10 presentation there were learnings from that, so we  
11 could utilize those to improve this next education  
12 effort.

13           And specifically considering that retail  
14 establishments are very different in size and  
15 availability of resources. So your very large  
16 multinational chains have very different resources  
17 available to them than your mom and pop shop down  
18 the street.

19           So taking into consideration any outreach  
20 and education that's provided, needs to be able to  
21 be acceptable to all of the different types of  
22 retailers.

23           And also not forgetting about those  
24 processors that also fall under retail exemption.

25 So part of the, the first part of this

1 recommendation, we identified that we didn't have a  
2 good enough representation of retailers on our  
3 subcommittee.

4           So we think it would be prudent for the  
5 Agency to conduct information gathering, including  
6 those folks possibly in the form of a roundtable to  
7 determine what information and resources is  
8 appropriate and helpful to retailers, along with  
9 some possible, some other viable pathways to  
10 distribute that information.

11           We thought of some resources. Different  
12 industry associations and groups have information  
13 available on set controls. FSIS has guidance on how  
14 to control for STEC on non-intact products.

15           And also there are extension services  
16 available. This is definitely not an exhaustive  
17 list, but it was a starting point of ideas. And  
18 then similar to the LM project, we recommend that a  
19 survey be done along with this project at the  
20 beginning of the project and throughout the project.

21           So that we can gauge where retailers are at  
22 as far as controlling for STEC, and gauge whether  
23 the effort is effective. I think one of the, one of  
24 the biggest pros in my personal opinion, and we  
25 discussed this on the committee, on the LM project

Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 was the fact that there was a survey done.

2           So that we could really understand how many  
3 retailers and delicatessens were following the LM  
4 recommendation. And you could see that throughout  
5 the effort, that increased, and there, the vast  
6 majority of them are following controls.

7           So there was a lot of success there and we  
8 think with a similar project would make sense here  
9 too. Some of the things we think should be  
10 considered to be included on that survey is number  
11 1, just determining whether or not that specific  
12 retailer is grinding primals and sub-primals,  
13 especially those that are intended for non-intact  
14 use.

15           And then, you know, the survey may or may  
16 not continue after that question's answered. Some  
17 of the ways that we know primals and non-primals are  
18 being used, technically we talked mainly about  
19 grinding because that's the main way that we're,  
20 that we're understanding is being used.

21           Although it should be inclusive of other  
22 non-intact use, but some of the ways we know sub-  
23 primals and primals are being used are as whole  
24 muscle grinding upon request. So a customer comes  
25 up to the meat counter and says, could you please

1 grind this sirloin for me.

2           Also as retail establishments are trimming  
3 primals and sub-primals and cutting into steaks,  
4 their trim, what we typically refer to as bench trim  
5 produced, not as being ground in some retail  
6 establishments.

7           As well as grinding full muscle that they  
8 full intend upon receiving those full muscle  
9 products for it to be ground, we know some retailers  
10 are purchasing primals and sub-primals with the  
11 knowledge of the supplier knowing that, that product  
12 is intended to be ground.

13           But we believe there's probably retailers  
14 out there that are just buying primals and sub-  
15 primals and not communicating to the supplier that  
16 they intend to grind those products.

17           And then we also think it might be good to  
18 just get an understanding of whether or not  
19 retailers know about intended use and what the risk  
20 is when utilizing products that were intended for  
21 intact use for a non-intact product.

22           Again kind of as a baseline to understand  
23 whether they're aware of the risks. And if they are  
24 aware of the risks, determine whether they have  
25 controls in place, maybe utilizing a checklist of

1 potential controls they might have to help aid the  
2 success of the survey.

3           And then determining whether retailers have  
4 HACCP plans. It was discussed, you know, whether  
5 there would be an opportunity to require retailers  
6 to have HACCP plans. There was a lot of discussion  
7 around that and the viability of that.

8           You know, the Agency did move forward with  
9 requiring grinding logs. So there was discussion on  
10 maybe there was a potential for that. But at the  
11 time it was decided that initially we should just  
12 determine whether or not they're already doing it  
13 voluntarily and maybe that would guide further  
14 agency activities.

15           We know that some retailers are utilizing  
16 HACCP plans, but we don't know the scope. And then  
17 along with this information effort, we talked a lot  
18 about intended use labeling.

19           And the Agency has had a long-standing  
20 policy that utilizing labels to communicate intended  
21 use on intact products that are not intended for  
22 non-intact use it is not allowed currently.

23           Most of the explanation has been we don't,  
24 the Agency does not want labeling to be used as a  
25 control method by the establishment. So our

Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 recommendation would be to rethink that current  
2 policy.

3           And consider using an intended use  
4 statement in coordination with the bigger education  
5 efforts because at the end of the day that would be  
6 a reminder to the individual that's actually at the  
7 meat counter utilizing the product that they need to  
8 consider the use of that product.

9           And it might be a trigger to kind of remind  
10 them of all the other education efforts going on.  
11 And then I'm going to, I'm going to jump down to  
12 2.5, just because it coincides with the point that I  
13 just brought up.

14           We wanted to make clear that we agree with  
15 the Agency's concern, you know, a label should not  
16 be the sole means of control of an establishment.  
17 So part of the communication effort would be back to  
18 federally inspected establishment to make them aware  
19 of any labeling that would be available to them.

20           But with the understanding that, you know,  
21 this communication effort to, an education effort to  
22 retailers and the potential for a label on intended  
23 use does not take away their responsibility to  
24 control STEC.

25           And then 2.4, we also discussed that any of

Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 the educational materials and resources that we put  
2 together or the Agency puts together and could be  
3 provided to state and local health partners as  
4 another means of distributing and ensuring this  
5 information gets out to retail establishments.

6 Under 2.1, we talked about an information  
7 gathering, possibly in the form a roundtable. We  
8 put together a list of potential invitees that we  
9 would recommend.

10 But you can see here we wanted to make sure  
11 that there were retail folks as well as industry  
12 folks invited, or that's what we believe would be  
13 the most effective.

14 So there's a couple of different  
15 associations and institutes that specifically  
16 represent retailers. There's some that are a mix,  
17 such as the meat institute on our end.

18 And then some of the, again we talked about  
19 processors that also fall under retail exemptions.  
20 So some of the other groups that represent more of  
21 those establishments.

22 And then it was also recommended that we  
23 include association of food and drug officials, so  
24 again kind of a -- of local and state partners.

25 And then our last recommendation was to

Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 suggest that the Agency discuss the option with the  
2 Food and Drug Administration to incorporate some of  
3 these ideas and controls for STEC into the food  
4 code.

5           This is, we know that FSIS coordinates with  
6 the FDA on an ongoing basis on recommendations for  
7 the food code and believe that this is at least  
8 something that should be discussed, not necessarily  
9 recommending that it, that it for sure be included  
10 in the food code, but that maybe it's a discussion  
11 to be had.

12           And with that, those are our, those are our  
13 draft recommendations. I welcome any questions or  
14 comments and also any color additions from the rest  
15 of my subcommittee.

16           MR. GREMILLION: Hi, This is Thomas  
17 Gremillion for Consumer Federation of America. I  
18 have a question --

19           MS. GREEN: Hi, Thomas. I hate to  
20 interrupt but for the questions and comment period,  
21 we're going to move toward the full committee  
22 deliberations at that point.

23           So right now -- I'm sorry, this is Val  
24 Green. Right now what I'd like to do is take a 15-  
25 minute break, and then I'll ensure that all the

1 members have a copy of the reports for both  
2 committees. And then we'll move straight into the  
3 deliberations.

4 And we'll bring back the subcommittee  
5 chairs to lead the deliberations for a vote. And at  
6 that time you may have an expanded discussion and  
7 continue on with the questioning.

8 MR. GREMILLION: Sounds good. Okay.  
9 Thanks.

10 MS. GREEN: Okay? So, Michele, we're going  
11 to take a -- actually let's just come back at 10:45.

12 AT&T EVENT PRODUCER It sounds good.

13 (Simultaneous speaking.)

14 MS. GREEN: -- meet we'll come back at  
15 10:45. Thank you.

16 (Off the record at 10:28 a.m.)

17 (On the record at 10:45 a.m.)

18 MS. GREEN: Welcome back everyone. It's  
19 10:45. We'll go ahead and get started. I'd like to  
20 make sure that all the committee members received a  
21 copy of the Committee Report 1 and 2.

22 I heard from most of you so please let me  
23 know if you did not receive the copy of the E, or  
24 the copy of the report via E. All right. Hearing  
25 none, we will start with Subcommittee 1.

Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1           Dr. Curtis, would you like to take the  
2 lead?

3           DR. CURTIS: Okay. So I guess I'm opening  
4 up questions, for questions or comments for the  
5 whole committee?

6           MS. GREEN: Yes.

7           DR. CURTIS: Okay. I think we see on the  
8 screen the first recommendation about the actions  
9 that FSIS should take so that it determines an  
10 establishment lacks scientific support for lethality  
11 treatment of fermented, salt-cured and dried  
12 products.

13           You've heard our argument or our  
14 discussions about why we chose what we did concerned  
15 about the small processor who may not have access  
16 and whose products don't exactly fit the, many of  
17 the traditional roles due to their artisanal type  
18 approach to products and processes.

19           So I open it up to the committee for other  
20 suggestions or comments.

21           MS. GALLIMORE: This is Casey Gallimore of  
22 the Meat Institute, just a point of clarification on  
23 the second, number 2 there. I assume that you're  
24 referring to a five-log reduction in salmonella.  
25 Correct?

1 DR. CURTIS: Correct. Good point. Other  
2 thoughts or other comments?

3 MR. GREMILLION: Hi. This is Thomas  
4 Gremillion. I've got a question. Are we, are these  
5 questions about either subcommittee?

6 MS. GREEN: Subcommittee one, and then  
7 after the deliberations and questions, then you'll  
8 move to a vote.

9 MR. GREMILLION: All right. I'm sorry.  
10 Okay. I'll wait then.

11 DR. KNIPE: This is Lynn Knipe. Am I  
12 allowed to, since I was on Subcommittee 1 to bring  
13 up another point, I believe just part of the  
14 discussion?

15 DR. CURTIS: Of course.

16 DR. KNIPE: In the second part there, I  
17 think we should probably discuss right before we  
18 broke. I brought up the point that I thought we  
19 were discussing yesterday about establishing  
20 validated guidelines, the process to be used -- all  
21 processes.

22 Now that all got changed right at the very  
23 last minute. And what it, what it ends up saying,  
24 well we're talking about that in our working group,  
25 it says something about critical parameters that

1 would need to be met for product and process to be  
2 included in the guidance document.

3 Now --

4 DR. CURTIS: Okay. Clearly noted.

5 DR. KNIPE: -- just to --

6 DR. CURTIS: Just a moment, please. Let's  
7 move the screen down to the second part that shows  
8 the bullets that he's talking about. Okay. You'll  
9 see the working group is the one, two, third bullet  
10 down.

11 I'm sorry. Go ahead. I just want people  
12 to be able to see what you were talking about.

13 DR. KNIPE: Well I see guidelines that have  
14 been quite different then the guidance documents.  
15 And that, her comment about establishing validated  
16 guidelines was removed.

17 And I just wanted to see if maybe I  
18 misunderstood what the group was thinking yesterday.  
19 But I just wanted to bring that up one more time  
20 because as it is right now, we're talking about just  
21 putting, just identifying some critical parameters.

22 Where I was thinking of doing something  
23 like, what's been called Appendix F, with the  
24 managed products, that'll be like our Appendix A and  
25 B and whatnot.

1           Where we might have research that's  
2 validated these guidelines that companies could use  
3 like they're using Appendix A and Appendix B right  
4 now.

5           And similar to what they have done at  
6 Wisconsin with the jerky. So that's my only concern  
7 that we did, we had that in there for about 30  
8 seconds, then it got deleted.

9           And I wanted to try to bring it back one  
10 more time and -- no comments?

11           DR. CURTIS: Comments from other members of  
12 the committee or the whole, the whole --

13           MR. GUNTHORP: This is Greg Gunthorp,  
14 Gunthorp Farms. I love the idea. I think safe  
15 harbors are a great thing and I love the Appendix F  
16 idea.

17           U/F: I think where we were going with the  
18 critical parameters and I guess if we can come up  
19 with the wording to change that. Does anybody  
20 object to that, if we, if we add that into the  
21 recommendation?

22           MS. GALLIMORE: This is Casey Gallimore  
23 with the Meat Institute. I guess I just don't  
24 understand what the -- I guess, I mean the guidance  
25 document are guideline safe harbors.

1 I mean Appendix A and B are guidance  
2 documents from the agencies that are used as safe  
3 harbors. So I guess the way I read this, if that's  
4 what you're asking already is for critical  
5 parameters to be included into Appendix A and B.

6 MS. RICE: So this is Kim Rice.

7 MS. GALLIMORE: Is that right?

8 MS. RICE: Yes. So Casey, what we were  
9 trying to do -- this is Kim Rice, in case you didn't  
10 hear. I think what the conversation originated with  
11 is there are, because these products don't  
12 necessarily have peer reviewed, journal articles  
13 that validate specific formulations, specific  
14 diameters, specific, you know, whatever.

15 There's a process that some how  
16 differentiates or is different than what has already  
17 been researched and reviewed and is out there for  
18 everyone to use.

19 So the thought process was, bring together  
20 a group of scientists and professionals who can say,  
21 here are the parameters that are critical to the  
22 safety of the product, whether it's pH or water  
23 activity or whatever.

24 And here are the things that affect those.  
25 And in essence, use the data, use the data that's

1 available or the science that's available and create  
2 a document or a matrix, if you will, that says if a  
3 product meets these things, then it falls into, for  
4 lack of a better term, a safe harbor.

5           Versus having to go out and do a, anywhere  
6 from \$30,000 to \$50,000 validation study on every  
7 single product and every single gyration of that  
8 particular product or products.

9           Did I misstate that, my fellow committee  
10 members? At least that's the way I took what we  
11 were trying to do. And then it could, or it could  
12 potentially be incorporated into the guidance that's  
13 in draft form that we haven't seen.

14           Or it could be a best practices document,  
15 something.

16           DR. KNIPE: I agree with you, Kim.

17           U/F: I think that's what we were trying to  
18 do.

19           MS. GALLIMORE: This is Casey Gallimore  
20 again. Yeah, thanks for that explanation, Kim.  
21 That makes sense. I mean to me, from an outsider  
22 who wasn't on you all's subcommittee, to me that's  
23 exactly what you have written here and what you're,  
24 what you're going to plan on doing.

25           And I think, you know, it just depends on

Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 where that ends up getting put, like Kim said,  
2 whether it's in the current guidance document that  
3 exists or whether it's another one. But it sounds  
4 like a great idea.

5 DR. KNIPE: Right. If I would add that  
6 what I see really here right now is, it just, right,  
7 we might have a list of, like the parameters in a  
8 guidance document and that might not be much help to  
9 companies who can't afford to do their own challenge  
10 studies and whatnot.

11 So that's, that's where I was trying to  
12 differentiate a little bit in trying to move forward  
13 with establishing some safe harbors.

14 U/F: Would it be clearer if we put that  
15 which critical parameters would need to be met  
16 before a potential safe harbors for product?

17 DR. KNIPE: That would be better.

18 U/F: That would, if you think that would  
19 make it clearer.

20 DR. CURTIS: Other questions or comments?

21 U/F: So your thing now is the other  
22 recommendations that we had. Like we've got a very,  
23 started to get some pre-guidance publication  
24 information out for the peer reviewed journal  
25 articles.

1           So in some way trying to get that  
2 information out so it could be used without waiting  
3 on the guidance to be published. And then towards  
4 the bottom, you see, provided publication with all  
5 the information that they included.

6           But also some specific things that we  
7 wanted to see in there following this working  
8 group's a portion of their activities, because we  
9 thought that all of that would be a source to  
10 include into the guidance publication information.

11           DR. CURTIS: Quiet group this morning.  
12 Hearing no other, is there any other discussion at  
13 all of either of the two recommendations or answers  
14 to the questions?

15           MS. GALLIMORE: This is Casey Gallimore of  
16 the Meat Institute. I just offer that I think the  
17 silence is a good sign. I think these are, these  
18 are good recommendations.

19           So I don't really have anything, you know,  
20 they all seem like they make sense and there are  
21 good options moving forward for these folks. I  
22 guess the only thing I really, I really like the  
23 second bullet that we're seeing here on updating the  
24 list of contacts for folks then that need  
25 assistance.

1           Is there any way to maybe incorporate EIO  
2 outreach efforts from the Agency somewhere in here,  
3 whether or not, you know, making sure those EIOs  
4 have some of these resources, maybe that pre-  
5 publication list is distributed to those folks?

6           I know that has been a --

7           U/F: The people recommendation --

8           (Simultaneous speaking.)

9           MS. GALLIMORE: -- that has been --

10          U/F: Do you have a recommendation for  
11 that?

12          MS. GALLIMORE: I guess I would just add  
13 maybe it's like a second point under the first  
14 bullet that, that's also distributed out to EIOs for  
15 use in outreach efforts.

16          DR. CURTIS: I thank you for that  
17 suggestion. Other suggestions?

18          MS. GREEN: This is Val Green again. If  
19 there are no questions or suggestions or additional  
20 recommendations, you may move forward to a committee  
21 vote.

22          U/F: Hello.

23          DR. CURTIS: Yeah. Val, how do we move  
24 forward with a vote? Do we just do a voice vote?

25          MS. GREEN: Yes. You lead the vote.

1 DR. CURTIS: Okay.

2 MS. GREEN: And the committee takes up the  
3 recommendations.

4 DR. CURTIS: All those in favor of  
5 accepting the recommendations?

6 MS. SORSCHER: Before we vote, this is  
7 Sarah from CSPI. Is it -- who is voting? Is it  
8 the, is it all members or is it just members of the  
9 subcommittee who considered the recommendation?

10 MS. GREEN: All members.

11 U/F: So should somebody read out the list  
12 to check off the votes?

13 MS. GREEN: Yes. Someone can move to  
14 nominate to approve the recommendations. And if  
15 you're, when you're voting, please state your name  
16 and whether or not you approve.

17 DR. CURTIS: Okay. Do I hear a nomination  
18 to move to the vote to accept the recommendations?

19 MS. RICE: This is Kim Rice. I move we  
20 vote to approve the recommendations.

21 MS. GALLIMORE: I second the move. This is  
22 Casey Gallimore.

23 DR. CURTIS: Okay. Valerie, do you have  
24 the list for the vote, or do I just go down the list  
25 on the participant's panel?

1 MS. GREEN: Yes. Or would you like me to  
2 go down the list?

3 DR. CURTIS: Sure.

4 MS. GREEN: Okay. Jennifer Eberly.

5 DR. EBERLY: I approve.

6 MS. GREEN: Tina Rendon?

7 MS. RENDON: I approve.

8 MS. GREEN: Patricia Curtis.

9 DR. CURTIS: I approve.

10 MS. GREEN: William Battle?

11 (No response.)

12 MS. GREEN: Kimberly Rice?

13 MS. RICE: I approve.

14 MS. GREEN: Lynn Knipe?

15 DR. KNIPE: I approve.

16 MS. GREEN: Amilton De Mello?

17 DR. DE MELLO: I approve.

18 MS. GREEN: Thomas Gremillion? Thomas  
19 Gremillion?

20 MR. GREMILLION: Sorry. I approve.

21 MS. GREEN: Greg Gunthorp?

22 MR. GUNTHORP: I approve.

23 MS. GREEN: Jimmy Avery? Jimmy Avery?

24 (No response.)

25 MS. GREEN: Tina Conklin?

1 MS. CONKLIN: I approve.

2 MS. GREEN: Casey Gallimore?

3 MS. GALLIMORE: I approve.

4 MS. GREEN: Sherri Williams?

5 MS. S. WILLIAMS: I approve.

6 MS. GREEN: James Jenkins? James Jenkins?

7 (No response.)

8 MS. GREEN: Joseph Harris?

9 (No response.)

10 MS. GREEN: Byron Williams?

11 MR. B. WILLIAMS: I approve.

12 MS. GREEN: Sarah Sorscher?

13 MS. SORSCHER: I approve.

14 MS. GREEN: Denise Perry?

15 MS. PERRY: I approve.

16 MS. GREEN: Misha Robyn?

17 (No response.)

18 MS. GREEN: That concludes the vote. The

19 majority approved.

20 DR. CURTIS: Thank you Valerie.

21 MS. GREEN: All right. Next, we'll move on

22 to Subcommittee 2. So I'll go ahead and turn it

23 over to Ms. Casey Gallimore, and would you like

24 control of the screen again, Ms. Gallimore?

25 MS. GALLIMORE: Yeah. I think that'd be

Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 best so people can see the recommendations.

2 MS. GREEN: Okay.

3 MS. GALLIMORE: Okay. I'll welcome  
4 questions and comments on, first we'll start off  
5 with Recommendation 1 on our draft recommendations  
6 to strengthen the current system.

7 MR. GREMILLION: All right. So this is  
8 Thomas Gremillion, Consumer Federation of America.  
9 I had a question about the testing recommendation,  
10 the recommendations of continue not testing the  
11 boxed beef.

12 And my question is for some of these boxed  
13 beef products for the primal, they are intended for  
14 non-intact use. Is FSIS testing those products?  
15 And is that an effective testing program? And so is  
16 that stopped?

17 MS. GALLIMORE: Robert Witte, are you on?  
18 I think you might be able to be the best, you might  
19 be the best person to answer that question.

20 MR. WITTE: Yeah. Can you hear me?

21 MS. GALLIMORE: Yes, we can hear you.

22 MR. WITTE: All right. Yeah. Thanks for  
23 that question. Yeah. So we do have testing  
24 programs at a variety of different, I guess,  
25 targeted different products or, you know, different

1 things being intended for non-intact use.

2           Whether that be beef manufactured  
3 trimmings, whether that be other raw ground beef  
4 components. You know, just different beef materials  
5 that are intended for non-intact use.

6           So yeah, we do, we do sample those at  
7 federal establishments. And we do think that is  
8 pretty effective.

9           MS. EDELSTEIN: But Robert, this is Rachel,  
10 I mean I think the specific question is, if you're  
11 not trimming, but primal, whole cuts, if those are  
12 not in, and I mean we sample those a little  
13 differently than we would sample trimmings.

14           But they would still be subject to FSIS  
15 sampling, right? Can you explain that?

16           MR. WITTE: Yeah. Correct. Our guidance  
17 is that it's meat of any size. So just the physical  
18 dimensions of it, doesn't change the eligibility for  
19 sampling.

20           We just, we just employ different sampling  
21 techniques based on what it is. And so trimmings  
22 are samples in one way in terms of lotting and how  
23 we collect those in different lot sizes and things  
24 like that.

25           But if a primal is intended for non-intact

1 use, yes, it would be eligible for sampling. Does  
2 that answer your question, Thomas?

3 MR. GREMILLION: Well yeah, I got you. I  
4 guess I'm just trying to get a sense of, I mean it  
5 seems like, if a primal was designated for non-  
6 intact use, the establishment would just wait until  
7 it was ground up and then take the samples from.

8 But maybe I'm misunderstanding kind of the  
9 nature of the sampling. But it seems like you'd  
10 have to a lot more samples in a lot more of these  
11 big types of meat to get a, you know, statistically  
12 valid result.

13 And I'm just trying to get a sense of like,  
14 you know, we've got two identical products. One of  
15 them is designated for intact, so it's non-intact  
16 use, and the other one so is not.

17 And I'm, how much of these products under  
18 consideration are really being sampled? And how  
19 frequently are they, are they being actually tested?  
20 I guess it would be a swab sample on these, on these  
21 primal --

22 MR. WITTE: So I mean we would, we would  
23 use the same N60 method that we use for trim that we  
24 would, that we would use on primals. And so that's  
25 in terms of the method we would use.

1           The heart of the charges here is, is an  
2 establishment identifies these certain products to  
3 be intended for intact use. And so those are not  
4 products eligible for FSIS sampling.

5           And the concern here was they go out into  
6 commerce and be used for non-intact purposes. And  
7 so that's kind of where this charge came from is how  
8 do we, how do we look at that system, and what  
9 actions do we take.

10           But we do have sampling methods if a  
11 determination came back of make them eligible for  
12 sampling or samples them, we do have existing  
13 sampling methods for primals because there's already  
14 establishments that use primals for non-intact use.

15           So it's more of an understanding of how  
16 these things are identified at one establishment and  
17 then, you know, looking forward what ultimately do  
18 they get used for. Does that make it muddier for  
19 you?

20           MS. GALLIMORE: Now Thomas, this is  
21 Casey --

22           MR. GREMILLION: Sure.

23           MS. GALLIMORE: Thomas, this is Casey  
24 Gallimore. I'm, I'm going to try and provide a  
25 little more explanation that may be helpful. So the

1 root problem with this, with this charge, at least  
2 as the subcommittee understands it, is really when  
3 it comes down to the retail establishment.

4           So if a slaughter establishment is making  
5 boxed primal that are intended for intact use and  
6 those go to a processing establishment that's also  
7 federally inspected and they use them incorrectly  
8 and they use them for non-intact products, FSIS is  
9 there inspecting that establishment on a daily  
10 basis.

11           And will be able to verify that they're  
12 accounting for STEC in the HACCP plans because they  
13 have to have a HACCP plan. So those really aren't  
14 our problem children.

15           And those establishments, those FSIS  
16 inspected establishments that may be utilizing  
17 primal for non-intact product, prudent  
18 establishments are going to communicate that back to  
19 their supplier so their supplier can know that's  
20 what they're being used for and plan accordingly.

21           But even if they're not communicating  
22 appropriately to their suppliers that, that's what  
23 they're doing, they're responsible for having that  
24 HACCP plan and FSIS has sampling programs for their  
25 non-intact products that they're producing.

1           So take that down another level to the  
2 retailer. FSIS has a sampling program for ground  
3 products. That's primarily the issue we're having  
4 is with retailers using these products that are  
5 supposed to be used intact for grinding at retail  
6 establishments.

7           FSIS has a sampling program for testing  
8 those grinds, but it's just, there's, I don't  
9 remember what the number was from their presentation  
10 yesterday.

11           But there is just so many retailers.  
12 They're not in those establishments every day.  
13 They're not required to have HACCP plans. FSIS just  
14 doesn't have as much control as, would be, as they  
15 do for their processing establishments.

16           So does that help kind of --

17           MR. GREMILLION: Yes. So that, yeah, that  
18 helps the, yeah. It helps me understand the problem  
19 a little better. I guess coming back to the  
20 testing, that would seem in multi favor of testing  
21 the intact cut that might be ground.

22           But my understanding there is like this  
23 would be some huge expansion of FSIS testing, if  
24 that was, I mean would that be opposition to  
25 starting the testing of that cuts that might be

1 ground up by a retailer?

2 MS. GALLIMORE: That's certainly part of  
3 the opposition, Thomas. But there, it was, there  
4 was multiple things discussed by the subcommittee on  
5 why sampling just doesn't seem like the best option.

6 The sheer multitude of primals and, you  
7 know, not, we're not, we don't even understand what  
8 percentage of those primals really are getting used  
9 as for non-intact products later, throughout the  
10 supply chain.

11 And we don't know the best was to target  
12 which ones might be or might not be. So there's  
13 just a lot of ambiguity around that. And you're  
14 completely right.

15 It would be, you know, if you're trying to  
16 account for all that could maybe at some point be  
17 used for non-intact use, it would have to be a huge  
18 sampling project.

19 And we talked about how sampling doesn't  
20 get rid of STEC. Controls do. So focusing on  
21 making sure controls are in place and that retailers  
22 understand that controls need to be in place seemed  
23 more effective.

24 Also what we, what the Agency found through  
25 carcass sampling, we predict might be a similar

1 problem with primal and sub-primal products. You  
2 just don't find STEC when you do carcass sampling.

3           And you're, we believe it's, you're  
4 similarly unlikely to find STEC on primal and sub-  
5 primal products. So it just doesn't seem to be the  
6 best use of agency resources.

7           MR. GREMILLION: That, yeah, that I  
8 understand that. Can I -- one other question, and  
9 this was brought up yesterday, I think. For the  
10 primals that are designated for non-intact use  
11 versus the ones that are pulled as is.

12           Or, you know, without that designation, are  
13 the establishments adopting some additional  
14 mitigation to, you know, they, are they applying  
15 more antimicrobials or doing something to kind of  
16 lower the contamination risk.

17           Or is this, all of this stuff kind, if that  
18 gets decided better on what the well treated equal  
19 area?

20           MS. GALLIMORE: I think that depends on the  
21 establishment. We talked about that in the  
22 subcommittee and we had some packers and processors  
23 that, you know, kind of talked about their  
24 individual programs. But there is a process  
25 establishment.

1           MR. GREMILLION: So some establishments do  
2 take some extra steps with something that's  
3 designated for non-intact use?

4           MS. GALLIMORE: I would couch it more as  
5 some establishments who know that they're supplying  
6 products that are going to be used for non-intact  
7 use may take different approach on those products.

8           But some establishments just take that  
9 quote/unquote, "different approach" on all of their  
10 products even if they're intended for intact use as  
11 a precautionary measure, if that makes sense.

12          MR. GREMILLION: Okay. Okay.

13          MS. GALLIMORE: But again, like the main  
14 problem with the charge is really not the products  
15 that are intended for non-intact use. Those have  
16 not been identified as a high-risk issue.

17          MR. GREMILLION: That's fair. Okay. All  
18 right. Thanks. This is helpful.

19          DR. EBERLY: Hi. This is Dr. Eberly in  
20 Maine. I had a question for the committee. How  
21 does committee to reconcile the idea that FSIS, as  
22 stated publicly in Robert's presentation, has  
23 determined that a product intended for intact use  
24 but not maybe physically labeled as such, except on  
25 the website, is in fact being used in commerce

1 without any extra establishment or FSIS testing?

2           The knowledge, I guess, you know, it's  
3 clear to FSIS because they stated it publicly that  
4 this product is being used. The product that is  
5 designated as intact is being used for non-intact  
6 purposes.

7           Does not FSIS have some responsibility, and  
8 perhaps the large establishments also have some  
9 responsibility, to address this hazard?

10           MS. GALLIMORE: Yeah. Thank you for that  
11 question and I welcome input from other folks on the  
12 subcommittee as well. We talked about this a lot.  
13 And part of the complication on this charge is  
14 really the supply chain.

15           So it being the producing establishment of  
16 the boxed primal and sub-primal product, typically  
17 is able to have really good communication with their  
18 direct customer.

19           But when you get all the way down to a  
20 smaller, or even not necessarily a smaller but  
21 definitely smaller retail establishment, you could  
22 have stopped through three to four different  
23 quote/unquote owners of the product by the time you  
24 get down there.

25           So unfortunately the supply chain is just

Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 not as direct as folks might think. So that's a  
2 huge problem.

3 U/F: Well, if I could just interrupt for a  
4 second. I feel like the point you just made  
5 actually illustrates what I was trying to say is if  
6 the retailer is three or four steps down the supply  
7 chain, then should it have been addressed back at  
8 the original establishment in the form of a testing  
9 program on their part, or a testing program on FSIS'  
10 part since they know who knows where this box is  
11 going to end up ultimately?

12 MS. GALLIMORE: Well and then that goes  
13 also back to the other point that we talked about,  
14 that testing does not get rid of STEC. And, you  
15 know, the sheer volume of products that are getting  
16 put out, we don't know how much of, you know, what  
17 percentage of boxed primal and sub-primal products  
18 are being used for non-intact products when that's  
19 not their intended use.

20 So we just, we don't have enough  
21 information to know that, that would even be  
22 effective. And it would be a very large ask for  
23 establishments without understanding that it would  
24 even be effective.

25 U/F: I guess the concern I have with your

Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 recommendation, or the recommendations about further  
2 education of retailers is retailers represent a  
3 very, very large group of people, very diverse, some  
4 of which may or may not be looking at the website.

5 As opposed to education at the industry  
6 level, which will be a much smaller group and a much  
7 better-informed group of the risks.

8 MS. PERRY: This is Denise Perry. I'm  
9 going to jump in for a second. I think it's  
10 important to redirect what problem we're addressing  
11 here. We're not addressing, is there STEC on the  
12 primals or not. What we're addressing is that  
13 primals labeled as intended for intact, are not  
14 being utilized as intended. And how do we fix that?  
15 And testing doesn't fix that.

16 We're already addressing the risk of STEC  
17 in the processing facilities. So let's focus on the  
18 problem and not keep going back to the STEC, which  
19 we all know is a risk.

20 No matter how much we treat, how much we  
21 test, the reality is there will always be a risk,  
22 unfortunately. We all wish we could live in a  
23 sterile environment.

24 So we have to focus on the problem that's  
25 being charged with, which is how do we improve

1 primals being used as intended and communicated by  
2 the processor, which is enhanced communication and  
3 that's how we, and that's why we went that route.

4           And we also talked about people's  
5 responsibilities. So in the presentation yesterday,  
6 it was noted while butchers, you know, they might  
7 not know at the retail level.

8           Well I don't get that excuse at my federal  
9 inspected plant level. I don't get to make excuses  
10 of, I didn't know. I can't claim ignorance. And so  
11 retailers have to start understanding their  
12 responsibility in the supply chain and to keep  
13 consumers safe just as consumers need to.

14           MS. S. WILLIAMS: This is Sherri Williams.  
15 And I second everything that Casey and Denise have  
16 said. And in part to try to help answer the  
17 question, I guess I give the example, like I did  
18 yesterday in the committee with regard to when a  
19 company has a recall, FSIS performs recall  
20 effectiveness checks.

21           And those are where FSIS contacts all of  
22 your first level customers and supply, and asks  
23 them, one, did the company recalling the product get  
24 in touch with you and tell you what was going on,  
25 and tell you what to do with the product?

Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1           And then that answer is either a yes or a  
2 no. So I will tell you from personal experience  
3 that we did do our due diligence for every single  
4 customer.

5           But I will tell you when the recall  
6 effectiveness checks came out, there were a couple  
7 layers after our primary customers, and even  
8 including a couple of our primary customers that  
9 were notified, that were told what do with it, and  
10 chose not to.

11           So in that essence, we believe, I mean I  
12 truly believe, I mean I truly believe that we don't  
13 know if the retailers know the difference and chose  
14 to ignore it, or they just aren't educated on it and  
15 that needs to happen.

16           So I think with that unknown, that's kind  
17 of how we processed our recommendations in going  
18 through that, in addition to testing more doesn't  
19 always solve the problem.

20           MS. GALLIMORE: Thank you Denise and  
21 Sherri. Does that help answer your question? Do  
22 you have any more questions or comments on --

23           U/F: I have a follow up question.

24           MS. GALLIMORE: Okay. Thank you. So any  
25 other comments or questions on Recommendation 1

1 before we move on to Recommendation 2?

2           Okay. So the second and probably the main  
3 crux of our recommendations overall would be this  
4 outreach and education effort, again, you know,  
5 utilizing the learnings and successes from the LM  
6 project.

7           So I welcome any questions on this very  
8 large, interpreted recommendation or any comments or  
9 suggestions on how to improve it. Hearing none, I  
10 will move down.

11           This is just our potential list to provide  
12 to, excuse me, FSIS on who you, we suggest inviting  
13 to some kind of information gathering, a roundtable.  
14 Are there any additional groups you would add to  
15 this list?

16           MS. RICE: Casey, this is Kim Rice. Are  
17 there any independent retailer associations that  
18 represent the little guys?

19           MS. GALLIMORE: Yeah. That's a really good  
20 question. I was talking to some retailers yesterday  
21 about that because I just don't know those groups  
22 very well myself. My understanding is that a lot of  
23 those smaller associations and groups kind of  
24 coordinate through SMI.

25           MS. RICE: Okay.

Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 MS. GALLIMORE: But we can certainly, you  
2 know, like try and find more, you know, what  
3 actually comes down to putting the roundtable  
4 together.

5 MS. RICE: And then the other one is,  
6 should you invite the distributor group? The --  
7 well I always get the initials wrong --

8 MS. GALLIMORE: Well that's a good idea.

9 MS. RICE: It's IDFA -- no, IDAF. Shoot.  
10 I don't remember. Let me look it up.

11 MS. GALLIMORE: We'll look it up and make  
12 sure.

13 MS. RICE: Okay. So they're in Tyson's  
14 Corner.

15 MS. GALLIMORE: Thank you for that, Kim.  
16 Any other recommendations on social invites?

17 MS. SORSCHER: This is Sarah Sorscher of  
18 CSPI. More directly on this portion of the  
19 recommendation around outreach to retailers. You  
20 know, throughout this process it hasn't really sat  
21 well with me, this idea of, you know, asking the,  
22 especially smaller retailers to implement HACCP  
23 controls on par with what's done in the plant.

24 Because I think there's issues around  
25 economies of scale and trying to, you know, I think

1 some of the interventions require investment in new  
2 equipment that might not be feasible to put out in  
3 all those many, many grocery stores.

4 And so and the fact that we don't have  
5 recent retailer voices on the committee to really  
6 understand this process and can speak to it in  
7 detail has been troubling.

8 And I'm just wondering, do we have, do we  
9 have any sense whether this is going to work?  
10 Whether there actually are controls that retailers  
11 can use to help address this problem?

12 Or are we asking them to do the impossible  
13 with this education effort?

14 MS. GALLIMORE: That's a really good point.  
15 Sarah, I don't think you were able to join on the  
16 subcommittee call this morning. Correct?

17 MS. SORSCHER: I was, I kind of came in  
18 late because I had some technical issues.

19 MS. GALLIMORE: Okay.

20 MS. SORSCHER: So yeah.

21 MS. GALLIMORE: Okay. So we talked a  
22 little bit about that this morning. I was able to  
23 talk to two of our retailer members last night and  
24 just kind of get their thoughts on the general  
25 charge on these specific recommendations or anything

1 like that.

2           And how they're handling this situation in  
3 general and the issue at large. And there are some  
4 creative things already being done by some  
5 retailers.

6           And I think one of the misconceptions is  
7 that the only option that you have is to apply an  
8 intervention. That is an option. And it's one that  
9 has worked well.

10           But there are other options such as, you  
11 know, specifically buying products that are intended  
12 for non-intact use. Or if you create trim, don't  
13 use it for raw use.

14           Use it for cooked products that you're,  
15 that you might offer at that retail establishment.  
16 So there, I think what we would hopefully see done  
17 is that, you know, the list of possible controls  
18 would not just be a list of interventions.

19           That would be one of many different options  
20 out there that would be more achievable for smaller  
21 retailers like you're, like you're referring to.

22           MS. SORSCHER: And are we confident that,  
23 are we confident that there are no controls that  
24 could be implemented at the plant to help ensure  
25 that the boxed beef going out has lower

1 contamination levels, you know, knowing that it may  
2 be intended for non-intact use?

3           You know, the type of things that you might  
4 do if you were grinding in the plant to, you know,  
5 offer preliminary controls on those products before  
6 you start the process of breaking them down?

7           MS. GALLIMORE: So some of the, some of the  
8 controls that you're speaking of, or you're  
9 applying, you know, directly related to the grinding  
10 process. So for some of those, no. Because, you  
11 know, it's further done, you know, with the grinding  
12 process.

13           MS. SORSCHER: During the grinding. Yes.

14           MS. GALLIMORE: Yeah. So some of those,  
15 no. I mean, yes, there are some controls that can  
16 be done on boxed primals. But again, we're asking  
17 for a solution, I believe at the wrong step.

18           Especially because one of, one of the  
19 potentials from a retailer could be to communicate  
20 to their supplier that, that's what they're  
21 intending to use the product for.

22           And then that's between them and the  
23 supplier, they can work out, you know, what products  
24 are appropriate.

25           MS. SORSCHER: Yeah. I guess part of my

Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 concern is that we know that there's just such a  
2 huge percentage of the, you know, grinding rate  
3 that's happening at the retailer right now that's  
4 done using these products.

5           And I'm just wondering how to shift that  
6 practice, you know. Whether it's feasible to shift  
7 that practice effectively or whether the response  
8 should be that establishments assume that some of  
9 the product could go to grinding in the event they  
10 treat it accordingly before it leaves the  
11 establishment.

12           And testing would promote that type of  
13 control, right, if they would, if FSIS were testing  
14 in the establishment, it would promote those  
15 controls versus retailer education, which really  
16 just focuses on pressures the retailer can apply.

17           MS. GALLIMORE: Yeah. Well and again,  
18 testing does not guarantee that there's not STEC  
19 there, and it does not, it does not get rid of it as  
20 it is.

21           MS. PERRY: And just so -- this is Denise.

22           MS. GALLIMORE: Hi.

23           MS. PERRY: Testing is not a, testing is  
24 not considered a control. It's a verification of  
25 controls being effective. Just for some

1 clarification.

2 MS. SORSCHER: But no, I'm sorry, I said  
3 testing would promote those types of controls, like  
4 knowing that it would be testing would encourage  
5 establishments to apply those controls to the boxed  
6 beef. So that's what I meant. Not the testing with  
7 the control.

8 MS. SORSCHER: And I was on the  
9 subcommittee and we discussed this. And I, you  
10 know, I don't, I don't know that -- I'm not  
11 confident that we have enough data to recommend that  
12 FSIS, you know, extend testing to all boxed beef  
13 products.

14 Which is why, you know, the recommendations  
15 I think from the subcommittee came out the way they  
16 did. But I think I'm, I'm -- it still doesn't sit  
17 entirely well with me that we're, we're going that  
18 approach.

19 MS. GALLIMORE: Well one thing that I think  
20 has been interesting after the grinding log  
21 requirement came out, there has been a shift in  
22 retailers and what they're doing. They have changed  
23 practices in response to the grinding log rule. You  
24 know, we've got retailers that are, you know, not  
25 mixing together as many lots which is a general good

1 rule of thumb to control, to help control for STEC.

2           They are, you know, some of them just  
3 aren't grinding as much as they used to and are  
4 going away from those practices. Some of them have  
5 already, you know, their burden, their trimmings,  
6 their bench trimmings to other avenues.

7           So I think, I think the controls will come  
8 secondary to the education. If the education gets  
9 out there, then the appropriate controls can follow.  
10 Whether that is a control at the retailer or a  
11 request from the retailer to have controls grow  
12 further at the supply chain.

13           And I can tell you in most supply chains,  
14 but I find it very, very accurate in the meat and  
15 poultry supply chain, the packers and processors  
16 will do what their retailers ask them to do.

17           So if that message, you know, gets pushed,  
18 you know, hey, this is what I want to do with my  
19 product at the retail establishment, the packers and  
20 processors will be driven by the market to provide  
21 what's being asked for.

22           But the, at some point in time, you know,  
23 the establishment cannot still be the person that's  
24 responsible for what happens to the product all the  
25 way down the chain, when it's been communicated

1 that, that's not the intent.

2 At some point the torch must be passed is  
3 kind of what we've talked about through the  
4 subcommittee.

5 MS. SORSCHER: And well, I mean we also had  
6 a lot of conversation about how sometimes it is  
7 really, truly impossible to know what will happen to  
8 that product.

9 So I guess my question is, is there some  
10 way that we can encourage establishments to just  
11 assume that some of this, and just the way we're  
12 kind of encouraging retailers to assume that all the  
13 boxed meat is contaminated, is there a way to  
14 encourage establishments to assume that all boxed  
15 beef potentially could be ground at some point?

16 And to apply controls to it as if the  
17 customer had asked for it. Right? Because we know  
18 that not all customers are going to specifically  
19 contract for that. Not all the end recipients are  
20 going to have a relationship with the this, so they  
21 can't.

22 MS. S. WILLIAMS: So this is Sherri  
23 Williams. And I guess I want to go back to what was  
24 said a little earlier, that not all, not all packers  
25 treat things the same.

1           But I mean I honestly don't know that  
2 because I'm just with one. But I can't tell you  
3 that the same controls are applied regardless of  
4 that.

5           The thing that we would do different is if  
6 somebody comes to us and says, hey, we want to grind  
7 meat. Hey, we want to tenderize this. We're like,  
8 okay, well then, we're going to have to create a  
9 tested code for you. And we're going to have to  
10 test it before we send it to you.

11           So then in that essence, we are not  
12 designating it as non-intact use, and FSIS has the  
13 ability to do a test there at the facility they so  
14 choose if that comes up in their testing  
15 requirements. And that's how that would be handled.

16           Now so going to the --

17           MR. GREMILLION: Sorry, could I ask -- this  
18 is Thomas at Consumer Federal of America. I just  
19 want to make sure I understand what you're saying  
20 there.

21           MS. S. WILLIAMS: Yes.

22           MR. GREMILLION: Okay. So the only  
23 difference would be that you classify, you  
24 communicate to FSIS, this is going for non-intact  
25 use. And then FSIS may collect samples and test

1 them before it goes to your customer. Is that, is  
2 that right?

3 MS. S. WILLIAMS: I said, the option that  
4 we would be doing is that we would do a test  
5 ourselves, just like we test --

6 MR. GREMILLION: Okay.

7 MS. S. WILLIAMS: -- all of our trim  
8 compost that are going to the raw commutative grind  
9 use. Everything receives a test.

10 MR. GREMILLION: Okay.

11 MS. S. WILLIAMS: So if could agree, that  
12 is non-intact use for a raw commutative grind. So  
13 that is why we do that. So those are the kind of  
14 things that --

15 MR. GREMILLION: Okay. Thank you --

16 MS. S. WILLIAMS: -- out there.

17 MR. GREMILLION: So you would, there would,  
18 there would be another control intervention, but you  
19 wouldn't test it?

20 MS. S. WILLIAMS: We have a very strong  
21 intervention system at this point --

22 MR. GREMILLION: Yeah.

23 MS. S. WILLIAMS: -- we apply across the  
24 board. So --

25 MR. GREMILLION: Could I ask one other

1 follow up questions? How, what percentage of  
2 samples would you say test positive for as STEC from  
3 those products?

4 MS. S. WILLIAMS: I guess --

5 (Simultaneous speaking.)

6 MS. S. WILLIAMS: -- go to FSIS' results  
7 for that. So Robert, do have any of that  
8 information?

9 MR. WITTE: I mean if we look generally  
10 across all of our sampling projects, the positive  
11 rate is about a half a percent. But if you're,  
12 Thomas, you're asking specifically for primals.

13 You know, of a beef products in questions,  
14 we don't sample those. And so, you know, if they're  
15 intended for intact use. The second they're  
16 intended for non-intact use they fall under our  
17 normal sampling project that we already have  
18 existing.

19 It's just one more eligible product in that  
20 plant they're sampled. For primals, I'd have to  
21 go --

22 MR. GREMILLION: For that, yeah, I think,  
23 yeah. Yeah.

24 MR. WITTE: I'd have to go through the data  
25 and correlate which one is trim, which one is a

1 component, which one is, you know, each, you know,  
2 it all falls under the same project.

3           It depends on what that inspector puts for,  
4 you know, what product was sampled that day at that  
5 time. So I'd have to go through that. We don't  
6 differentiate that on any sort of data analysis  
7 perspective. For us, it's simply product intended  
8 for non-intact use.

9           Sorry, go ahead.

10           U/F: It seems like there's, it seems like  
11 there's really two questions here. And one is how  
12 do we make sure that FSIS is testing the appropriate  
13 product so that it can have an understanding of how  
14 contaminated the supply is for the non-intact use.

15           And then other is, how do we design a  
16 testing program that encourages the right people to  
17 take the right controls at the right stages. And,  
18 you know, on that latter point.

19           And I'm still a little bit fuzzy about  
20 whether the plants should be doing anything extra to  
21 boxed beef, primal and sub-primal that are going  
22 out, you know, if they know that they're intended  
23 for non-intact use, versus if they're intended for  
24 intact use.

25           And I've heard some kind of conflicting

Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 statements from different people on that. And  
2 what's the, what's the final word? I mean, are we,  
3 are we applying any --

4 (Simultaneous speaking.)

5 U/F: Well I think, I think the better  
6 question is your, so kind of what's, what's being  
7 suggested is that establishments should treat all of  
8 their products like they're going to be used for  
9 non-intact purposes.

10 But that seems like an inappropriate ask  
11 where we don't even have an understanding of what  
12 percentage of the products that they're producing  
13 are being used for non-intact use.

14 And again, you're, you're putting, that's  
15 putting the responsibility back on the people who  
16 either are producing the product and taking it  
17 completely off of the people who are using the  
18 product inappropriately.

19 U/F: Can we make a recommendation that  
20 FSAS try to figure that out. I mean they know from  
21 asking retailers what percentage of ground products  
22 are either originally intended for non-intact use or  
23 they don't know what their intent was.

24 But they don't know, you know, on the  
25 other, the other question is for establishments

1 putting out boxed beef and primal, you know, what  
2 percent actually end up being ground.

3           And maybe it's impossible to discern that.  
4 But I think it's worth, if it can be known, then  
5 that would really help answer this question of, you  
6 know, what the establishments ought to be doing.

7           Because if it's, if it's a very small  
8 percent then -- go ahead.

9           MS. PERRY: This is Denise.

10          U/M: That, that --

11          MS. PERRY: I want to just piggyback onto  
12 Sherri's clarification because I think, I mean from  
13 listening, I'm not sure if it's been, we, you know,  
14 and I can't speak for all of industry, like, just  
15 like Sherri mentioned.

16           But we don't not treat portions of the  
17 carcass in our multiple hurdle effects within the  
18 facilities for intervention from slaughter through  
19 all the way to pass.

20           So all of these primals have been treated  
21 in some cases, depending on the establishment,  
22 multiple times via sanitary dressing protocols, hot  
23 water, hot water treatment, acid treatment.

24           So the, we're not like sorting out, oh,  
25 these are for intact use, so we're not going to

1 treat these. That's, I think we need to clarify  
2 that as processors.

3 We're treating the whole carcass as that.  
4 Now there's different stages of trimming and  
5 whatnot. So that isn't being ignored for these  
6 products.

7 To Sherri's point, the difference lies when  
8 we know that it's going for non-intact, we  
9 understand that it's about to change form into a  
10 more risky form of consumer properly preparing.

11 Therefore we're going to do additional  
12 verification that, that product is as safe as we  
13 have planned for it to be, via our, all of our  
14 controls.

15 In our HACCP plans, verification of those,  
16 validation of those, observations of those, not only  
17 from USDA looking at it in our facility, but also  
18 our own quality programs that Sherri alluded to.

19 So we are doing rigorous testing. None of  
20 us want to kill anybody. None of us want to send it  
21 out no matter if it's on primal or on ground. And  
22 so that all is, I can, you guys are all welcome to  
23 come to Lorentz Meats.

24 And look at our program and our process to  
25 show that we're not taking this flippantly and nor

1 are any of the processors I've ever talked to  
2 throughout the industry.

3 Are there bad, are there ones that might  
4 not be? Absolutely and that's where that retail  
5 grind program has been essential. We have retrained  
6 grind facilities now that understand the intact,  
7 non-intact very thoroughly.

8 And what they do is they have us send them  
9 a coarse ground product because they know we've  
10 tested it through our N60-plus rigorous program. We  
11 test for all STECs in ours.

12 That's not what all industry standard does.  
13 We control for all STEC. We test for all STECs in  
14 ours and then we have some customers that want to  
15 test every 15 minutes of ground product for STEC.

16 So we are doing, that's why I get a little  
17 bit passionate and grumpy about, you know, take,  
18 everyone take, doing their part to take  
19 responsibility and not claim ignorance just so  
20 somebody else has to continue to add STEC for  
21 something where we all have to understand the risk.

22 And if we continue to just throw it back  
23 down to the processors, we're not going to be able  
24 to have that educate at the retail level. Like you  
25 said, like the retailers we have, they understand

1 it.

2           And therefore they work with us to control  
3 it at our facility instead of just taking the intact  
4 stuff and using it in a way that's going to increase  
5 the risk of infection or else having a STEC in  
6 there.

7           U/F: Well said, Denise.

8           U/M: Yes. Absolutely well said.

9           U/M: So --

10           U/M: And this, and part of the information  
11 gathering was intended to come out in the survey of  
12 those to determine what is actually being done.

13           U/F: So that's why I was going to maybe  
14 look at is should we expand our recommendation 221  
15 so when we're asking retailers whether they are  
16 grinding primals and sub-primals intended for intact  
17 use, we could suggest trying to get, I think it will  
18 be very difficult and practically impossible.

19           But we could try and capture some volume or  
20 percentages, get some idea on the amount of  
21 products.

22           U/M: Sure.

23           MR. GREMILLION: Yeah, I would -- this is  
24 Thomas at CFA. I would be interested in, you know,  
25 how often, yeah, both the percentage of these

1 products that are, that are being diverted, you  
2 know, not stated as being intended for non-intact  
3 use but are being ground up in the end.

4           And also, you know, what percentage are  
5 testing positive. And maybe, you know, at FSIS or  
6 exploratory program, exploratory testing program  
7 could help to assess that.

8           It sounds, if I, if I hear, if I'm hearing  
9 correctly from the processors that have spoken,  
10 there's not an extra, you know, step to kill  
11 bacteria, but there is an extra testing step. You  
12 know, you're testing these products before they're  
13 sent off to be ground.

14           And I mean that is, that effectively, that  
15 validation step is one more way of lowering the risk  
16 because, you know, if you find it tests positive and  
17 then you divert that, that product.

18           So it doesn't seem as crazy to expand the  
19 testing, but I understand, you know, not everything  
20 can be addressed with more testing and there's  
21 finite resources, et cetera.

22           So yeah, I guess, that, did you consider in  
23 your subcommittee, you know, kind of an exploratory  
24 testing program? Is that anything that came up?

25           MS. GALLIMORE: We talked about just the  
Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 viability of testing in general. And just, you  
2 know, for those that aren't as close to the issue as  
3 those of us that work in industry, I can just, I can  
4 just give you this kind of thought to take into  
5 account.

6 I can test, you know, a carcass, and then I  
7 can take that entire carcass and I can break it into  
8 primal. And I can test the primal and then I can  
9 test, and I can take all those primals and I can  
10 turn them into trim and test the trim.

11 And I can take all that trim and I can, and  
12 I can turn it into grind, and I can test the grind.  
13 I am far more likely to find the STEC if it's there  
14 in the grind.

15 And then as I get closer and closer to the  
16 carcass, I am less likely to find the STEC. That is  
17 why if you look at FSIS's sampling programs, they  
18 are very heavy on the ground product and the  
19 products that are directly going into the grind  
20 because that is where you're most likely to find the  
21 STEC if it's there.

22 So the further, the further, the bigger the  
23 products get and the closer we get to a carcass, the  
24 less likely we are to find it and therefore, you  
25 know, we talk about it just doesn't seem like a

1 viable, like a, like a prudent use of agency  
2 resources.

3           And again I go back to the, you know, there  
4 are multiple testing programs that the Agency  
5 initiated on carcasses, and throughout the years  
6 they are, they have gone away from those because  
7 carcass testing just, you don't find it.

8           So, you know, the closer you get to the  
9 consumer, the more valuable that test result is and  
10 the more likely you are to find what you're looking  
11 for.

12           So I mean we, the Agency could do an  
13 exploratory sampling and I don't, I just, I  
14 personally and I think some of the other folks on  
15 the subcommittee expressed this.

16           We just don't think that's a good use of  
17 agency resources. And honestly what might be a  
18 better recommendation to start out with, to maybe  
19 even inform whether it makes sense to do an  
20 exploratory survey would be to break down that data  
21 that Robert was talking about.

22           Then try and, try and dig out of that  
23 sampling project what the percentages are on primals  
24 and sub-primals that we know are going for non-  
25 intact use and see what that looks like.

1           And determine whether or not it makes any  
2 sense for trying to do something else. But I  
3 just --

4           U/M: And that, I would agree. You know,  
5 I'd certainly spell that --

6           MS. GALLIMORE: We've got data it's already  
7 there.

8           MR. GREMILLION: Yeah. Sure. Yeah, so  
9 maybe that would be an easier lift that it could be  
10 include in the recommendation. So this is Thomas.

11          MS. GALLIMORE: So I welcome any thoughts  
12 on whether to include, you know, whether to include  
13 as part of our recommendations that FSIS look into  
14 the data that already exists through whatever that  
15 sampling project code is and heed --

16          MR. WITTE: Well that, and Casey, I want, I  
17 want to be clear. So we sample products intend for  
18 non-intact use. So if we just say, are we, are we  
19 saying primals as a, as a product item?

20               Or are we saying primals intended for non-  
21 intact use or primals intended for intact use?  
22 Because the reason I say that --

23          MS. GALLIMORE: So my --

24          MR. WITTE: -- is we don't sample them  
25 later.

1 MS. GALLIMORE: Yeah, yeah, yeah. No. My  
2 thought, Robert, is I think the way the  
3 information's collected for that sampling project  
4 is, you guys might be able to dig down -- and I'm  
5 just talking about the sampling project for other  
6 components used for non-intact -- so dig down into  
7 that project and see if you can sort out samples  
8 that were taken on primals and sub-primals that are  
9 intended for non-intact use.

10 MR. WITTE: Okay.

11 MR. B. WILLIAMS: Hey, this is Sherri. You  
12 might have someone to look at your follow up samples  
13 because sometimes your follow up samples piggyback  
14 to a whole muscle product.

15 MR. WITTE: That's a great point. Thank  
16 you.

17 MS. GALLIMORE: Yeah, I like that point,  
18 too.

19 MR. B. WILLIAMS: They're both on  
20 knowledge.

21 MS. GALLIMORE: Are there any concerns with  
22 including this recommendation to look at current  
23 agency sampling data? Does that accurately sum up  
24 what we discussed on 1.3?

25 MR. GREMILLION: I like that, yeah. This

1 is Thomas.

2 MS. GALLIMORE: So any concerns with that  
3 added recommendation and how it's worded? Okay.  
4 Hearing none, I will get back down to where we were  
5 at. And then our final recommendation on suggesting  
6 that FSIS discuss the potential on changes to the  
7 food code regarding STEC in this products.

8 Any concerns, comments or questions on this  
9 third recommendation? And then while we were  
10 deliberating some other points, did anyone happen to  
11 get the official name of the distributor group?

12 U/F: Will participants put it in the  
13 comments?

14 (Simultaneous speaking.)

15 MS. GREEN: Yes.

16 MS. GALLIMORE: Oh, I didn't see the  
17 comments when I'm sharing my screen. I'm sorry.

18 U/F: Oh yeah. No --

19 MS. GREEN: It's not from the Foodservice  
20 Distributors Association?

21 MS. GALLIMORE: Is that international?

22 MS. GREEN: Foodservice Distributors  
23 Association. Thank you, Mr. Stephens, for that.

24 MS. GALLIMORE: Is foodservice one word? I  
25 always want to break it apart, but I think it's one

1 word.

2 MS. GREEN: Yes.

3 U/F: One word.

4 MS. GALLIMORE: So Kim, you had all the  
5 right letters, just maybe not in the right order. I  
6 don't know.

7 MS. RICE: Yeah.

8 U/F: Accept the losses then.

9 MS. GALLIMORE: Okay. So I will open it up  
10 one last time for any comments, questions or  
11 concerns on any of the three recommendations.

12 (Pause.)

13 MS. GALLIMORE: Hearing none, I will  
14 request a motion to vote to approve these  
15 recommendations.

16 MR. B. WILLIAMS: So moved.

17 MS. GALLIMORE: Sorry, who moved?

18 MR. B. WILLIAMS: Byron Williams.

19 MS. GALLIMORE: Byron Williams. Is there a  
20 second?

21 MS. CONKLIN: Tina Conklin --

22 MR. B. WILLIAMS: Sherri Williams, I  
23 second.

24 MS. GALLIMORE: Go ahead Tina. Tina  
25 Conklin was first. She'll be the official second.

1 And then Val, would you mind going going down  
2 through the list for us?

3 MS. GREEN: Sure. Jennifer Eberly?

4 DR. EBERLY: Nay.

5 MS. GREEN: Tina Rendon?

6 MS. RENDON: I agree.

7 MS. GREEN: Patricia Curtis? Patricia  
8 Curtis?

9 (No response.)

10 MS. GREEN: William Battle?

11 DR. CURTIS: I'm sorry, this is Pat Curtis.  
12 I had technical problems getting off mute. I agree.

13 MS. GREEN: William Battle? Kimberly Rice?  
14 Lynn Knipe?

15 MS. RICE: I approve.

16 MS. GREEN: Okay. Thank you. Lynn Knipe?

17 DR. KNIPE: I agree.

18 MS. GREEN: Amilton De Mello?

19 DR. DE MELLO: I approve.

20 MS. GREEN: Thomas Gremillion?

21 MR. GREMILLION: I'm sorry. I dropped off  
22 the call and -- is this just -- are we voting on  
23 Recommendation 1 or --

24 MS. GREEN: For 2, Subcommittee 2.

25 MR. GREMILLION: Okay, yeah, I approve.

1 MS. GREEN: Greg Gunthorp? Greg Gunthorp?  
2 (No response.)  
3 MS. GREEN: Jimmy Avery?  
4 (No response.)  
5 MS. GREEN: Tina Conklin?  
6 MS. CONKLIN: I approve.  
7 MS. GREEN: Casey Gallimore?  
8 MS. GALLIMORE: I approve.  
9 MS. GREEN: Sherri Williams?  
10 MS. S. WILLIAMS: I approve.  
11 MS. GREEN: James Jenkins?  
12 (No response.)  
13 MS. GREEN: Joseph Harris?  
14 DR. HARRIS: I approve.  
15 MS. GREEN: Bryon Williams?  
16 MR. B. WILLIAMS: I approve.  
17 MS. GREEN: Sarah Sorscher?  
18 MS. SORSCHER: I approve.  
19 MS. GREEN: Denise Perry?  
20 MS. PERRY: I approve.  
21 MS. GREEN: Misha Robyn?  
22 (No response.)  
23 MS. GREEN: All right. That concludes the  
24 vote. Thank you everyone. It's almost noon and so  
25 rather than taking a lunchbreak, we're going to take

1 a 15-minute break and then we'll return for the  
2 closing remarks by the Under Secretary.

3 Before we close out -- or before we go on  
4 break, does anyone have any questions or comments?

5 (Pause.)

6 MS. GREEN: Hearing none, we'll take a  
7 15-minute break, and we'll be back at 12:10. Thank  
8 you.

9 (Off the record at 11:55 a.m.)

10 (On the record at 12:10 p.m.)

11 AT&T EVENT PRODUCER: The lines are now  
12 open. You may continue.

13 MS. GREEN: Thank you, Michele. This is  
14 Val Green. Welcome back, everyone, and thank you  
15 all for taking part in this 2-day event.

16 The presentations will be posted on the  
17 FSIS website at [www.fsis.usda.gov/meeting](http://www.fsis.usda.gov/meeting). The  
18 transcript will be posted within 90 days. The  
19 committees' recommendations will be forwarded to the  
20 Secretary for consideration.

21 And now, I'm going to turn the meeting over  
22 to the Under Secretary for the closing remarks.

23 Dr. Brashears?

24 DR. BRASHEARS: Thank you so much.

25 Good afternoon, everyone. We've

Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 accomplished a lot in just a couple of days, and we  
2 definitely could not have done it without the  
3 participation of all of you.

4 I really want to thank everyone who worked  
5 so hard to ensure that we would have a productive  
6 and informative meeting. In particular, I want to  
7 thank the Agency experts and, of course, the members  
8 of the public, but most of all, I want to thank our  
9 NACMPI committee members for all of your  
10 contributions and your dedication of your time and  
11 your expertise to this meeting. Your insight that  
12 you've given has no doubt informed the committee  
13 and, ultimately, the Secretary in how we can better  
14 achieve our food safety goals.

15 But just remember, our work here is not  
16 done. It doesn't end here. We need to continue to  
17 collaborate on ways we can provide industry with  
18 scientific support, and we want to ensure that we  
19 can better control our ready-to-eat products, and we  
20 also need to continue to explore our best practices  
21 in sampling and testing protocols so we can reduce  
22 STEC positive outbreaks, recalls, and of course  
23 death from foodborne illness.

24 This committee provides guidance on food  
25 safety best practices to better protect public

Free State Reporting, Inc.  
1378 Cape St. Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 health, prevent foodborne illnesses, and to promote  
2 confidence in the U.S. Food Safety Inspection  
3 System, which is already one of the safest and most  
4 reliable in the world.

5 I look forward to our next meeting of  
6 NACMPI, and again, I want to thank everyone for  
7 participating. I hope you all have a wonderful rest  
8 of your day and a great weekend. Thank you again so  
9 much. Bye-bye.

10 AT&T EVENT PRODUCER: Ladies and gentlemen,  
11 thank you for joining today's conference. Your  
12 conference has ended; you may disconnect.

13 (Whereupon, the proceedings in the  
14 above-entitled matter were concluded.)

15

16

17

18

19

20

21

22

23

24

25

26

C E R T I F I C A T E

This certifies that the attached proceeding before the

UNITED STATES DEPARTMENT OF AGRICULTURE

FOOD SAFETY AND INSPECTION SERVICE

IN THE MATTER OF: NACMPI Public Meeting

PLACE: Via WebEx


DATE: September 25, 2020

was held according to the record, and that this is

the original, complete, true and accurate transcript

which has been compared to the recording

accomplished at the hearing.



R. Thomas Bowman

Court Reporter