

UNITED STATES DEPARTMENT OF AGRICULTURE
FOOD SAFETY AND INSPECTION SERVICE

* * * * *
*
In the matter of: *
*
NATIONAL ADVISORY COMMITTEE *
ON MEAT AND POULTRY INSPECTION *
*
*
* * * * *

Via WebEx

Thursday,
September 24, 2020

The above-entitled matter came on for
hearing, pursuant to notice, at 9:30 a.m.

FACILITATOR: DR. MINDY BRASHEARS
VALERIE GREEN
APRIL REGONLINSKI

A P P E A R A N C E S

Facilitator and Presenters:

VALERIE GREEN
Designated Federal Officer
National Advisory Committee on Meat and
Poultry Inspections

MINDY BRASHEARS
Under Secretary for Food Safety, USDA

KRISTINA BARLOW
Senior Microbiologist Science Staff
Office of Public Health Science
Food Safety and Inspection Service

ROSALYN MURPHY-JENKINS
Director, Labeling and Program Delivery Staff
Office of Policy and Program Development
Food Safety and Inspection Service

SALLY JONES
Senior Staff Officer, Labeling and Program
Delivery Staff
Office of Policy and Program Development
Food Safety and Inspection Service

MERYL SILVERMAN
Senior Staff Officer
Risk Management and Innovations Staff
Office of Policy and Program Development
Food Safety and Inspection Service

ROBERT WITTE
Senior Staff Officer
Policy Development Staff
Office of Policy and Program Development
Food Safety and Inspection Service

Committee Members:

THOMAS GREMILLION
Consumer Federation of America

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

JAMES JENKINS
Louisiana Department of Agriculture and Forestry

DR. AMILTON DE MELLO
University of Nevada

TINA RENDON
Pilgrim's Pride Corp

KIMBERLY RICE
Rose Acre Farms

DR. JIMMY L. AVERY
Mississippi State University

WILLIAM H. BATTLE
Pride of the Pond and Battle Fish North

TINA CONKLIN
Michigan State University

DR. PATRICIA ANN CURTIS
North Carolina State University

DR. JENNIFER A. EBERLY
Maine Department of Agriculture

CASEY LYNN GALLIMORE
North American Meat Institute

DR. JOSEPH JAY HARRIS
Southwest Meat Association

DR. BYRON WILLIAMS
Mississippi State University

SHERRI L. WILLIAMS
JBS USA, LLC

GREGORY GUNTHORP
Gunthorp Farms

SARAH SORSCHER
Center for Science in the Public Interest

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

DR. CURTIS LYNN KNIPE
Ohio State University

MISHA ROBYN
Centers for Disease Control and Prevention

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

I N D E X

<u>ITEM</u>	<u>PAGE</u>
<u>Welcome</u>	
Mindy Brashears	7
<u>Agency Updates</u>	
Kristina Barlow	17
FSIS Response to NACMPI 2016 Meeting Consideration of FSIS Best Practices Guidance for Controlling Lm in Retail Delicatessens	
Rosalyn Murphy-Jenkins	28
Sally Jones	35
FSIS response to NACMPI 2016 Meeting Consideration of Mandatory Labeling Features for Certain Processed Not Ready to Eat Meat and Poultry Products	
<u>NACMPI Charges</u>	
Meryl Silverman	40
Validation of Ready to eat (RTE) Shelf Stable Multi-hurdle Lethality Treatments	
Robert Witte	63
FSIS Testing of Boxed Beef Primal and Sub- Primal Products for Shiga toxin-producing E. coli (STEC)	
<u>Subcommittee I</u>	106
<u>Closing</u>	206

P R O C E E D I N G S

(9:41 a.m.)

AUTOMATED RECORDING: Your line is now unmuted.

AT&T EVENT PRODUCER: Welcome and thank you for joining today's conference, the National Advisory Committee on Meat and Poultry Inspection Public Meeting. Before we begin, please make sure you open a member's chat panel by using the associated icon looking at the bottom of your screen. If you require technical assistance, please send a chat to the event producer.

To submit a written question, select All Panelists from the dropdown menu in the chat panel, type your question in the message box and send.

With that I will turn the call over to Valerie Green, moderator and Designated Federal Officer for the Committee. Valerie, please go ahead.

MS. GREEN: Thank you. Good morning, everyone. My name is Valerie Green and I'm with the Office of Policy and Program Development within the Food Safety Inspection Service. I'm the Designated Federal Official for the National Advisory Committee on Meat and Poultry Inspections and I will also be

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 serving as the moderator today and tomorrow.

2 It's my pleasure to introduce the Under
3 Secretary of Food Safety, Dr. Mindy Brashears, who
4 will give the welcome and opening remarks.

5 Dr. Brashears?

6 DR. BRASHEARS: Thank you so much. Good
7 morning, everyone. I am Dr. Mindy Brashears and I
8 am the USDA's Under Secretary for Food Safety and
9 the NACMPI Chair. I want to welcome all of you to
10 our virtual NACMPI meeting. As always, I wish we
11 were all sitting at the table together but I am
12 thankful and grateful we can get together in a
13 virtual setting and, hopefully, one day we will be
14 sitting together face to face.

15 This is our first meeting of the Committee
16 since 2016 and I'm really excited about what you
17 have accomplished over the next couple of days.
18 There are some specific issues we are going to ask
19 the Committee to evaluate and address at the
20 meeting. However, before we get started on that I
21 want to remind everyone of the important role that
22 NACMPI's Committee plays in food safety.

23 NACMPI was established almost 50 years ago,
24 in 1971, and six years prior to the creation of what
25 is now known as FSIS.

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 The role of the Committee is to advise the
2 Secretary of Agriculture on food safety concerns and
3 policies that will contribute to USDA's regulatory
4 policy development. The Committee should be
5 balanced in terms of the point of view represented,
6 geographical representation and food safety
7 interests and as you look at the Committee members
8 you'll see members with a wide range of expertise
9 and various backgrounds.

10 I want to encourage you to listen to one
11 another and to consider other points of view as you
12 make your -- as you contemplate the questions we've
13 put before you.

14 Recently, we announced the appointment of
15 the final two members of the Committee. All 20
16 members bring a multitude of perspectives from the
17 industry, academia and the public sector. I want to
18 thank all our members for their contributions. Each
19 of you bring a unique expertise, experience and
20 viewpoint to this forum.

21 This Committee which provides science-based
22 advice on the inspection of FSIS-regulated products,
23 helps ensure that our regulatory system is relying
24 upon the latest evidence. We are also counting on
25 your expert knowledge of food safety to advise on

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 how FSIS should apply the latest science to our
2 regulatory systems. Now we can move on to our
3 specific issues to be addressed at this meeting.

4 Over the course of the next two days we
5 will listen to relevant updates from Agency
6 officials and comments from the public. We will
7 also charge the Committee with deliberating and
8 providing recommendations on two important issues.

9 First, FSIS is seeking guidance on what
10 steps the Agency should take to ensure better
11 control of artisanal shelf-stable, ready-to-eat,
12 fermented, salt-cured or dried products that rely on
13 multiple hurdles for lethality. This is an
14 opportunity for the Committee to deliberate on how
15 the Agency should react when it determines an
16 establishment lacks scientific support for their
17 lethality treatment.

18 We also want you to consider how we can
19 better assist the industry in gathering the
20 necessary data to support lethality treatments.

21 Second, FSIS would like the Committee to
22 advise whether the Agency should continue to not
23 test boxed beef primal and sub-primal products for
24 Shiga toxin-producing E. coli, also known as STEC,
25 if they are intended for intact cuts. We know that

1 processors located downstream are often unaware of
2 the producers' intended intact use or the risks of
3 grinding these particular products.

4 We look forward to your recommendations on
5 best practices for sampling and testing so we can
6 reduce STEC-positive outbreaks, recalls and deaths.
7 These are two equally important matters and in the
8 interest of time and discussion the Committee will
9 divide into two subcommittees, one to evaluate each
10 issue.

11 Each subcommittee will provide a report of
12 their comments and recommendations to the full
13 Committee before the meeting concludes tomorrow.

14 As you deliberate in your subcommittee
15 remember the important role you play in food safety.
16 Your insight and remarks may shape regulatory policy
17 and impact public health for years to come. Not
18 only do we encourage and appreciate your feedback,
19 we depend upon it.

20 I look forward to what this Committee can
21 achieve over the next two days. With the breadth of
22 expertise gathered in this meeting, I am confident
23 that we will advance the Agency's efforts in food
24 safety policies to further protect public health.

25 Now, it's time to get to work and thank you

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 so much for your time. I will turn it back to our
2 moderator.

3 MS. GREEN: Thank you, Dr. Brashears. Next
4 slide, please.

5 I'd like to briefly review the agenda for
6 today. We'll start with the Agency Updates. As Dr.
7 Brashears mentioned earlier, this is the first
8 meeting of the Committee since 2016 and today we
9 want to update from the charges presented at that
10 last meeting. We will then move forward to the 2020
11 NACMPI charges. Next slide. And after lunch, the
12 Committee will be divided into subcommittees to
13 address the charges.

14 There is a slight change in the schedule
15 today. We did not receive any requests for public
16 comments so we will extend the deliberation period
17 to 4:45 p.m. and at that time we will reconvene for
18 the day's wrap up. Next slide.

19 Now, let's turn to the introduction of the
20 Committee members. Next slide.

21 We're going to go in order in which they
22 appear on the slide. Before we begin, I would like
23 to inform everyone to please state your name and
24 affiliation for the official record before you speak
25 or ask a question for the duration of the meeting.

1 Now, let's start with Mr. Gremillion. Mr.
2 Gremillion --

3 MR. GREMILLION: I'm sorry.

4 MS. GREEN: Okay.

5 MR. GREMILLION: This Thomas Gremillion,
6 Director of Food Policy, Consumer Federation of
7 America.

8 MS. GREEN: Mr. Jenkins? Okay. Mr.
9 Jenkins is the Director of the Louisiana Egg
10 Commission.

11 AT&T EVENT PRODUCER: Looks like Mr.
12 Jenkins is on the attendee line. Your line is now
13 unmuted. Please go ahead.

14 MR. JENKINS: This is Jim Jenkins. I'm the
15 Director of the Louisiana Egg Commission for the
16 Department of Agriculture and Forestry.

17 MS. GREEN: Dr. De Mello?

18 DR. DE MELLO: My name is Amilton De Mello,
19 Meat, Science and Food Safety Advisor for the
20 University of Nevada in Reno and the State
21 Specialist for Meat, Science and Food Safety.

22 MS. GREEN: Next slide.

23 MS. RENDON: Hi. This is Tina Rendon. I
24 do Food Safety and Quality Assurance for Pilgrim's
25 Pride Corporation.

1 MS. RICE: Kim Rice, Vice President of Food
2 Safety and Quality for Rose Acre Farms.

3 MS. GREEN: Dr. Avery?

4 AT&T EVENT PRODUCER: Dr. Avery, if you
5 have joined the attendee line can you please press
6 #2 so that I can unmute your line? Dr. Avery, your
7 line is unmuted. Please go ahead.

8 DR. AVERY: This is Jimmy Avery. I'm
9 Extension Professor and Extension Aquaculture Leader
10 with Mississippi State University. I'm also
11 currently serving as President of the World
12 Aquaculture Society.

13 MS. GREEN: Next slide. William Battle?

14 AT&T EVENT PRODUCER: William, your line is
15 now unmuted. Please go ahead.

16 MR. BATTLE: I'm Bill Battle, Tunica,
17 Mississippi, owner of Pride of the Pond Catfish,
18 Battle Fish Farms.

19 MS. CONKLIN: This is Tina Conklin. I am
20 the Associate Director of the Michigan State
21 University Product Center and the Director of our
22 Food Processing and Innovation Center.

23 MS. CURTIS: Hi. This is Pat Curtis. I'm
24 the Department Head for the Prestige Department of
25 Poultry Science at North Carolina State University.

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 DR. EBERLY: Hi. I'm Jennifer Eberly. I'm
2 the State Director for Maine's Meat and Poultry
3 Inspection Program.

4 MS. GALLIMORE: Casey Gallimore, Director
5 of Scientific and Regulatory Affairs at the North
6 American Meat Institute.

7 DR. HARRIS: Joe Harris, President of the
8 Southwest Meat Association.

9 AT&T EVENT PRODUCER: Dr. Lynn Knipe, if
10 you're on this attendee line please press #2 so that
11 I can unmute your line. Your line is now unmuted.
12 Please go ahead. Dr. Knipe, please unmute your
13 device. Dr. Knipe, we're still not able to hear
14 you. Can you please unmute your device?

15 MS. GREEN: Well, Dr. Lynn Knipe is the
16 Extension Processed Meats Specialist and Associate
17 Professor of Food Science and Technology, Animal
18 Sciences at Ohio State University. We'll go on.
19 Dr. Byron Williams.

20 DR. WILLIAMS: Hi. Good morning. I'm
21 Byron Williams the Associate Extension Professor
22 with the Department of Food Science, Nutrition and
23 Health Promotion at Mississippi State University. I
24 serve as the State Processing Specialist for all
25 muscle food products including Food Safety,

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 Regulatory and Processing.

2 DR. WILLIAMS: Hi. Sherri Williams with
3 JBS USA Food Company and I'm the head of Technical
4 Services for our Regional Beef Division.

5 AT&T EVENT PRODUCER: Gregory Gunthorp, if
6 you're on this attendee line please press #2.

7 MR. GUNTHORP: Hello. Greg Gunthorp, a
8 farmer and a USDA inspected processing plant owner
9 of pigs and poultry in Northeast LaGrange, Indiana.

10 MS. GREEN: And Dr. Alice Johnson, she is
11 the Vice President of Food Safety and Animal Care
12 with Butterball and, unfortunately, she's not able
13 to be with us to make the meeting today. Denise
14 Perry?

15 AT&T EVENT PRODUCER: Denise, please press
16 #2 if you're on the attendee line. I do not see her
17 on the attendee line.

18 MS. GREEN: Okay. Dr. Denise Perry the
19 Food Safety and Handling and Regulatory Manager at
20 Lorentz, Incorporated. Sarah Sorscher?

21 MS. SORSCHER: Good morning. I'm -- this
22 is Sarah Sorscher. I'm the Deputy Director of
23 Regulatory Affairs at Center for Science in the
24 Public Interest.

25 MS. GREEN: And Teresa Schwartz. She's
Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 retired. She was with the Center for Foodborne
2 Illness Research and Prevention and she's not able
3 to make the meeting today, as well.

4 And that concludes our introductions so
5 thank you all. Oops, one more person.

6 Last but not least. I would like to
7 introduce an Ex-Officio member of the Committee, Dr.
8 Misha Robyn. She's from the U.S. Centers for
9 Disease Control and Prevention. Dr. Robyn, would
10 you like to say a few words?

11 DR. ROBYN: Yes, everybody. Good morning.
12 Thank you. I'm Misha Robyn and I'm the lead for the
13 Prevention and Evaluation Activities within the
14 Outbreak Response and Prevention Branch at CDC which
15 is in the division that covers foodborne
16 illnesses -- thank you.

17 MS. GREEN: That concludes our
18 introductions. Before we begin with the Agency
19 Updates, I would like to inform the audience and
20 Committee members that we will take questions after
21 each presenter. To ask a question please use the
22 chat function. Type your name, affiliation and your
23 question and I'll present your question to the
24 speaker. Next slide.

25 I'd like to introduce Kristina Barlow.

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 She'll be discussing the Agency's response to
2 NACMPI's recommendation for controlling *Listeria*
3 *monocytogenes* (Lm) in retail delicatessens.
4 Kristina?

5 DR. BARLOW: Hello. Good morning,
6 everyone. So as part of this presentation I'll be
7 giving you a little bit of background about the
8 charge that we presented to NACMPI in 2016, as well
9 as FSIS's response to the charge and then the
10 methodology that we used to respond to the charge.

11 So as part of our response to the charge we
12 performed a focus group study so I'll be presenting
13 the focus group questions that we used, as well as
14 the results of the focus group study and then our
15 next steps moving forward. Next slide, please.

16 So FSIS presented the Best Practice
17 Guidance for Controlling *Listeria monocytogenes* (Lm)
18 in Retail Delicatessens charge to NACMPI in March of
19 2016.

20 NACMPI recommended that FSIS coordinate
21 outreach and enhance communication on retail best
22 practices with our public health partners in the
23 retail industry, state and local health departments
24 and academic cooperative extension specialists. So
25 the purpose of this was to ensure that our outreach

1 on Listeria at retail is practical, easily
2 understandable and available to all audiences. The
3 Committee also recommended that we collaborate with
4 our public health partners on any updates to the
5 Food Code that we would recommend. Next slide,
6 please.

7 So in response to the NACMPI
8 recommendations, FSIS developed an interagency
9 Listeria Working Group to coordinate and enhance our
10 communicated material. We also performed focus
11 group studies to determine if the information was
12 practical, easily understandable and available to
13 all audiences. We also assessed the focus group
14 study results to determine if changes are needed to
15 the Food Code.

16 And so as part of our original presentation
17 we provided information from the surveillance that
18 FSIS performs at retail. This surveillance is
19 performed by our investigators in our Compliance
20 Investigation Division to go out to retail delis to
21 perform outreach regarding our recommendations and
22 our best practices, guidelines for retail delis and
23 they also determine whether retailers are following
24 the recommendations and the guidelines to control
25 Listeria.

1 So as part of the outreach they hand out
2 materials, which I'll be talking about on a later
3 slide, and so we performed the focus group studies
4 to determine the utility of those materials that are
5 handed out.

6 So based on these focus group findings we
7 plan to coordinate with our public health partners,
8 revise our guidance and outreach materials and
9 engage with industry associations and others to
10 review and distribute the information.

11 We do not plan to recommend Food Code
12 changes at this time because our surveillance data
13 shows that a high percentage of retailers are
14 following our FSIS recommendations which is really
15 good news. Moving on to the next slide.

16 So part of the methodology for performing
17 the focus groups, as I said previously, we performed
18 them to determine the effectiveness of our guidance
19 materials and any other information that we provide
20 and so we performed the focus groups using the
21 following -- I'm sorry. The focus groups were
22 composed of the following major groups. We had 12
23 participants across large, retail groups, 54
24 participants from state and local agriculture
25 departments and one focus group with five academic

1 participants consisting of cooperative extension
2 specialists familiar in the retail area. The focus
3 groups were recorded with participants' permission
4 and this effort was approved by Office of Management
5 and Budget and announced in a Federal Register
6 Notice.

7 The focus groups were connected virtually
8 allowing for the simulation of an in-person
9 experience so they were performed using webinars and
10 people were able to get the same feeling as if they
11 were together in a room to be able to provide
12 feedback about their experiences using FSIS and
13 other outreach information. Next slide, please.

14 So I'll also mention that we do have a
15 handout that provides additional detailed
16 information about the focus group methodology and
17 the study results so we'll be making that handout
18 available on our website.

19 So the first question that we asked as part
20 of the focus groups was about the distribution and
21 availability of communication material. So we asked
22 about how and through what format participants
23 receive food safety information.

24 The second group of questions narrowed down
25 to Lm-specific communication content. We asked the

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 participants about the type, clarity, quality,
2 usefulness and consistency of the food safety
3 information that they had received.

4 And the last group of questions focused in
5 on FSIS-specific Lm communication tools. So we
6 asked whether participants had ever seen FSIS's Lm-
7 specific documents including the Retail Lm
8 Guidelines and Lm Brochure and the Lm Self-
9 Assessment Tool and from what source they received
10 that information. Next slide.

11 So the results of the focus group studies
12 we broke down by the type of participant, state and
13 local participants, the retailers and the academics.

14 So for the state and local participants
15 they received the information mainly from federal
16 agencies including CDC, FSIS and FDA and the most
17 sourced formats of communication were websites,
18 webinars and training as where they received the
19 materials.

20 For the retailers, they received
21 information from federal agencies, state or local
22 agencies and industry associations, specifically the
23 Food Marketing Institute or FMI.

24 Both the state and local participants and
25 the retail participants indicated that they prefer

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 email distribution and web updates of information
2 rather than written documents or brochures that are
3 handed out. So that just told us at the Agency that
4 we need to focus more on electronic formats with
5 information and less on, you know, handing out
6 brochures or having written documents. So that's
7 something that we'll look at moving forward.

8 The academics stated that they work with
9 both the large and small retailers implying that
10 they could be a conduit to these groups. So moving
11 forward we plan to work more with our cooperative
12 and state and extension specialists to be able to
13 distribute the material as well as other industry
14 associations such as FMI and AFDO to be able to
15 distribute information and review it before we put
16 it out to retailers and others. Next slide, please.

17 So moving on to the Lm-specific
18 communication results, the state and local
19 participants stated that the style of messaging was
20 slightly different depending on what agency had
21 provided the outreach materials, FSIS, FDA or CDC.
22 Therefore, we're planning to work with our public
23 health partners to make sure we harmonize our
24 information that we're providing.

25 They also recommended tailoring some of the

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 information to inspectors and other information to
2 retailers. So we will hit that, as well.

3 The retail groups indicated that FSIS's
4 materials were clear for corporate participants but
5 not necessarily for frontline deli operators. So an
6 example of that that we discussed could have been
7 that for, example, FSIS recommends using
8 antimicrobial agents and products formulated with
9 antimicrobial -- and that is information that could
10 be useful for corporate participants who are
11 ordering the products to be used in the deli but not
12 necessarily for the person who's operating the
13 slicer within the deli. The person operating the
14 slicer may be more interested, for example, with
15 specific information on how to clean the slicer and
16 what are the steps to breaking down the slicer to be
17 able to clean it to address Listeria.

18 So those are some of the ideas that we're
19 working through to increase the utility of our
20 materials.

21 The retailers also recommended that FSIS
22 work with trade associations such as FMI to
23 distribute the outreach materials so they reach a
24 wider audience.

25 The academic participants recommended first
Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 establishing a foundation of minimum sanitation
2 requirements of the Food Code and then addressing
3 Lm. So they recommended increasing awareness of
4 Food Code requirements and teaching about the
5 specific recommendations that are in the Food Code
6 and then focusing in drilling down to the Lm
7 recommendations. So that's another recommendation
8 that we'll take into account working with our public
9 health partner, primarily the FDA, to look at the
10 Food Code recommendations and then addressing Lm
11 specifically and how it fits into the Food Code.
12 Next slide.

13 So that's looking specifically at the
14 materials that FSIS hands out and distributes. The
15 state and local health departments indicated that
16 they need materials that are simple to understand
17 and that they can easily distribute to retailers.
18 Several mentioned having more visually-based
19 materials such as posters that could be hung up in a
20 break room. So that's something that we'll take
21 into account ensuring that we include pictures and
22 more easily understandable materials.

23 Retailers also recommended that FSIS build
24 relationships and communication channels with state
25 and local regulators. They mentioned that the state

1 and local and retail participants were not aware of
2 the retail Lm brochure that we're currently handing
3 out. And so we're looking at our distribution
4 channels and working on additional relationships
5 that we can build with the state and local
6 regulators and the retail industry groups and
7 others, as I mentioned, so that we can build that
8 network to be able to further distribute our
9 materials and make sure that people are aware of
10 Listeria control recommendations.

11 The academics indicated that the Lm
12 brochure and the Self-Assessment Tool could be
13 improved by simplifying the format and adding more
14 visuals. So as I said earlier, that's something
15 that we'll continue to work on. Next slide.

16 So next steps, we plan to update the Retail
17 Lm Guideline to serve as a guidance document to
18 improve the consistency, clarity and overall
19 content. We plan to update it with pictures and
20 images, as suggested by the focus group
21 participants. We also plan to simplify our
22 recommendations to increase clarity.

23 We plan to coordinate with our public
24 health partners, the CDC and FDA, to harmonize our
25 outreach to be more consistent with the content that

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 has been developed by other groups and agencies. We
2 also plan to work more closely with groups such as
3 FMI, AFDO, cooperative extension specialists and
4 others to review and distribute our materials. And
5 as I mentioned at the beginning of this
6 presentation, we also have a handout which has
7 additional information about all of this as well as
8 the next steps that we plan to use moving forward.

9 We also have a retail website that I don't
10 have on this slide but it's in the handout and so to
11 find it you would be able to search retail guidance,
12 not retail guidelines but retail guidance, on the
13 FSIS website and I will bring you right to that
14 retail web page where we plan to post most of our
15 materials and will be posting additional materials
16 there at that website moving forward.

17 So by making these changes in our outreach
18 materials and the way that we provide outreach
19 materials we hope to help ensure that our guidelines
20 are useful to retailers and we can drive adoption of
21 food safety practices moving forward. Next slide
22 and I'll take questions.

23 MS. GREEN: Thank you, Kristi. To ask a
24 question, please use the chat function. Type your
25 name, affiliation and your question and I'll present

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 your question to the speaker. I don't see any
2 questions so we'll move on. Next slide.

3 MS. EDELSTEIN: Actually, there is a
4 question that just appeared.

5 MS. GREEN: Okay. Thank you. This
6 question is from Dr. Eberly in Maine. Can you tell
7 us why the focus groups were conducted?

8 DR. BARLOW: Hello. Yes, we did perform
9 the focus groups to evaluate the usefulness and the
10 clarity of FSIS outreach materials that we're
11 providing to retail delis. That was one of the
12 recommendations from the previous NACMPI Committee,
13 that we evaluate the usefulness of our outreach
14 materials to make sure that they were useful to
15 retailers and then use this information to further
16 revise our materials moving forward so that we can
17 ensure that -- better ensure that retailers are
18 following the recommendations that control -- delis.

19 MS. GREEN: Thank you. And when were the
20 focus groups conducted?

21 MS. BARLOW: The focus groups were
22 conducted in July and August of 2019, so relatively
23 recently, and we have this information now to be
24 able to present to the Committee.

25 MS. GREEN: Thank you. Are there any other

1 questions? Okay. We'll move on.

2 Next is Rosalyn Murphy-Jenkins and Sally
3 Jones. They will be discussing the Agency's
4 response to NACMPI's recommendations on labeling
5 features for certain processed not ready-to-eat meat
6 and poultry products.

7 MS. MURPHY-JENKINS: Thank you, Val. Good
8 morning, everyone. As Val mentioned, my name is
9 Rosalyn Murphy-Jenkins. Sally Jones and I will
10 provide you with an update on the 2016 report and
11 recommendations on the Consideration of Mandatory
12 Labeling Features for Certain Processed Not-Ready-
13 to-Eat Meat and Poultry Products. Next slide.

14 First, I will briefly provide a short
15 summary of the 2016 presentation to give context to
16 the recommendations -- the three recommendations
17 from the Committee, and Sally Jones will continue
18 with the information on focus group research studies
19 on safe handling instructions and further work that
20 will be done in this area on safe handling
21 instructions and manufacturer cooking instructions.
22 Next slide.

23 The 2016 NACMPI presentation began with the
24 differences between ready-to-eat and not-ready-to-
25 eat products. Some standards of identity in the

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 regulation require that products be ready-to-eat,
2 like hot dogs or bologna. For other products
3 consumers generally expect them to be ready to eat,
4 such as pâté. However, there are products that can
5 be both ready-to-eat and not-ready-to-eat based on
6 how the manufacturer chooses to market their
7 products.

8 For example, if a plant chooses to classify
9 a ready-to-eat product as not-ready-to-eat, for
10 example a product like meatballs and sauce, they
11 must provide assurance for either manufacturing,
12 sanitation practices and validated cooking
13 instructions that the product will be safe for
14 consumption.

15 Thus, the label must clearly indicate to
16 consumers that the product is not-ready-to-eat and
17 must be fully cooked prior to eating. Such labeling
18 features include a statement on the principal
19 display panel, that the product needs to be cooked,
20 cooked thoroughly or cook and serve, share safe
21 handling instructions if the meat or poultry portion
22 is raw or partially cooked, have nutrition
23 information based on the ready-to-cook reference
24 amount and cooking instructions. Those cooking
25 instructions should not be misleading and should

1 adequately reflect -- related to the proper use,
2 cooking and handling of the product.

3 As we explained previously at NACMPI so in
4 2010 FSIS contracted out to conduct consumer focus
5 groups to evaluate several things including consumer
6 understanding of several labeling features regarding
7 the safe handling of meat, poultry and egg products,
8 preparation instructions, prepared but not-ready-to-
9 eat meat and poultry products and safe cooking
10 temperatures for raw meat.

11 The findings were that consumers were
12 increasingly relying on prepared meat and poultry
13 products because they are convenient, quick and
14 easy. At that time there were several foodborne
15 illness outbreaks which suggested that some
16 consumers were not properly preparing these foods to
17 ensure the products were safe to eat.

18 Based on that consumer research there was
19 confusion about whether the prepared frozen meat and
20 poultry products were ready-to-eat or not-ready-to-
21 eat. The participants in the study did not
22 distinguish between different products and brands.
23 Some participants considered all frozen items to be
24 ready-to-eat and thus, not-ready-to-eat products may
25 not be prepared properly.

1 Also intriguing was that most participants
2 did not know the wattage of their microwave and
3 thus, did not make adjustments for cooking times.
4 They did not use a meat thermometer, but instead
5 relied on past experiences and that they were
6 confused about the purpose of the rest time.

7 Also included in the NACMPI 2016
8 presentation was a discussion on the Salmonella
9 outbreak-related recall for products that were
10 uncooked. The recalled products included uncooked
11 breaded, stuffed poultry products, poultry pot pies
12 and uncooked frozen poultry products.

13 Thus, FSIS presented charges to NACMPI in
14 2016 based on the recalls mentioned, as well as the
15 fact that there are no specific regulations that
16 require a manufacturer to label a product as raw,
17 uncooked, not-ready-to-eat or other such features.

18 The next two slides include information
19 about the three charges and the recommendations of
20 the Committee. Next slide.

21 This was the first charge. Should FSIS
22 require statements such as raw, uncooked or ready to
23 cook and labeled as raw products that may appear
24 ready-to-eat to convey that these products are not-
25 ready-to-eat?

1 The Committee believes that a mandatory
2 statement should be used to differentiate these
3 products. The Committee stated that changes to
4 required labeling should be based on the findings
5 from the 2010 focus group work on the previous
6 slide.

7 The Committee also stated the industry
8 should conduct a new focus group study to understand
9 the optimal messaging and design of packaging to
10 ensure consumers properly understood that not-ready-
11 to-eat products need to be cooked for lethality.

12 They suggested that the focus group design
13 should determine what messages such as raw,
14 uncooked, ready to cook, raw-must cook to X degrees
15 Fahrenheit or raw-must cook to X degrees Fahrenheit
16 for safety, would have the desired impact.

17 The focus groups should also utilize open-
18 ended questions. For example, what information on
19 this package would help you understand that this
20 product is raw and needs to be cooked or what
21 information on the package makes you think that the
22 product is ready-to-eat and not raw?

23 In addition, the focus groups should
24 evaluate the effectiveness of standardized locations
25 of these statements on labels. For example,

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 evaluate placement and features in the top left
2 corner and evaluate various color options, fonts and
3 other display options to determine what best stands
4 out to consumers.

5 Lastly, the Agency should use the focus
6 groups to evaluate how best to convey rest times and
7 it's purpose to consumers as well as evaluate the
8 effect of finished product vignettes pictured on the
9 label. Next slide.

10 The second charge was should FSIS require
11 that such products bear validated cooking
12 instructions? If so, aside from (a) the method of
13 cooking, (b) endpoint temperatures of 165 degrees
14 Fahrenheit, (c) instructions that the endpoint
15 temperature is measured by use of a thermometer,
16 what other information is needed?

17 The Committee agreed the validated cooking
18 instructions should be required for these products.
19 The validated cooking instructions should include
20 the method of cooking, the endpoint temperature for
21 safety and instructions that the endpoint
22 temperature is measured by a thermometer.

23 In addition to that information, the
24 Committee recommended that the cooking instructions
25 should include a disclaimer to not use a microwave,

1 if applicable, that labels should include the
2 appropriate method for taking product temperature
3 and that the instructions should make it clear to
4 consumers which steps should be followed for safety.
5 Next slide.

6 And the last charge was, are there other
7 steps that FSIS should consider requiring to prevent
8 illness involving these products? The Committee
9 recommended the following.

10 FSIS should develop a risk assessment to
11 determine the risk of these types of products and
12 the Agency should work with other agencies like FDA.
13 The Committee also stated that the Agency should
14 continue to educate consumers on food safety issues.
15 As part of this, the Agency should develop messaging
16 that focuses on issues related to this topic to
17 include emphasizing reading the label, knowing your
18 label, owning a meat thermometer, knowing how to use
19 the thermometer, knowing how to use your appliances,
20 like calibrating your oven.

21 They also recommended that the Agency
22 should continue to work with partners including
23 extension agencies and the partnerships made for
24 disseminating messages. The Agency should ensure
25 alignment with FDA for like-product labeling

1 requirements. Also, the Agency should continue
2 partnering with FDA and the retail industry on how
3 best to -- products -- possible confusion between
4 ready-to-eat and the raw, not-ready-to-eat products.

5 I will now turn the presentation to Sally
6 Jones to continue. Next slide.

7 MS. JONES: Thank you, Ros. Good morning.
8 FSIS has contracted with research groups -- with a
9 research group to do a number of focus group studies
10 over the years. There has been a multi-year set of
11 studies going on -- safe handling instructions
12 should be modified and updated to better inform
13 consumers about how to use -- how to safety handle
14 meat and poultry products. A part of the study also
15 included research on not-ready-to-eat products and
16 how consumers could best differentiate between not-
17 ready-to-eat products and ready-to-eat products.

18 Part of this portion of the study included
19 collecting data through an eye tracking study to
20 identify where consumers were placing their major
21 focus regarding handling and cooking of products.
22 Did they focus on safe handling instructions or on
23 the manufacturer's cooking instructions? The study
24 is wrapping up and the findings of the research will
25 be forthcoming this fall. Next slide, please.

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 FSIS conducted a more specific study
2 looking specifically at the handling of frozen food
3 products in the home. Results from this study
4 indicate how difficult it is for most consumers to
5 differentiate between the ready-to-eat and not-
6 ready-to-eat frozen foods. In fact, nearly a
7 quarter of the participants attempting to prepare --
8 were not sure if products were raw or fully cooked,
9 despite checking the existing labeling of the
10 product.

11 An additional problem identified was the
12 lack of proper hand washing during the handling and
13 preparation of these frozen foods which can lead to
14 cross contamination and foodborne illness. These
15 issues are important to address in future consumer
16 education and potential rulemaking. Next slide.

17 From the initial research on safe handling
18 instructions, the Agency determined that additional
19 focus group studies were needed to study consumer
20 understanding and usage of the manufacturers'
21 cooking instructions. The study will assist the
22 Agency in determining the most effective channels to
23 increase public awareness of foodborne illness and
24 how to safely handle meat and poultry products.

25 We want to understand how consumers use the

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 manufacturers' cooking instructions for products
2 that are ready-to-eat and those that are not-ready-
3 to-eat. The outcome of this study will assist in
4 determining whether revisions or additions are
5 needed for mandatory labeling features to ensure
6 that consumers safely handle and prepare meat and
7 poultry products. Next slide.

8 On October 6, FSIS is hosting a virtual
9 meeting on Food Safety: Consumer Outreach and
10 Education for Today and for the Future. A number of
11 government representatives and other organizations
12 will be speaking at the meeting, as well as
13 individuals that have signed up to be speakers.

14 FSIS plans to use the findings from the
15 focus group studies and consumer outreach meetings
16 to inform potential rulemaking to differentiate the
17 labeling of not-ready-to-eat versus ready-to-eat
18 meat and poultry products. Such information will
19 also be critical in the development of improved
20 consumer education information and for FSIS
21 coordinating with other agencies in the outreach
22 programs to approve the safe handling and cooking of
23 -- foodborne illness. Next slide. Questions?

24 MS. GREEN: Thank you, Rosalyn and Sally.
25 As a reminder, to ask a question please use the chat

1 function. Type your name, affiliation and your
2 question and I'll present your question to the
3 speakers.

4 Also, as a reminder to our panelists or
5 speakers, please mute your line until you're
6 speaking.

7 I don't see any questions coming up. So at
8 this time we'll take a 15-minute break --

9 MS. EDELSTEIN: Val. Val. We did just a
10 question.

11 MS. GREEN: Oh, I see it. Okay. This is
12 from Thomas Gremillion. Did the focus groups
13 consider packages with the word raw printed on them?
14 Could you elaborate a bit on what they saw?

15 MS. JONES: Okay. This is Sally Jones. I
16 don't -- we're still conducting or I don't believe
17 we've actually started conducting the last group of
18 focus group studies that are going to be on the
19 ready-to-eat versus not-ready-to-eat foods and I'm
20 not exactly certain whether that is going to be one
21 of the things that will be studied. It's a question
22 that we should be able to answer in the future. I
23 certainly will bring it up with the folks in -- that
24 are running the focus group study.

25 MS. GREEN: Thank you, Sally. Are there

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 any other questions? We'll wait a few more seconds
2 because I realize that it may take a while to type
3 in your question. And if there are any questions
4 from Kristina Barlow's presentation you may type
5 that in, as well. All right. Seeing none but if
6 you do in the future, at least during the meeting,
7 if you have a question for any of the speakers from
8 the Agency Updates, feel free to type that in and
9 we'll present it to the speakers.

10 So at this time I would like to take a
11 break and we will meet back up at 10:45.

12 **(Off the record at 10.32 a.m.)**

13 **(On the record at 10:45 a.m.)**

14 AT&T EVENT PRODUCER: Your line is now
15 unmuted.

16 MS. GREEN: This concludes the Agency
17 Updates and now we'll begin with the charges for the
18 Committee.

19 This session is open to verbal and written
20 questions at the end of each presentation. To ask a
21 question, please press #2 or hash tag 2, state your
22 name and affiliation for the official record before
23 you ask a question. You may also type your question
24 in the chat feature and I'll present your question
25 to the speaker. Next slide.

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 Meryl Silverman will present the first
2 charge regarding the validation of ready-to-eat
3 shelf-stable products that rely on multiple hurdles
4 for lethality. I'll go ahead and turn it over to
5 Ms. Silverman.

6 MS. SILVERMAN: Thank you, Val. Next
7 slide, please.

8 So as Val indicated, today I'm going to be
9 presenting on the first charge related to validation
10 of ready-to-eat shelf-stable multi-hurdle lethality
11 products. Today I'm going to be giving you an
12 overview of the issue including the lethality
13 charges recommended safe for products, the
14 validation challenges that we see related to
15 research staff and then the questions for the NACMPI
16 Committee. Next slide, please.

17 There is an increasing interest in
18 producing artisanal/niche self-stable ready-to-eat
19 fermented, salt-cured and dried products that rely
20 on multiple hurdles for lethality. These are
21 products such as salami, prosciutto -- and --
22 there's a lot of information on how to produce
23 products of high quality but not as much available
24 supportable science on how to produce safe products.

25 For example, FSIS is routinely asked about

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 scientific support available for -- and has only
2 recently one single study available that supports
3 critical operational parameters that result in a
4 5.0-log reduction in Salmonella and --

5 Currently, very little scientific support
6 is available for establishments to use to support
7 the production of these multi-hurdle products. FSIS
8 is in the process of developing guidance for these
9 processes but the lack of scientific support may
10 raise enforcement questions that FSIS is going to
11 need to address. Next slide, please.

12 FSIS considers all ready-to-eat products to
13 be adulterated if they contain pathogens of public
14 health concern depending on the type and level or
15 their toxins that can cause illness in humans.
16 There are some pathogens where any level would make
17 the product adulterated such as Salmonella, Listeria
18 monocytogenes or Lm and Shiga toxin-producing
19 Escherichia coli or STEC or S. aureus enterotoxin
20 because the presence of these types of enterotoxins
21 would be injurious to health under the Acts.

22 In addition, 9 CFR 430.1, also known as the
23 Listeria Rule, defines ready-to-eat products as
24 those that are edible without further preparation to
25 achieve safety.

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 So these ready-to-eat shelf-stable
2 fermented salt-cured and dried products are required
3 to be free from Salmonella, Lm, STEC and S. aureus
4 enterotoxin at the end of the lethality treatment
5 and also Lm should be addressed for fatality. Next
6 slide, please.

7 So in order to support products that are
8 not adulterated under the Act, establishments are
9 required to design the HACCP system to meet all
10 applicable performance standards or charges. And so
11 products such as those that are dried, fermented or
12 salt-cured, FSIS recommends the process achieve at
13 least a 5.0-log reduction in Salmonella in order to
14 support the product is ready to eat.

15 Establishments may also validate for STEC
16 such as E. coli O157:H7 as well as Listeria
17 monocytogenes because these pathogens are more
18 tolerant to acid and drying than Salmonella.
19 However, we have accepted research with Salmonella
20 alone, provided there's no indication such as test
21 results that the process is insufficient in
22 addressing STEC or Lm. The research has supported
23 that a 5.0-log reduction in Salmonella is sufficient
24 for shelf-stable products. Indeed, the FSIS risk
25 assessment of the impact of lethality standards on

1 salmonellosis from ready-to-eat meat and poultry
2 products found that there was not a significant
3 increase in cases of salmonellosis if turkey and
4 other shelf-stable meats and poultry products
5 achieve 5.0-log reduction instead of a 7.0-log.

6 Establishments also have the ability to
7 support alternative lethality provided they
8 provide an equivalent probability that no Salmonella
9 organisms present in the finished product. I'm
10 going to discuss this concept further in a few
11 slides.

12 FSIS also recommends ensuring *S. aureus*
13 outgrowth is limited to two logs or less during
14 processing to ensure no enterotoxin production.

15 For the purposes of the NACMPI charge,
16 though, where we see the research gaps are related
17 to supporting the 5.0-log reduction of Salmonella
18 and other pathogens. Next slide, please.

19 So I'm going to share some examples of
20 where industry has come together to develop
21 scientific support related to the lethality of
22 multi-hurdle lethality products and the limitations
23 of these documents that have resulted in continued
24 research gaps.

25 In 1994, an outbreak of *E. coli* O157:H7 was

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 linked to commercially distributed, dry-cured
2 salami. In response, the Blue Ribbon Task Force on
3 E. coli O157:H7 at the National Cattlemen's Beef
4 Association was formed and it responded by putting
5 out a request for research proposals and various
6 industry associations and companies came together to
7 fund the research proposal from the University of
8 Wisconsin to validate various combinations of
9 fermentation processes.

10 At the same time, FSIS, and remember this
11 was prior to the implementation of FSIS's process
12 regulations, could have some options for addressing
13 E. coli O157:H7 in dried and semi-dried fermented
14 sausages. These options included cooking to
15 lethality, achieving a 5.0-log reduction in E. coli
16 O157:H7 or testing and probing every lot, which the
17 report acknowledged was inconsistent with the theory
18 of HACCP.

19 The Blue Ribbon Task Force document has
20 been a great resource but to achieve a 5.0-log
21 reduction in E. coli O157:H7, as well as other
22 pathogens, the document recommends a kind of cook
23 step or holding step at 90 or 110 degrees Fahrenheit
24 for several days which may impact quality and,
25 therefore, has limited its use.

1 So we just have not seen widespread
2 adoption of the validated treatments in the
3 document, although for those establishments that use
4 the Blue Ribbon Task Force validated lethality
5 treatments, it is a great resource. Next slide,
6 please.

7 Because of the challenges of achieving a
8 5.0-log reduction the Task Force recommended another
9 option to use a process validated to achieve at
10 least a 2.0-log reduction in E. coli O157:H7 and
11 test each and every lot of raw batter versus that
12 alternative lethality option.

13 FSIS did not object to this option and so
14 in the findings report, processing validated to
15 achieve either at least a 2.0-log reduction in E.
16 coli O157:H7 were included along with those
17 validated to achieve at least a 5.0-log reduction.

18 This option does provide less assurance of
19 product safety but it's important that raw material
20 testing provides a high degree of confidence that
21 there's no Salmonella present.

22 The raw batter testing option does provide
23 more flexibility but it becomes very expensive to
24 test each and every lot of raw batter. This option
25 can be translated to products other than beef, such

1 as poultry or pork, where the raw batter is tested
2 for Salmonella and a 2.0-log reduction in Salmonella
3 is validated.

4 It can also be translated for stripped --
5 or other whole muscle products where each lot is a
6 whole muscle and other raw ingredients are tested.
7 Next slide, please.

8 As I mentioned earlier, FSIS also
9 recommends ensuring *S. aureus* outgrowth is limited
10 to 2.0-log or less during processing to ensure no
11 enterotoxin production. In the early 1970s, there
12 were several outbreaks in the U.S. due to *S. aureus*
13 growth and enterotoxin production in fermented
14 meats. In response, industry adopted several
15 measures including the widespread use of commercial
16 starter cultures.

17 Prior to the 1970s, many establishments
18 used natural inoculations of meats such as back
19 slopping where they would add meat reserved from a
20 previous successful fermentation to the batter.
21 This can cause a lot of failures where either the
22 wrong type of bacteria, including pathogens,
23 predominate and grow or harmful bacteria are added
24 from the back slops.

25 Today, most fermented meat processors

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 either add lactic acid starter cultures or harmless
2 staphylococci to the raw meat mix. These starter
3 cultures are known microorganisms with proven
4 metabolic activity added at a known concentration.

5 Another effective change was the addition
6 of fermentable sugars, such as dextrose, which are
7 like a food for the bacteria and their addition
8 ensures reliable and rapid lactic acid production
9 that drops the pH.

10 Ensuring the pH drops rapidly is important
11 to control the outgrowth of *S. aureus* and can also
12 help inhibit the growth of other pathogens and to
13 ensure the pH drops fast enough, the degree-hours
14 concept was also developed and is described in the
15 American Meat Institute or AMI, Food Manufacturing
16 Practices for Fermented, Dry and Semi-Dry Sausage
17 Products.

18 The degree-hours are the amount of time in
19 hours above 50 degrees Fahrenheit that's the
20 critical temperature at which staphylococcal growth
21 effectively begins, that an establishment's
22 fermentation process can take at a specific
23 temperature to reduce the pH to 5.3 or below in
24 order to control *S. aureus* growth.

25 This concept has been widely adopted and

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 implemented effectively that, in fact, there've been
2 no reported cases of staphylococcal foodborne
3 illness from fermented meats in the U.S. for over 30
4 years.

5 I share this because this is really a
6 success story in terms of widespread adoption of
7 scientific support related to the fermentation
8 process that was developed by industry.

9 Unfortunately, following the degree-hours
10 process has not been validated to achieve any
11 particular reductions to Salmonella, Lm or STEC.
12 It's only been validated to limit S. aureus
13 outgrowth -- with research staff. Next slide,
14 please.

15 So how does an establishment support that
16 the design of its HACCP system results in adequate
17 pathogen reduction or prevention? This is where
18 validation comes in. An initial validation is the
19 process of demonstrating that the HACCP system, as
20 designed, can adequately control potential
21 outbreaks.

22 Under 9 CFR 417.4(a)(1), establishments are
23 required to assemble two types of supporting
24 documentation to demonstrate the HACCP system has
25 been validated.

1 The first is the scientific or technical
2 support for the design of the system and the second
3 is the initial in-plant validation data that
4 supports the HACCP system can be executed as
5 designed. And initial validation activities
6 encompass those activities designed to determine
7 whether the HACCP system is functioning as intended.
8 Next slide, please.

9 Now, I'm going to focus on element one of
10 validation, the scientific or technical support,
11 because this is where we see the greatest challenges
12 during our verification activity. And to meet the
13 first element of initial validation, establishments
14 should gather scientific or technical support, which
15 I'll talk about further. That's the published
16 processing guidelines, journal articles, challenge
17 studies, et cetera, for its HACCP systems that
18 closely match the actual process and that shows the
19 establishment's process will prevent, reduce or
20 eliminate the hazards identified in the hazard
21 analysis and it should identify the critical
22 operational parameters from the scientific support
23 relevant to the establishment's process. Next
24 slide, please.

25 So examples of scientific or technical

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 support include the following. First is published
2 processing guidelines including FSIS guidelines.
3 FSIS does not have any guidelines currently that are
4 food critical operational parameters for ready-to-
5 eat shelf-stable meat and poultry products. We have
6 a guideline related to Lebanon bologna but it does
7 not include any of what we think of as safe harbors.

8 Some establishments will apply -- cooking
9 parameters but, again, like the Blue Ribbon Task
10 Force issue, cooking does not always result in the
11 desirable quality establishment's want.

12 Another example are best practice
13 guidelines. An example would be the Blue Ribbon
14 Task Force document I've been talking about or the
15 AMI Good Manufacturing Practices for Fermented, Dry
16 and Semi-Dry Sausage Products that I also mentioned
17 earlier that includes the degree-hours concept for
18 controlling S. aureus.

19 Another example are peer-reviewed
20 scientific data/information and this is what we
21 commonly see and in the form of journal articles.
22 Challenge or inoculated pack studies may also be
23 used so this is also a common option but it can be
24 costly.

25 Another option is pathogen modeling

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 programs and, unfortunately, there's only one model
2 currently available that has been validated from
3 Denmark and it's only been validated to support up
4 to a 3.0-log reduction in Salmonella and STEC.

5 There is also a validated model from the
6 University of Wisconsin that can support shelf
7 stability but it's limited to the supporting shelf's
8 ability.

9 And then last, are regulatory performance
10 standards. An example would be something like the
11 patty regulation for prescribed cooking parameters.
12 However, there aren't any for multi-hurdle lethality
13 products.

14 But during our verification activities we
15 do find establishments that have no scientific
16 support for their lethality treatment.

17 They may have the AMI's Good Manufacturing
18 Practices for Fermented, Dry and Semi-Dry Sausage
19 Products for fermented products on file to support
20 the degree-hours but as I talked about, that's only
21 validated to potential S. aureus outgrowth.

22 Otherwise, we may find that there is not scientific
23 support on file that demonstrates any particular
24 reduction in Salmonella and Lm is achieved. Our
25 next slide, please.

1 Considering these types of support there
2 are just a lot of challenges for identifying and
3 applying even the readily available scientific
4 support which would be in the form of journal
5 articles or the Blue Ribbon Task Force document I
6 talked about.

7 So there is available literature that
8 supports a 5.0-log reduction can be achieved for
9 fermented and dried meat and poultry products, but
10 these would make these either high fermentation
11 temperature and achieving a low pH, which are some
12 of the options from the Blue Ribbon Task Force,
13 applying a low temperature heat step following
14 fermentation, using a long drying time or applying
15 Appendix A time, temperature, humidity parameters
16 after fermentation and before drying. But again,
17 establishments don't always want to use these
18 processes because they can impact the quality of the
19 product.

20 Most establishments want to rely on
21 fermentation and drying alone and have varying
22 treatments that are used including low fermentation
23 temperatures. So it's difficult for establishments
24 to be able to find readily available support that
25 fermentation and drying alone achieve a 5.0-log

1 reduction. I'm aware of one main study used from
2 one starter culture company. Next slide, please.

3 It gets even more challenging when we
4 consider all of the parameters that impact the
5 effectiveness of fermentation, culturing and drying.
6 As I indicated earlier, to meet the first element of
7 validation, once the establishment identifies
8 scientific support, it then needs to identify all of
9 the critical operational parameters and ensure
10 they're consistent with those used in the actual
11 process.

12 So even when an establishment can find a
13 process validated to achieve a 5.0-log reduction, it
14 then needs to make sure it can implement all the
15 critical operational parameters to justify any
16 differences which can be challenging. And as we saw
17 with the Lebanon bologna outbreak in 2011,
18 differences in critical operational parameters can
19 lead to illnesses.

20 I'm not going to read through all the
21 critical operational parameters on the slide, but
22 I'm sharing this to show how complicated these
23 processes are and how so many variables can impact
24 the effectiveness. Next slide, please.

25 So to summarize, there's an increasing

1 interest in producing artisanal/niche shelf-stable
2 ready-to-eat fermented, salt-cured or dried products
3 that rely on multiple hurdles for lethality. There
4 have been a few outbreaks associated with these
5 types of products. I've talked about a few and
6 there have been approximately eight outbreaks in the
7 U.S. over the last 50 years from FSIS-regulated
8 products.

9 Little scientific support is available for
10 establishments to support lethality and when it is
11 available it can be difficult to match the critical
12 operational parameters to those used in the actual
13 process.

14 FSIS is in the process of developing a
15 guideline but there's little scientific support we
16 can share. So the lack of scientific support may
17 raise enforcement questions that FSIS is going to
18 need to address. So this is really where
19 enacting -- would be of great value and why we
20 brought this charge? Next slide, please.

21 So we have two NACMPI Committee questions
22 and we're seeking input on the lack of scientific
23 support and control of hazards for producing multi-
24 hurdle lethality products that, again, may raise
25 enforcement questions.

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 So first, what action should FSIS take when
2 it determines an establishment lacks scientific
3 support for the lethality treatment of a fermented
4 or cured or dried product? And we've given some
5 examples here for the Committee to consider when
6 answering this question.

7 So for example, should FSIS take
8 enforcement action and require scientific support
9 for 9 CFR 417.5(a)(1) and 9 CFR 417.4(a)(1) which
10 will most likely result in the need for a challenge
11 study by the establishment or should we allow
12 establishments to test and hold indefinitely?

13 This option is not currently considered
14 acceptable because it's inconsistent with HACCP to
15 not rely on controls for preventable measures.
16 Also, testing can't detect all possible pathogens.
17 This can be costly to test for several pathogens at
18 once. In addition, pathogens are often not evenly
19 distributed so it's hard to test enough pieces to
20 give confidence -- to treat a pathogen.

21 Another option to consider is if we could
22 allow establishments to combine multiple scientific
23 support documents, such as journal articles, even if
24 none of them alone support the critical operational
25 parameters used or allow scientific support that

1 demonstrates less than a 5.0-log reduction. This
2 can be difficult for FSIS personnel to verify but
3 this option may be used in combination with
4 increased FSIS testing.

5 Another option to consider is for FSIS to
6 use regulatory discretion and allow establishments
7 to produce without scientific support or the
8 Committee may consider a combination of the above or
9 other options. Next slide, please.

10 And our second question is how can FSIS
11 assist industry in gathering scientific support in
12 these cases and facilitate filling research gaps
13 even though it's not a research-funding
14 organization?

15 On Tuesday, during the Salmonella public
16 meeting, Isobel Walsh from FSIS's Office of Public
17 Health Science shared a research priority and study
18 from FSIS related to the need for research to
19 estimate drying time for different diameter dry and
20 semi-dry fermented sausages to ensure a 5.0-log
21 reduction in Salmonella.

22 And FSIS has had several research
23 priorities posted on its website related to
24 fermented, raw-cured and dried products. However,
25 we just have not seen research completed to fill

1 these gaps.

2 So is there some way FSIS or even other
3 organizations could facilitate the sharing of
4 proprietary data so that more safe harbors are
5 available that better match the types of products
6 establishments want to produce?

7 And so with that I can take any questions.
8 Next slide, please.

9 MS. GREEN: Are there any Committee members
10 that have a question?

11 MR. GREMILLION: (Indiscernible?)

12 MS. GREEN: Yes.

13 MR. GREMILLION: Hi --

14 MS. GREEN: -- Please state your name and
15 affiliation for the record.

16 MR. GREMILLION: Hi. This is Tom
17 Gremillion, Consumer Federation of America. I had
18 two questions.

19 One, at the outset of the presentation you
20 said there's rising interest in these sausages and I
21 wondered if you just had the data or other
22 information that might illustrate the magnitude of
23 the increased interest and my second question is
24 what is the status quo now when FSIS determines that
25 at the facility, the establishment doesn't need to

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 have -- it sounded like it's number one of the
2 options presented but I wanted to clarify that.
3 Thanks.

4 MS. SILVERMAN: Yeah. So unfortunately I
5 don't have data on the volume of the category. Some
6 of that comes just anecdotally from ask FSIS
7 questions we've received and then, yes, in terms of
8 FSIS actions, currently it would be to follow the
9 regulatory requirements and document non-compliance
10 and then corrective actions to come into compliance
11 would necessitate gathering scientific support.

12 MS. GREEN: Are there any other questions
13 before I move to the questions in the chat feature?

14 MS. CURTIS: This is Pat Curtis from NC
15 State University and I put my question in chat, as
16 well. But does FSIS not contribute research
17 priority needs to NIFA when they're collecting their
18 research priorities for what they're going fund for
19 the coming year?

20 MS. SILVERMAN: Yes. I know we do share
21 our research priorities with NIFA and have shared
22 these in the past but I'm not aware of, you know,
23 how the decisions are made from their end to fund
24 research. But we do share our research priorities
25 with the Agriculture Research Service as well as

1 NIFA.

2 MS. GREEN: All right, this is Val Green,
3 again. I'll start with a question in the chat
4 feature from Greg Gunthorp, Gunthorp Farms.

5 Can you share these eight outbreaks of USDA
6 dried, salt or fermented products? He would like to
7 research whether these establishments are following
8 good manufacturing practices.

9 MS. SILVERMAN: Yes. I can see what
10 details we're able to provide. I can say three of
11 the outbreaks were related to the S. aureus
12 enterotoxin issues I mentioned in the 1970s before
13 the degree-hours concept was developed and before
14 NIFA starter cultures were implemented.

15 But then most recently we do have
16 information, in 2011, about the Lebanon bologna
17 outbreak that was associated with E. coli O157:H7
18 and that information is in a guidance document
19 available online and they are the issues we found
20 where the establishment had scientific support but
21 it really didn't match the actual process that they
22 were using.

23 And there was another outbreak in 2010
24 associated with products where slicers were
25 contaminated with Salmonella and they were added

1 after the lethality treatment.

2 And then were some other outbreaks in the
3 '80s and '90s, again, that led to that Blue Ribbon
4 Task Force document where E. coli O157:H7 was
5 identified in salami and that was associated with
6 other processing for insufficient lethality from the
7 fermentation and drying process.

8 MS. GREEN: Thank you. This next question
9 is from Dr. Lynn Knipe, Ohio State.

10 You mentioned one study from a culture
11 company that had validated high temperature
12 fermentation to achieve low pH. Can you tell us
13 which company had done this?

14 MS. SILVERMAN: Yeah, so the high
15 temperature fermentation and the low pH, that
16 actually comes from the Blue Ribbon Task Force, some
17 of the options involved those combinations, but the
18 starter culture company that has a study that's
19 available is from Chr. Hansen.

20 MS. GREEN: This is the last question that
21 I have in the chat feature and then we'll move on to
22 verbal questions if there are any additional ones.
23 This is from Greg Gunthorp, Gunthorp Farms.

24 Does USDA have an expected deadline on a
25 compliance guideline document on dried, cured,

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 salted or fermented yeast? His estimation is that
2 it was promised 19 years ago.

3 MS. SILVERMAN: Yes. So I do know that
4 there was an interest in this issue since the
5 beginning of HACCP and it has been a challenge of
6 validation.

7 We are working on the guideline, as we
8 mentioned, and are really interested in the feedback
9 from NACMPI before we would put that out.

10 MS. GREEN: Thank you. As a reminder to
11 the Committee members and the audience, you may
12 press #2 to ask a question and please state your
13 name and affiliation for the official record before
14 you ask a question. Are there any additional
15 questions?

16 AT&T EVENT PRODUCER: We have one question
17 here.

18 MS. GREEN: Okay.

19 AT&T EVENT PRODUCER: Dr. Byron go ahead.
20 Your line is unmuted. Dr. Byron, go ahead. Your
21 line has been unmuted.

22 DR. WILLIAMS: Thank you. Byron Williams,
23 Mississippi State University Extension. My question
24 is have there been any documented cases of foodborne
25 outbreaks with these type products since 2010 and 11

1 with the Lebanon bologna?

2 MS. SILVERMAN: No. That's the last -- the
3 2011 is the last documented outbreak associated with
4 one of these types of fermented, salted or dried
5 products produced under FSIS inspection. But there
6 have been some outbreaks in Europe during that time
7 but there haven't been any in the United States.

8 DR. WILLIAMS: Okay. Thank you.

9 MS. GREEN: Victor, do we have any more
10 questions?

11 AT&T EVENT PRODUCER: I don't see any other
12 questions at this time.

13 MS. GREEN: Okay. I have a question in the
14 chat from Dr. Eberly in Maine.

15 Is the draft guidance available for
16 Committee review?

17 MS. SILVERMAN: No, not at this time.

18 MS. GREEN: I don't see any additional chat
19 questions so we'll go ahead and move to the next
20 presentation. Thank you, Meryl. Next slide,
21 please.

22 Next is Robert Witte who will discuss the
23 intended use of intact box beef primal and sub-
24 primal products. I'll go ahead and turn it over to
25 Mr. Witte.

1 AT&T EVENT PRODUCER: Mr. Witte, can I
2 assure your phone is not on mute? Mr. Witte, if you
3 are on the line please press #2 so I can identify
4 your line. Please go ahead.

5 MR. WITTE: There we go. Can you hear me
6 now?

7 MS. GREEN: Yes.

8 MR. WITTE: Okay. Perfect. Thanks, Val.
9 Next slide.

10 I will first begin by reviewing the charge
11 we have placed in front of you today, then cover the
12 history, background and data in more detail to give
13 the charge context and then close the presentation
14 by reviewing the charge again. After the
15 presentation is complete we will open the floor for
16 questions. Next slide.

17 As a basic introduction, STEC is an acronym
18 for Shiga toxin-producing E. coli. Some strains of
19 STEC may cause severe illness due to the presence of
20 Shiga toxin and other virulent factors. STEC can
21 reside in the intestinal track, mouth, hide and
22 hooves of live cattle and can be transferred to the
23 carcass during the slaughter dressing process.

24 It is important to understand that STEC is
25 not inside the raw intact muscle itself. STEC

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 contamination occurs when it is transferred to the
2 meat surface during the slaughter dressing process.

3 Now that we have a general idea of what
4 STEC is and an understanding of where STEC comes
5 from, let's look at why the location of STEC
6 contamination in various raw beef products is
7 important.

8 As a note, any references to E. coli
9 O157:H7 and STEC were changed to STEC in these
10 slides for simplicity and consistency. E. coli
11 O157:H7 and the six non-O157 groups which include
12 O26, O45, O103, O111, O121 and O145 are adulterants
13 in raw, non-intact beef and intact beef products
14 intended for raw, non-intact use.

15 Although there are many other Shiga toxin-
16 producing E. coli, this presentation refers to those
17 7-0 groups which are collectively referred to as
18 STEC in this presentation. Next slide.

19 Unlike other species and pathogens, there
20 is a unique relationship between STEC and certain
21 raw beef products. STEC has a low infectious dose
22 and has been linked to -- with serious, life-
23 threatening human illnesses.

24 Also, raw beef products are frequently
25 consumed in a rare or medium rare state which

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 presents a public health risk as cooking to a rare
2 or medium internal state does not destroy STEC but
3 may be below the product's surface. For these
4 reasons, STEC adulterates certain raw beef products
5 as I will discuss. Next slide.

6 In determining which products are eligible
7 for FSIS sampling for STEC, FSIS distinguishes
8 intact cuts of muscle that are distributed for
9 consumption as intact cuts separately from non-
10 intact products. FSIS also distinguishes intact
11 cuts of muscle that are further processed into non-
12 intact products prior to distribution for
13 consumption from intact cuts that stay intact.

14 Comminuted or non-intact cuts are eligible
15 for FSIS sampling. Intact cuts intended to be used
16 in non-intact products are eligible for FSIS
17 sampling. The photos here show two different types
18 of beef products consumers prepare and eat and
19 illustrate the distinction.

20 On the left are intact steaks. I say
21 intact to mean the meat interior remains protected
22 from pathogens migrating below the exterior surface.
23 The surface is intact and any STEC that's present
24 would be restricted to the exterior only, not inside
25 the steak itself.

1 In this example it's easy to see how
2 heating to even a rare or medium internal state will
3 kill any STEC that is restricted to the outside
4 surface of the steak.

5 On the right you'll see a rare hamburger.
6 FSIS recommends heating ground beef to an internal
7 temperature of 160 degrees Fahrenheit. This photo
8 is used to illustrate the risk posed by eating a
9 rare or medium hamburger. Now, if STEC is present
10 it is no longer restricted to only the outside
11 surface like it would be in the intact steak. It
12 now may be pushed or spread anywhere throughout the
13 ground beef, including to the middle where it would
14 not be killed by the heat applied to the exterior
15 when cooking to a rare or medium internal state.

16 For this reason, FSIS samples and tests
17 intact cuts of beef that are to be further processed
18 into non-intact products prior to distribution for
19 consumption in the same manner as non-intact
20 products, since STEC may be introduced below the
21 surface of these products. Next slide.

22 Currently, FSIS does not sample or test
23 boxed beef primal and sub-primal products for STEC
24 if they are intended for intact cuts. At the heart
25 of the charge today is the concern that these boxed

1 beef primals intended solely for intact use and
2 which are not subject to FSIS's STEC testing are
3 being used to make raw ground beef resulting in
4 STEC-positive ground beef in commerce, illnesses and
5 death.

6 Here are some visuals of boxed beef showing
7 the packaged primals in the box and relative sizes
8 and weights of a primal compared to a consumer-ready
9 packaged steak and ground beef. There is some
10 evidence that retailers buy the boxed beef and use
11 the primals either in whole or trimmings left over
12 after making steaks to create raw ground beef.

13 The charge before you today is if an
14 establishment identifies boxed beef primal or sub-
15 primal products as intended for intact cuts, should
16 FSIS continue not to sample or test these products?

17 If yes, how can the current system be
18 strengthened? If no, what criteria should FSIS use
19 to determine which products should be eligible --
20 should be subject to sampling and testing for STEC?

21 For the purposes of this discussion you'll
22 hear terms like vacuum packaged and boxed beef.
23 Vacuum packaging equipment removes the air and seals
24 the product inside the bag. The sealed primals are
25 then packed -- placed into cardboard boxes and

1 shipped as boxed beef, as shown in the photos here.
2 Those are simply packaging methods, boxed beef in a
3 box. Beef in a box is not required to be vacuum
4 packaged and may not be vacuum packaged in every
5 case.

6 As you will see in future slides, industry
7 associates vacuum packed -- vacuum bagged primals
8 with products intended solely for intact use, hence
9 why I use the term.

10 With that in mind, let's dive deeper into
11 the sampling history. Next slide.

12 In 1994, Mike Taylor, who was the
13 administrator of FSIS at the time, announced that E.
14 coli O157:H7 adulterates raw ground beef and quotes
15 from that speech are on this slide. FSIS began
16 testing for E. coli O157:H7 in ground beef in 1994.
17 Next slide.

18 In 1999, FSIS announced in the Federal
19 Register that in addition to ground beef, raw intact
20 cuts of beef to be processed into non-intact cuts
21 found to be contaminated with E. coli O157:H7 would
22 also be considered adulterated.

23 If the latter two types of the products are
24 found to be contaminated with E. coli O157:H7 they
25 must be made ready to eat, that is to receive a full

1 lethality treatment to produce a product that can be
2 safely consumed without any further cooking or
3 preparation or the product will be deemed
4 adulterated.

5 In 2000, FSIS made it clear that
6 establishments needed to identify the intended use
7 for consumers of the finished product under HACCP.
8 In 2002, in response to data suggesting E. coli
9 O157:H7 was more prevalent than originally thought,
10 FSIS has issued a Federal Register Notice for
11 establishments producing non-intact beef, as well as
12 intact beef, to reassess their HACCP plans for E.
13 coli O157:H7 in light of this new information. Next
14 slide.

15 In 2004, FSIS issued a directive that said
16 FSIS may sample trimmed and other raw ground beef
17 components in response to ground beef positives as
18 they were the source materials used to make the
19 positive ground beef.

20 In 2007, FSIS began testing trim and other
21 raw ground beef components on a routine basis.

22 In 2011, FSIS announced that certain non-
23 O157 STECs are adulterants in raw ground beef, other
24 non-intact beef products and raw intact products
25 intended for use in non-intact products.

1 In 2012, FSIS began analyzing beef
2 manufactured trimmings for those non-O157 STECs.
3 Next slide.

4 In the current version of FSIS's Sampling
5 Directive, that is Directive 10010.1, Revision 4
6 issued in 2015, the list of eligible products is
7 shown here and includes beef of any size that the
8 establishment intends for use in raw, non-intact
9 products or when the intended use is unclear. The
10 directive identifies the bolded items here as
11 products eligible for sampling.

12 Note that the focus is not exclusively on
13 trimmings. Though there are some specific product
14 groups listed, like trimmings and two-piece chucks,
15 it's important to remember that parts of any size
16 and in any packaging can be eligible for sampling if
17 intended for non-intact use or the intended use is
18 unclear.

19 The intended use is very important. As
20 stated in the directive, the product's intended use
21 is a key factor in determining whether FSIS collects
22 samples. FSIS samples products intended for use in
23 raw non-intact products such as ground beef,
24 mechanically tenderized, needled, vacuum-marinated
25 or when the intended use is unclear.

1 Inspection program personnel are not to
2 sample products that the establishment intends for
3 use in intact or ready-to-eat products or product
4 that will receive full lethality treatment at
5 another federally inspected establishment.

6 If the product is to receive a full
7 lethality treatment at another federally inspected
8 establishment, IPP are to verify the establishment's
9 hazard analysis and flow chart show that the product
10 is intended for one of these controlled uses and
11 that the establishment has controls that ensure the
12 product is used as intended. If not, IPP are to
13 collect the sample. Next slide.

14 This where we move to the intended use
15 portion of the HACCP regulations. The HACCP
16 regulations require establishments to identify how
17 the product will be used and consumed to inform
18 their hazard analysis decision making. FSIS
19 primarily relies on the establishment to identify
20 each product's intended use and then FSIS determines
21 which products are eligible for FSIS sampling and
22 testing. Here is that regulation.

23 When an establishment identifies boxed beef
24 primals to be intended for intact use, that intended
25 use is most commonly communicated through posting a

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 statement on the company webpage and/or adding a
2 statement on a bill of lading or invoice when sold
3 to distributors or other customers.

4 As an example, a webpage statement may
5 read, [Establishment Name] produces primal products
6 packaged in vacuum bags intended solely for intact
7 use. [Establishment Name] expects any customer who
8 purchases vacuum packaged primals for other than
9 intact product address that specific usage in their
10 HACCP plan.

11 FSIS currently views this as adequate
12 support for their intended use determination.
13 Currently, when products -- when product is intended
14 for intact use and there is a webpage statement and/or
15 invoice statement, FSIS does not sample and test for
16 STEC. Evaluating this is associated with your charge
17 today. Next slide.

18 Whether it be an issue with the sending of
19 the message or the receiving of the message, the
20 intended use is not being carried out at the retail
21 level. These products continue to be used to make
22 ground beef. If the Committee recommends that FSIS
23 continue to rely on the establishment's intended use
24 and not sample intact beef products for STEC,
25 investigating ways to strengthen this communication is

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 one part of the charge in front of you today.

2 During meetings with groups such as at the
3 Conference for Food Production in a response to
4 recalls and illness investigations, FSIS find
5 retailers to be unaware of the intended use of boxed
6 beef primals or the risk -- or the risks of grinding
7 boxed beef primals.

8 First, the intended use statement does not
9 describe the risks associated with grinding the boxed
10 beef. Second, the intended use statements instruct
11 customers that grind the boxed beef to address that
12 usage in their HACCP plan.

13 It's important to note that retailers do not
14 have Hazard Analysis and Critical Control Points, or
15 HACCP plans, and may not know what HACCP means when
16 reading that statement. Third, retailers are found to
17 be unaware if boxed beef has an intended use or the
18 need to contact the producing establishment to ask
19 about the intended use. The concept is foreign to
20 them.

21 And finally, retailers don't always buy
22 directly from the establishment. They may buy from
23 multiple brokers and distributors based on best
24 prices, delivery dates and demand. These vendors may
25 or may not have -- the intended use statements on

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 their invoices to each retail customer or clarify what
2 the intended use means.

3 To quantify this, in 2019 FSIS began
4 collecting specific intended use data associated with
5 each of the roughly 500 retail ground beef samples
6 FSIS collects across the nation at retail firms. The
7 data is entered by FSIS personnel on each sample form
8 questionnaire for each retail ground beef sample
9 collected.

10 The data shows 82 percent used vacuum packed
11 -- vacuumed primals in whole or trimmings thereof to
12 make the ground beef, 83 percent of the retailers were
13 not aware of the source material's intended use, 93
14 percent of the retailers did not apply any STEC
15 controls to eliminate STEC on the boxed beef primals
16 prior to grinding the beef. Next slide.

17 Webpage and/or invoice statements identify
18 vacuum packaged boxed beef to be intended -- to be
19 solely for intact use and FSIS does not sample and
20 test these products for STEC. Evidence shows
21 retailers use vacuum packaged boxed beef to make
22 ground beef and do not apply additional STEC controls
23 to eliminate STEC on the boxed beef primals prior to
24 grinding. The data shows the producer's intended use
25 is not being carried out.

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 FSIS takes regulatory action, including
2 trace-back activities, initiates recalls, retains or
3 detains affected products, verifies disposition of
4 affected products, conducts public health risk
5 evaluation and food safety assessments and conducts
6 follow-up testing at producers and suppliers in
7 response to illnesses or STEC-positive retail ground
8 beef samples.

9 Currently, FSIS does not take action against
10 the producing establishment or retailer strictly
11 because the intended use is not followed. Too --
12 this, too, is part of the charge in front of you
13 today, to advise FSIS how to report. Currently, there
14 are over 98,000 retail firms and FSIS collects
15 approximately 500 retail ground beef samples each year
16 from retail firms. Next slide.

17 As we close, here's a diagram for those of
18 us that like visuals. To be clear, I am not saying
19 every primal intended for intact use is contaminated
20 with STEC nor am I saying illness results every time a
21 primal intended for intact use is ground at retail. I
22 am not saying either of those. I provide this diagram
23 to illustrate that not all beef products and retailer
24 handles are the same in terms of the STEC controls
25 applied by the producer.

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 Think about if you were that butcher there
2 working in a retail market. Put yourself in their
3 shoes. Would you realize the difference or risks
4 between the two sources?

5 On the bottom, the trimmings in a combo are
6 intact pieces of beef intended for non-intact use.
7 Those products are eligible for FSIS sampling for STEC
8 whereas on the top, the primal is intact beef intended
9 solely for intact use and is not eligible for FSIS
10 sampling for STEC.

11 If you were that butcher, would you know the
12 primal is intended solely for intact use? Would you
13 know what that intended use means, recognize the risks
14 or understand the need to apply a STEC control measure
15 before grinding it or do you just grind the beef you
16 have on hand to keep the retail case full, unaware of
17 any intended use or risks?

18 As discussed previously, evidence shows
19 retailers remain unaware of any intended use of these
20 products. The illustration here shows the gap between
21 the supplier's intended use that may be posted on a
22 webpage which currently helps inform whether a product
23 is eligible for FSIS sampling and how the products are
24 used in commerce.

25 Whether that be grinding the whole primal or

1 trimmings generated after making steaks, retailers
2 make ground beef from primals and producers -- from
3 primals the producer intends to be fully for intact
4 use which has, in certain cases, resulted in recalls
5 and outbreaks. Next slide.

6 FSIS's issuance of the grinding records rule
7 which require establishments and retailers to keep
8 records of the source materials used to create each
9 lot of ground beef has certainly enhanced FSIS's
10 trace-back abilities in response to positives and
11 illnesses.

12 In 2014, FSIS identified three separate
13 ground beef positives through retail ground beef
14 sampling generating three separate recalls. In each
15 of these three positives, the trace-back revealed the
16 retailer made ground beef from source materials
17 intended for intact use. There were two ground beef
18 positives identified through FSIS in-plant testing.
19 Trace-back showed both establishments were separately
20 grinding the same lot of product intended for intact
21 use from the same producing establishment resulting in
22 one recall.

23 There have been three separate illness
24 outbreak investigations and a death associated with
25 retailers grinding primals intended for intact use

1 also resulting in three recalls. The details for the
2 most recent outbreak and recall were posted on the
3 FSIS webpage in July 2020 in an after-action review
4 report.

5 In each of the seven recalls on this page
6 the source materials used to make the ground beef were
7 intended for intact use and not sampled by FSIS at the
8 producer. However, these products did not remain
9 intact as the producer intended.

10 Now, let's summarize the charge placed
11 before you today. Next slide.

12 When considering consumer-ready intact
13 steaks and roasts, FSIS is confident that many of
14 these products will remain intact when sold at retail,
15 for example, individually packaged -- individually
16 vacuum packaged steaks. However, larger primals that
17 are intended solely for intact use, that is vacuum
18 packaged primals, are being used to make ground beef
19 for sale to consumers.

20 FSIS is seeking input on how FSIS can reduce
21 STEC positives, outbreaks, recalls, and deaths that
22 occur when downstream processors are commonly unaware
23 of the product's intended use or the risks of grinding
24 such products. FSIS is requesting the Committee's
25 comments and recommendations in response to the

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 following questions.

2 If an establishment identifies boxed beef
3 primal/sub-primal products as intended for intact
4 cuts, should FSIS continue not to sample or test these
5 products? The follow-up questions are on the next
6 slide. Next slide.

7 If yes, how can the current system be
8 strengthened? Please consider what are all of the
9 options producing establishments should have to
10 communicate their intended use to customers?

11 What steps should producing establishments
12 take to verify the intended use was both understood
13 and followed by the further processor or grinder?

14 What -- how should this be documented or
15 tracked so that the establishment and FSIS know that
16 the product was used as intended?

17 What steps should further processors or
18 grinders take to seek out that intended use
19 information from the producing establishment?

20 In addition to verifying HACCP plan
21 reassessment, what actions should FSIS take at the
22 producing establishment when products intended for
23 intact use are used to make raw non-intact beef?

24 If no, what criteria should FSIS use to
25 determine what products -- which products should be

1 subject to sampling and testing for STEC? Please
2 consider what are the size or dimension thresholds,
3 cuts or product characteristics such as grade,
4 individual versus bulk packaged, et cetera, FSIS
5 should use to be confident the product intended for
6 intact use will remain intact through consumer
7 cooking?

8 And then for both, what changes to FSIS
9 sampling and testing, HACCP verification instructions
10 or regulations does the Committee believe would help
11 effect the Committee's recommendations? And what
12 outreach methods and messages would be most effective
13 to federal establishments and retail firms?

14 And with that we can open it up for
15 questions.

16 MS. GREEN: Thank you, Robert. Do we have
17 any questions from the Committee members? You may
18 unmute yourself and ask a question.

19 MS. WILLIAMS: This is Sherri Williams with
20 JBS and two things, actually. First was a point of
21 clarification for one of your statements on slide 15.
22 It almost sounded like you were saying that the
23 slaughterhouse or the manufacturers of the primals
24 treat the intact use products intended and the non-
25 intact use products differently with different

1 interventions or different processes at the
2 manufacturer and so I just wanted to clarify and see
3 if that's what you were saying or if there was
4 something else that you had meant by that comment.

5 And secondly, on 16 -- slide -- page 16, if
6 you could go into a little bit more detail when you
7 talked about the recalls where it's revealing that
8 retailers make ground beef from the source materials
9 intended for intact use. Was that the actual whole
10 item, like the whole sub-primal or was it trimmings
11 derived from intact sub-primals? Thank you.

12 MR. WITTE: Thanks for that. Yeah. Sorry,
13 in slide 15 I just mostly wanted to indicate the
14 products that FSIS does or, you know, that are or are
15 not eligible for sampling so I apologize if that -- if
16 I didn't communicate that well.

17 In terms of 16 for the outbreaks and
18 retailers there, we don't -- I'm not aware of whether
19 they just -- they ground the whole primal or just the
20 trimmings from the primal. Some of those are, you
21 know, each has an individual situation and some have
22 multiple retailers or different things happen at
23 different retails but I don't have the evidence on
24 each one of whether they ground the whole thing or
25 just parts of the primal or, you know, a bigger piece

1 or whatever, the steak or --

2 MS. SORSCHER: Hi. This is Sarah Sorscher
3 from Center for Science in the Public Interest. I had
4 a question I submitted in writing but I'm curious if
5 you assess what percent of retail samples, you know,
6 for which the retailer doesn't know the intended use
7 but I'm just curious if you've assessed, you know,
8 looking overall at boxed beef produced in this
9 country, what percentage is currently eligible for
10 testing as intended for non-intact use and then, you
11 know, if you were to test it all, what
12 -- how greatly would that expand your -- the sampling
13 that you needed to do?

14 MR. WITTE: This one might be a good one, I
15 guess, we could correlate with industry on it in terms
16 of the percentage, I mean whether we do volume, you
17 know, exiting a slaughter facility, how much turns
18 into trim, how much turns into primals, how much turns
19 into certain products that are or aren't eligible. I
20 don't have that in front of me here today. I don't
21 know if anybody else can speak to that in terms of
22 volume or ratios.

23 MS. EDELSTEIN: This is Rachel. I don't
24 think that we have assessed that. We recently put
25 out, you know, some updates, some of our testing

1 updates for our -- when we were -- in our cost updates
2 for when we were assessing the effects of sampling all
3 of the beef products for non-O157 STEC so we can go
4 and check in there and see if we have any estimate in
5 there and get back to you.

6 Also, well, since I'm on. Did we address
7 the first question about -- from Sherri about, you
8 know, if they're applying different interventions at
9 the establishment depending on whether the product's
10 intended for intact or non-intact?

11 MS. GREEN: Hello, Rachel. This is Val
12 Green again. Is that question in the chat feature?

13 MS. EDELSTEIN: Well, she asked it verbally.

14 MS. GREEN: Oh, I'm sorry. Okay.

15 MS. WILLIAMS: Hi, Rachel. This is Sherri
16 Williams. I believe it was just a point of
17 clarification and I believe Mr. Witte clarified that
18 it was an example of just the processes and not
19 insinuating that different processes were -- so I feel
20 sufficient with that. Thank you.

21 MS. EDELSTEIN: Okay. Thanks.

22 MS. GREEN: All right, Victor. I believe I
23 believe there are some hands raised to ask a question.

24 AT&T EVENT PRODUCER: If they were on the
25 speaker line, oh, here we go. Dr. Byron, your line is

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 unmuted. You can go ahead.

2 DR. WILLIAMS: Thank you. Byron Williams,
3 Mississippi State. Just curious Mr. Witte, what
4 percentage of the intact carcasses are being sampled
5 at the slaughter facilities prior to then undergoing
6 breakdown?

7 MR. WITTE: So we try to avoid any carcass
8 sampling at all costs. We sometimes have procedures,
9 a trace-back or some of that but we try to get it as
10 far downstream as we can within the establishment.

11 So once that carcass is broken down and we
12 know what, you know, each product, each direction each
13 product's going its own way, we can differentiate
14 those from, you know, being intended for intact use
15 from those being, you know, whether it's made non-
16 intact on site or those, you know, sent out that are
17 intended for non-intact use.

18 So in terms of actual carcass sampling
19 that's essentially zero. Does that answer your
20 question?

21 DR. WILLIAMS: Yes, sir.

22 MS. GREEN: Are there any other questions
23 before I move to the chat -- the questions from the
24 chat?

25 AT&T EVENT PRODUCER: I don't see any other

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 questions at this time.

2 MS. GREEN: Okay. This next question is for
3 Rachel Edelstein. This is from Ray Gunthorp from
4 Gunthorp Farms.

5 Have we ever considered that the Meat and
6 Poultry Act gives some of the largest corporations and
7 the food supply extensions from USDA meat and poultry
8 inspections and prestige retailers yet the same
9 implementation of the Meat and Poultry Act and FDA
10 Model Food Code makes it very difficult for very small
11 farmers to access inspection options? Are we
12 addressing this in the Committee, how the current MPA
13 and FDA Model Food Codes are not necessarily equitable
14 to small farmers' access to the marketplace?

15 MS. EDELSTEIN: Hi. To actually require
16 that the retailers be under the same requirements as
17 the federal inspected establishments would take a
18 legislative change. So that's outside the scope of
19 the Committee but if the Committee has -- I mean we're
20 definitely interested in if there's suggestions from
21 the Committee on how to better -- I mean, some of the
22 things that Bob Witte raised, if there's better ways
23 to communicate and ensure that the intended use is
24 understood throughout the process, that's the sort of
25 thing that the Committee, you know, that we're

1 interested in input on. Or, you know, if there's
2 different -- there's different, you know, any
3 recommended changes for, you know, in our verification
4 activities, too.

5 MS. GREEN: Thank you. The next question is
6 from Casey Gallimore, Meat Institute. Has there been
7 an attempt to educate retailers on intended use in the
8 past? If so, what was the program so we might
9 identify potential ways to improve communication? If
10 not, are there plans to do so in the future?

11 MR. WITTE: I can maybe touch on some of
12 this. We have proposed the topic a couple times for
13 the Conference of Food Protection so, you know, they
14 can reach their customers and make the concept more
15 visible, I guess you could say. I believe industry
16 has presented to different, I guess, retail
17 organizations or, you know, the concept of intended
18 use.

19 We also have discussed how to best
20 communicate that message publicly. We don't have a, I
21 guess, pamphlet or a brochure or anything right now
22 but that may be part of the recommendations here of
23 how do we, you know -- like I said earlier, I don't
24 know if it's part of the sending or the receiving of
25 the message and then also provided that note that

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 there's, you know, just under 100,000 retailers out
2 there, you know, how best do we reach all of them?
3 How do we get that message out? So there has been
4 some in the past but I think, you know, this group can
5 really give us some information on how to move forward
6 with that if that's part of the recommendation.

7 MS. BARLOW: This is Kristina Barlow. I'll
8 just add to what Robert stated. We did submit an
9 issue to the Conference for Food Protection biannual
10 meeting that's available publicly on the CFP website.
11 We can provide that. And that recommends updating the
12 current guidance that the CFP provides for grinding
13 recordkeeping to include -- and the feedback that
14 we've received from the conference, as Robert
15 mentioned previously, is that they don't understand
16 that there's different regulatory requirements for the
17 safety of ground beef. They think that all beef is
18 treated the same as far as the feedback we've received
19 from that conference --

20 MS. GREEN: Thank you, Kristi. Victor, are
21 there any other questions?

22 AT&T EVENT PRODUCER: I don't see any --

23 DR. HARRIS: This is Joe Harris from the
24 Southwest Meat Association. Just a question for Mr.
25 Witte. I'm just trying to get a better feel for the

1 scope of what we're talk -- the problem. I believe
2 you told us on one of your slides that there are about
3 500 retail samples per year being collected so since
4 2014 there's been three positives identified through
5 that program and also since 2014 there's -- you said
6 two ground beef positives through FSIS in-plant
7 testing.

8 Relatively speaking, how many samples per
9 year are done to the FSIS in-plant testing? Two
10 positives over a six-year period doesn't sound like
11 that many.

12 MR. WITTE: Yeah. So this presentation is
13 about the intended use part, so just things that fall
14 under that umbrella. So we collect roughly 500 ground
15 beef samples at retail every year. So, you know,
16 obviously plus or minus, but that's the target, around
17 500 a year. And then in terms of federal
18 establishments we collect about 10,000, again, plus or
19 minus. And then trimmings, you know, they're all kind
20 of different. They all have their own numbers there.

21 So the three positives from ground beef are
22 the ones that have an intended use impact as part of
23 this discussion and are coming out of that 500 a year.
24 The two positive ground beefs are not the only ground
25 beef positives we've had. It's just those are the

1 ones that fit under this presentation, this topic and
2 then the three, you know, the three outbreaks are, you
3 know, not positive sample related. Does that answer
4 your question? That makes sense?

5 DR. HARRIS: Yes, so I was just trying to
6 get a little bit of a feel for the denominator that
7 we're dealing with.

8 MR. WITTE: Yeah, I mean, it's hard to say
9 in terms of, you know, when we collect a sample we
10 don't say the intended use in those. I think we can
11 go back to some of the, you know, the 500 that we
12 collect from retail and we can work through that
13 intended use information that we got on those
14 questionnaires so if we go back to slide 13 where it
15 talks about vacuum-packaged primals, it talks about,
16 you know, did they or didn't they apply additional
17 controls.

18 And so, you know, I don't want to make the
19 blanket statement that every vacuum packaged primal in
20 every case is intended for intact use. It's just, you
21 know, without having our investigators go through a
22 lot of paperwork to track back every, you know,
23 individual source for a sample, whether it comes back
24 positive or not, we collect this kind of high level
25 information and so that only started in 2019 so about

1 a year, year-and-a-half old.

2 But in terms of, you know, data prior to
3 that we didn't collect the intended use information
4 for every ground beef sample so I just -- I can't
5 speak to which ones were -- came from product intended
6 for non-intact use versus those that came from
7 products intended for intact use.

8 DR. HARRIS: Thank you.

9 MS. GREEN: Thank you. And just for the
10 audience, as a reminder please press #2 if you have a
11 question. State your name and affiliation for the
12 official record before you ask a question. I have a
13 question from Dr. Eberly, State of Maine in the chat
14 section.

15 If both FSIS and large slaughterhouses are
16 aware that many primals labeled intact are likely to
17 be used for non-intact use, does this represent a
18 known hazard they should address in their own HACCP
19 system?

20 MR. WITTE: So I think this is part of the
21 discussion, right. I mean, in terms of labeling I --
22 you know, just to point there, there can't be intended
23 use labeling so we don't allow a label to say the
24 statement "Intended for Intact Use." So -- but in
25 terms of the -- your, you know, your general premise

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 there, yes, that's part of the discussion here of if
2 an establishment, you know, considers that intended
3 use as part of the decision making and then later
4 evidence shows that it's not being followed, that's
5 part of the charge here is how should FSIS do that?
6 How should that be evaluated?

7 So yeah, it sounds like you're on the right
8 track. I agree with you and, kind of, the answer to
9 that will come as part of our discussions, I think,
10 today.

11 MS. SORSCHER: Hi. This is Sarah Sorscher
12 from CSPI, again. Can you clarify the statement you
13 just made saying that you can't have a label -- can't
14 be intended use? What is preventing you from
15 requiring that of establishments?

16 MS. EDELSTEIN: We wouldn't -- I'm sorry.
17 We wouldn't -- this is Rachel Edelstein. We wouldn't
18 approve that kind of label.

19 MS. SORSCHER: Can you explain a little more
20 the reasoning there?

21 MS. EDELSTEIN: We have -- because we don't
22 -- our position has been we don't want labeling to be
23 used as a control.

24 MS. GREEN: Victor, are there any other
25 questions?

1 AT&T EVENT PRODUCER: No, I don't see any
2 other questions. There's a speaker with a hand reach.
3 Your line is currently unmuted so you can go ahead.

4 MS. CONKLIN: This is Tina Conklin with
5 Michigan State University. Do you not label -- so if
6 it has tested positive, combos that would be tested as
7 tested positive for cooking only so you are, in fact,
8 defining an intended use on that?

9 MS. EDELSTEIN: We do allow "For Cooking
10 Only" and we have -- and we've put out guidance and
11 instructions for how to review, I mean, you know, how
12 to approve and how inspectors would review the use of
13 that label. If the product is also positive it
14 couldn't just say -- it would have to go under a seal
15 or other kind of control to a cooking establishment.

16 MR. WITTE: Yeah, and what we're really
17 trying to get as is everything is controlled through
18 HACCP so that "For Cooking Only" label we do allow
19 that but it's completely voluntary. That product
20 still must meet the same standards whether it has that
21 label or not under its HACCP system.

22 So if they're making a decision, it's -- you
23 know, it's for cooking only, it's intended to be
24 cooked at a federal establishment, they must show that
25 it was sent to a federal establishment for cooking

1 through HACCP independent of whether they put the
2 label on it or not. And then, like Rachel said, if
3 it's positive that's part of their corrective actions
4 to show how that product was cooked, again, whether it
5 had that label on it or not. The control still comes
6 through HACCP so use of that label is voluntary.

7 MS. CONKLIN: And then just to clarify one
8 other point so right now you don't have the -- you
9 don't let -- sort of use labeling as a control but you
10 do let them put these statements on their websites
11 that say this is intended for intact use and if you
12 decide to grind it you have to take account for that
13 in your HACCP plan.

14 What is the -- what are retailers supposed
15 to do in their HACCP plan when they're getting beef
16 that, you know, wasn't tested for STEC and they don't
17 know if it contains STEC and how can you grind that
18 and in a way that accounts for the safety risk?

19 MR. WITTE: And I think these are part of
20 the discussions we're going to have today. I don't
21 want to dodge your question or make it seem like I'm
22 intentionally not answering it but this is the
23 question posed of, you know, the retailers don't have
24 HACCP plans and so -- but to the same point what do
25 they do? How are they informed? You know, what

1 actions are expected of them? Do they know that?

2 You know, it's that two-way communication
3 of, you know, here's sending and receiving of the
4 message and so I think that's going to be part of our
5 discussions today of how does that message get to them
6 and what is expected of them in terms of, you know, do
7 they buy that or not? Do they apply additional
8 controls or not? You know, how do they work with
9 their producer to get the products that they want
10 based on what they're going to produce?

11 Yeah, I'm sorry I can't give you a good
12 answer. I think that's part of our discussion today.

13 MS. GREEN: Are there any other questions
14 for Robert Witte?

15 AT&T EVENT PRODUCER: I don't see any at
16 this time.

17 MS. GREEN: Okay.

18 MS. EDELSTEIN: Val, I thought there was one
19 about -- above about equivalence and I thought I saw
20 one about bench trim, too, in the chat.

21 MS. GREEN: All right. I'd like to circle
22 back to Meryl Silverman, a question on equivalence I
23 believe it's for her. This is from Greg --

24 MS. EDELSTEIN: Actually, I can probably
25 answer that, Val.

1 MS. GREEN: Okay. From Greg Gunthorp,
2 Gunthorp Farms.

3 Is your determination on equivalency of
4 foreign inspection systems for dried products being
5 imported into the U.S. consistent with U.S. inspection
6 activities?

7 MS. EDELSTEIN: Yes. Again, this is Rachel.
8 If the country has been -- if we've determined that
9 it's equivalent to ship these types of products to the
10 United States we are -- we have verified and we verify
11 on an ongoing basis that the country maintains
12 inspection procedures for these products that are
13 comparable to the ones -- at least equivalent to the
14 ones that FSIS is using.

15 MS. GREEN: Thank you, Rachel. Are there
16 any other questions for either Meryl Silverman or
17 Robert Witte? All right. I see one from Ray
18 Gunthorp, Gunthorp Farms.

19 Does STEC testing volumes in FSIS
20 establishments mirror Salmonella testing, 17K in large
21 plants, 173K in small plants and 105K tests in very
22 small plants from 1998 to 2013? Does the testing
23 frequency represent the industry? Would it do intact
24 testing coincide?

25 MR. WITTE: So I can touch on that, I guess,
Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 briefly. So yeah, they mirror each other in the fact
2 that right now ground beef samples are co-analyzed so
3 one sample is collected and it's analyzed for STEC and
4 Salmonella at the same time so when we collect a --
5 sample it gets both analyses. In terms of the number,
6 I'd have to double check on, you know, the timeframe
7 and then breakdown by HACCP size.

8 But right now it's volume based, based on
9 what, you know, the volume that is output from that
10 establishment under each eligible sampling project.

11 So we sample before the non-intact process,
12 so trimming and other raw ground beef components, and
13 then we sample the product that comes out of the
14 grinder, so ground beef. And so, you know, based on
15 what that establishment produces on a volume scale is
16 what that, you know, establishment is eligible for.

17 Not every establishment reaches the max
18 every month but, you know, our numbers are based on a
19 volume measurement. I'd have to double check in terms
20 of exact numbers if that's, you know, before that date
21 range for the last whatever, 15 years and something
22 like that. So if that's needed I can -- we can circle
23 back on that and provide that to you later.

24 MS. EDELSTEIN: And just to follow up so
25 last part of that question, the new intact testing we

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 haven't made a decision yet so I don't think we can
2 answer that question yet.

3 MS. GREEN: Thank you, Rachel. We have a
4 few minutes left before we break for lunch. Are there
5 any other questions or any additional questions?

6 MS. EDELSTEIN: There was a question above
7 about bench trim. Did we answer that one?

8 MS. GREEN: Would that be explain the MT
9 testing process for bench trim?

10 MS. EDELSTEIN: Yeah, that one.

11 MS. GREEN: Okay. Well, Sherri Williams was
12 asking can you explain the MT testing process for
13 bench trim? What does it mean? Why is it done, et
14 cetera?

15 MR. WITTE: I can touch on that, Rachel,
16 unless you want to. So bench trim, it's a phrase we
17 use to describe that sampling project. So the idea
18 here is this bench trim sampling occurs at a federal
19 establishment. So a federal establishment is say a
20 say a slaughter -- let's just start from the
21 beginning.

22 A slaughter establishment produces products
23 intended for intact use and products intended for non-
24 intact use. Those products that are intended for non-
25 intact use or when the intended use is not clear are

1 sampled at that slaughter establishment.

2 As we've described here there are certain
3 situations where these primals are -- or any other
4 product intended for intact use goes out into
5 commerce, those products aren't eligible for sampling.
6 So what happens if another establishment buys that
7 product and wants to make ground beef?

8 That's where the bench trim sampling project
9 comes in. That only applies to federal establishments,
10 you know, that have HACCP plans and have, you know --
11 that obviously go through the HACCP process to
12 implement their controls as they see fit, you know,
13 based on the situation. And then those products are
14 then eligible at the downstream processor -- processing
15 establishment that makes, you know, non-intact products
16 out of those products the supplier originally intended
17 for intact use. So it's kind of a relationship between
18 establishment one and establishment two.

19 There's no bench trim sampling in retailers.
20 That's -- the ground beef sampling, the 500 a year that
21 we do, the sampling we do at retail there is no bench
22 trim. Did that help, Sherri?

23 MS. WILLIAMS: Yes, Thank you.

24 MS. GREEN: Thank you, all. Seemed like we
25 had a lot of interesting questions for both Meryl and

1 Robert.

2 Right now, we'll go ahead and break for
3 lunch and I'm going to ask the event host to post the
4 link for not only the panelists but also the attendees
5 and the respective chat sessions so they can log back
6 in after lunch. We will resume at 1:15 p.m.

7 (Off the record at 12:08 p.m.)

8 (On the record at 1:15 p.m.)

9 AUTOMATED RECORDING: Your line is now
10 unmuted.

11 AT&T EVENT PRODUCER: Welcome, and thank
12 you for joining today's conference, National
13 Advisory Committee on Meat and Poultry Inspection
14 Public Meeting.

15 My name is Victor Almeida, and I'll be your
16 event producer for this conference. Before we
17 begin, please ensure you have opened the chat panel
18 by using the associated icons located at the bottom
19 of your screen.

20 If you require technical assistance, please
21 reach out to the event producer. All audio lines
22 have been muted until the Q&A portion of the call.
23 We'll give instructions on how to ask a question at
24 this time.

25 To submit a written question, select all

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 panelists from the dropdown menu in the chat panel,
2 enter your question in the message box provided and
3 then send.

4 With that, I'll turn the call over to the
5 moderator, Val Green.

6 MS. GREEN: Thank you, Victor. Good
7 afternoon, everyone. We'll go ahead and resume the
8 meeting. And for the record, I will go through the
9 two charges in their respective subcommittee
10 members.

11 But before I do, I'd like to remind you
12 there's a slight modification to the agenda. We did
13 not receive any requests for public comment, so
14 we'll extend the deliberation period to 4:45 p.m.
15 At that time, we'll reconvene for the day's wrap-up.

16 I would also like to note that the
17 deliberations are open to the public. Members of
18 the public may jury either subcommittee. Next slide.

19 Subcommittee 1: this committee will focus
20 on the validation of ready-to-eat, shelf-stable,
21 multi-hurdle lethality treatments.

22 On this subcommittee, we'll have Jennifer
23 Eberly, Tina Rendon, Patricia Curtis, William
24 Battle, Kimberly Rice, Curtis Knipe, Amilton De
25 Mello, Thomas Gremillion, and Greg Gunthorp.

1 Subcommittee 1 will stay on the main line,
2 so there's no need to log off and dial into another
3 web conference. Again, Subcommittee 1 will stay on
4 the main event line. Next slide.

5 Subcommittee 2 will focus on FSIS testing
6 of boxed-beef primal and sub-primal products for
7 Shiga toxin-producing E. coli.

8 On this committee, we'll have Jimara Avery
9 -- sorry, Jimmy Avery, Tina Conklin, Casey
10 Gallimore, Sherri Williams, James Jenkins, Joseph
11 Harris, Byron Williams, Sarah Sorscher, Denise
12 Perry, and Alice Johnson.

13 Subcommittee 2 will log off the main event
14 line and join using the instructions provided in the
15 email message that I sent earlier. Members of the
16 public may join Subcommittee 2 by following the
17 breakout instructions in the chat message.

18 Are there any questions or comments before
19 you break into the groups? Please press #2 if
20 you're a member of the audience and you have a
21 question. Committee members may unmute your phone
22 if you have a question.

23 MS. EDELSTEIN: Sorry, Val. This is
24 Rachel. If the public joins, are they -- they're
25 just -- they're in listen-only mode, right?

1 MS. GREEN: That's correct. The public
2 will be in listen-only mode. Anyone have any
3 comments?

4 AT&T EVENT PRODUCER: I don't see any
5 questions coming in through the phone.

6 MS. GREEN: Thank you, Victor. So, at this
7 time, Subcommittee 1, please remain on the line so
8 you can begin your deliberations. And, Subcommittee
9 2, you can log off this main event line and follow
10 the instructions to log into the Subcommittee
11 Deliberations line.

12 So, Subcommittee 1, while folks are dialing
13 off, before getting started, what I'd like to do is
14 introduce the subcommittee designated federal
15 official, and that's April Regonlinski. And I'll go
16 ahead and turn it over to April.

17 MS. REGONLINSKI: Hi, I'm April Regonlinski
18 with the Office of Policy and Program Development in
19 FSIS, and I'm the designated federal officer for
20 this subcommittee today.

21 I think we're going to start with can each
22 of the subcommittee members please reintroduce
23 themselves for the record today?

24 MR. GREMILLION: This is Thomas Gremillion
25 with Consumer Federation of America.

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 MS. RENDON: Tina Rendon with Pilgrim's
2 Pride Corporation.

3 DR. CURTIS: This is Pat Curtis. I'm with
4 North Carolina State University.

5 MS. RICE: Kim Rice, Rose Acre Farms.

6 DR. KNIPE: Lynn Knipe, Ohio State
7 University.

8 DR. DE MELLO: This is Amilton De Mello,
9 University of Nevada, Reno.

10 DR. EBERLY: Jennifer Eberly, Maine
11 Department of Agriculture, Conservation, and
12 Forestry.

13 MR. GUNTHORP: Greg Gunthorp, Gunthorp
14 Farms. I don't see anything on my screen though,
15 don't know whether I'm supposed to.

16 MS. REGONLINSKI: That's fine. I think
17 we're just waiting for Tina.

18 MS. RENDON: Tina Rendon is here. Can you
19 hear me okay?

20 MS. REGONLINSKI: Yes, I can. Thank you.
21 I think that is all the members of the subcommittee.
22 Is there anyone else?

23 (No response.)

24 MS. REGONLINSKI: So, I'm now going to turn
25 it over to the subcommittee to select a chairperson

1 before you start discussing the charge. Please
2 remember to identify yourselves for the record
3 whenever you speak.

4 DR. EBERLY: This is Dr. Eberly in Maine.
5 I'd like to nominate Dr. Curtis as I saw from her
6 profile that she did serve on this committee before.

7 MS. RICE: This is Kim Rice. I'll second
8 that.

9 DR. CURTIS: Thanks, Kim.

10 MS. RICE: I knew you'd love that.

11 DR. CURTIS: Believe me, I'd -- I'm more
12 than willing for someone else to do it, if they
13 would like. No, I would be happy to try to lead the
14 group.

15 So, April, are we going to be voting or are
16 we -- hopefully --

17 MS. REGONLINSKI: Sure. That is probably
18 the best thing to do. So, can everyone please vote?
19 Just say yes with your name, if you vote that Pat
20 Curtis should be the chairperson. Thanks.

21 MS. RENDON: Tina Rendon, yes.

22 MS. RICE: Kim Rice, yes.

23 DR. EBERLY: Jennifer Eberly votes yes.

24 DR. KNIPE: Lynn Knipe, yes.

25 MR. GUNTHORP: Greg Gunthorp, yes.

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 (Audio distortion.)

2 MS. REGONLINSKI: Hello?

3 DR. DE MELLO: It's very hard to hear you.
4 Your voice is breaking up a little.

5 MR. GREMILLION: Thomas Gremillion -- I
6 vote yes.

7 MS. REGONLINSKI: Okay, Pat, so I'm going
8 to turn it over to you.

9 DR. CURTIS: Okay, this is --

10 MS. SILVERMAN: We're on the subcommittee
11 deliberations.

12 DR. CURTIS: Okay, for clarification, I'm
13 leading the discussion. Somebody else is taking the
14 notes. Is this correct?

15 MS. REGONLINSKI: Yes. That's correct.

16 DR. CURTIS: Okay. And then Carrie Clark
17 should be taking the notes. It should be starting
18 to show up on your screen.

19 MS. SILVERMAN: Okay. Yes. And this is
20 Meryl Silverman. I am here to -- if you have any --
21 if the committee has any questions. And also, I
22 know there was a question earlier about the
23 guideline, and although we did prepare that to share
24 today, I am available to answer any questions about
25 what the agency plans to include in it.

1 DR. CURTIS: Okay, and we should get
2 through both of these questions today? Or what is
3 the plan for today and tomorrow? Can you clarify
4 that for us?

5 MS. SILVERMAN: Yes. So, you have until
6 4:45 for the deliberations today. But if you need
7 more time and need to continue into tomorrow, please
8 let me know at 4:15, and I will let Val Green know,
9 and she can schedule time for tomorrow morning to
10 wrap up.

11 DR. CURTIS: Okay, thank you. So, I'm
12 going to just walk --

13 MS. SILVERMAN: And then Carrie -- and
14 then, I'm going to say, Carrie Clark will be taking
15 notes and will help you write up the report from the
16 subcommittee which is -- it will be shown on the
17 screen. So, you can direct things to her if you
18 want her to make changes or anything else like that.

19 DR. CURTIS: Okay, and that's what we're
20 presenting on this afternoon?

21 MS. SILVERMAN: You would be presenting it
22 tomorrow, but you could finish this afternoon.

23 DR. CURTIS: Okay. Just wanted to clarify.
24 Okay, so let's open the floor for discussion. We
25 can start with our first question about the actions

1 that FSIS should take when it's determined that an
2 establishment lacks scientific support for that
3 lethality treatment of a fermented, salt-cured or
4 dried product.

5 As we can see on the screen, that they had
6 several options, but there may be other options.
7 I'll just put that to the floor for discussion of
8 the thoughts of committee.

9 MR. GREMILLION: Hi, this is Thomas
10 Gremillion, Consumer Federation of America. I guess
11 I didn't really understand the presentation. I
12 mean, I understand that, when this happens now is
13 when FSIS determines that an establishment lacks
14 scientific support, they take enforcement action
15 pursuant to the regulations that are there, I guess,
16 stagewise, from A to Z.

17 And what I didn't really gather was what's
18 wrong with the status quo. You know, if this is --
19 there's an outbreak in 2011. I didn't hear, really,
20 that small processors or some producers are having a
21 tough time responding to the enforcement actions.

22 So, I guess I just wanted to know kind of
23 what are we -- what problem are we trying to fix
24 here?

25 MS. SILVERMAN: Yes, this is Meryl

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 Silverman. So, I can tell you from FSIS's
2 perspective and then hopefully turn it to the
3 committee.

4 So, as I mentioned, you know, in order for
5 an establishment to come into compliance with those
6 regulations, they would need to be able to provide
7 scientific support. And so what I was trying to
8 address is that it's very hard for them to readily
9 turn to what's free and available, like a journal
10 article or guidelines, and come up with that,
11 because it is either not -- it doesn't exist or it
12 doesn't match what they're actually trying to do.

13 And so, then that really leaves them with
14 one option, which is conducting a proprietary
15 challenge study where they go to a private lab or a
16 university and they get a study conducted to match
17 their exact process.

18 I think one key point I say that -- share
19 is that the problem with that is that it's very
20 costly. So, at a minimum, I've seen much less than
21 \$10,000 and it can range to \$30,000 or more. So
22 that is a challenge for small and very small
23 establishments to afford. So that's really the main
24 issue we see from SSI.

25 DR. CURTIS: And is the issue that these --

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 oh, I'm sorry.

2 DR. DE MELLO: Yes, this is Amilton De
3 Mello. So, in my understanding, so -- 1994 outbreak
4 of E. coli, I'm assuming that all of these
5 establishments are federally inspected. Is that
6 correct?

7 DR. CURTIS: This can be done under a
8 retail exemption, you know, if they meet the
9 requirements, but we're focused today on FSIS
10 establishments.

11 DR. DE MELLO: Okay. In order to obtain,
12 you know, your asset is there every day -- or
13 inspectors are there every day you do need to have
14 your HACCP validated. You need to have your
15 validations in place when you do any type of his
16 product.

17 So, I am in the same position of a former
18 fellow asked, you know, I'm quite -- I'm trying to
19 quite understand, regarding the scientific board, in
20 order to have your support documentation or HACCP,
21 you do need to have scientific support. So, that's
22 what I struggle to understand, what is the target
23 here?

24 MS. RICE: So, my question is -- this is
25 Kim Rice with Rose Acre Farm. So is the issue that

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 these different or smaller niche artisanal sausages
2 are not referenced in current scientific data that
3 exist and/or they may have a different, slightly
4 different formulation or fat content or there's some
5 attribute that does not make them an apples to
6 apples to the current research that's out there that
7 supports the semi-dried fermented sausage products,
8 and that's the rub?

9 And so most of them are small processors
10 who, as you said, don't have the financial
11 wherewithal to pay for these validation studies to
12 validate their formula or their specific process
13 because it doesn't match up exactly to current data
14 that's available and free?

15 DR. CURTIS: Yes. So, it's both. So, in
16 some cases, for some products, there is not a single
17 study available that would be sufficient for
18 validation. In other cases, there are a few there.

19 They are, but the processor just does
20 something different, like you indicated. They have
21 a different formulation, a different diameter,
22 different temperature, and so the scientific support
23 would not match from a validation standpoint.

24 And then to the earlier questions, I mean,
25 definitely we see with new establishments it can be

1 a challenge like a barrier to entry to start
2 producing these products under inspection.

3 If the scientific support doesn't like
4 this, again, that means leading to commission a
5 challenge study. And then, you know, it's only
6 several years ago that we put out our validation
7 guidelines.

8 And so we do still find, when an EIO does a
9 food safety assessment of the establishment, just
10 doesn't have scientific support on file. Or it may
11 be on file, but it's really not a match to the
12 process.

13 MS. RICE: So there are probably some
14 smarter, very smarter people than me on the phone,
15 but ultimately the finished product has certain
16 attributes: pH, water activity, fat content. Does
17 it really matter whether the formulation or the
18 species is the same?

19 Does it matter, necessarily, how it got
20 there? And I know that the answer for some bacteria
21 is, yes, it does matter -- toxin formation and
22 whatnot.

23 But ultimately, if we keep it simple, does
24 it matter that it's not apples to apples if the
25 ultimate finished product doesn't support the growth

1 or won't support the growth over time?

2 DR. DE MELLO: Yeah, this is Amilton. On
3 the top of this comment, right, so even the amount
4 of variability that we have in these broad-based
5 ones, what you are thinking about and having
6 specific research that validates that is specific
7 broad, the only way to obtain that is to have
8 internal validation by using a third party.

9 MS. RICE: Which then gets to the financial
10 perspective. You know, these --

11 DR. DE MELLO: That's right.

12 MS. RICE: -- small processors don't have
13 30 to 50 grand, depending on who you're talking to,
14 to validate a process.

15 DR. DE MELLO: Yep, I agree with that.

16 MS. SILVERMAN: So, has --

17 MS. RICE: And --

18 MS. SILVERMAN: I'm sorry. I was just --

19 MS. RICE: I'm sorry, I just --

20 MS. SILVERMAN: Go ahead.

21 MS. RICE: Go ahead.

22 MS. SILVERMAN: Well, I was going to say
23 that they also generally are not part of a larger
24 trade association that does do this kind of
25 research. So that's all I was going to say, so go

1 ahead.

2 MS. RICE: So, there hasn't been that many
3 outbreaks from this product. Correct, from what the
4 presentation said?

5 So, the people that are producing this
6 product now, are they using one of these, are
7 they -- do they typically collect articles that have
8 portions of, you know, like Option C where they have
9 documents that support part of what they're doing?

10 Or do you know what the producers that are
11 doing that currently actually have? Do they fall
12 and did any of these areas that they're trying --
13 that we're looking at as options?

14 DR. CURTIS: I don't --

15 MR. GUNTHORP: Well, actually, it's a lot
16 of --

17 DR. CURTIS: Go ahead.

18 MR. GUNTHORP: I deal with a lot of very
19 small processors here in Ohio, and several of them
20 are the artisanal processors. And so, I dealt with
21 this and we tried to get validation studies from the
22 starter culture companies.

23 Some are willing to provide information.
24 Jim Backus, who some of you might know, has told me
25 he works very closely, in Florida, with the Diabel

1 (ph.) company. And he says all of these starter
2 cultures have been validated.

3 He said that data is out there. The
4 problem is the data that they have is usually tied
5 to when they've done a challenge study for a large
6 company. So, they won't give everything that the
7 small processor needs.

8 So, that has been a challenge. So now the
9 other thing that -- that's why I raised the question
10 earlier with Meryl, was who was this starter culture
11 company that has done a more complete study or
12 presented more of the data.

13 And so, we were visiting about it. But
14 that's -- so I think that's what these companies
15 have been using just to kind of get by. And I'll be
16 honest with you, I've had several in Ohio. I did
17 everything I could to help them find -- and there
18 were some of these companies said, yeah, we'll send
19 it to you, we'll send it to you, and they never did.

20 And I was actually telling the small
21 companies, if your starter culture company won't
22 provide you with the validation, find another
23 company.

24 And they -- it may actually be working
25 because I just got word back yesterday from a

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 company here in Ohio, in Columbus that, he said he
2 thought most of the people were actually using --
3 most of these small companies who are using the Chr.
4 Hansen cultures.

5 And that's the companies that Meryl had
6 mentioned, was -- had done the more complete study.
7 But I just -- I think that's the challenge, is they
8 they are -- and the other challenge we get into,
9 too, I will put this out and maybe you already know
10 this.

11 A lot of these people have a culinary
12 background and food safety is not necessarily their
13 highest priority. It's the flavors and the
14 experience and that sort of thing, and so that's
15 another challenge that I'm sure inspection has, that
16 they just haven't been brought up with quite as much
17 of the microbiology and the food safety. So, I'll
18 stop.

19 DR. KNIPE: Yes, I have a question for you.
20 So, I'm assuming that this small processors are
21 federally inspected too, right? Are these federally
22 inspected is --

23 MR. GUNTHORP: Can you repeat that?

24 DR. DE MELLO: Yeah, are these -- are your
25 small processors in Ohio federally inspected? I

1 mean, do you have USDA inspections?

2 MR. GUNTHORP: That was before, and I was
3 going to mention it earlier. We also have state
4 inspections that one of these companies --

5 DR. DE MELLO: Yes.

6 MR. GUNTHORP: One of the companies that
7 has probably the most successful here, it switched
8 to federal. And that due was a totally different
9 problem. But they are now operating under federal
10 inspection.

11 DR. DE MELLO: Because one of the main one
12 requirements in order to put together a HACCP plan
13 is you have to -- part -- in addition, you do need
14 to have letters of a guarantee of the product.

15 So, if somebody is using a culture, right,
16 that culture should have letters of guarantee. And,
17 like you said, I mean, they should have a validation
18 document that they run with somebody else.

19 So, these guys, they do have money. These
20 small processors, they don't, right. But the
21 sellers usually do have it. That's one of the
22 concerns that I have, because it seems that the
23 major gap, right. It's understanding what is
24 documentation's we need. Because this --

25 MS. SILVERMAN: But if you look --

1 DR. DE MELLO: Go ahead, sorry.

2 MS. SILVERMAN: Well, no, go ahead,
3 Amilton. I apologize.

4 DR. DE MELLO: No, no. And it's because we
5 do a lot of things here. You know, I'm director of
6 the only HACCP plan in Nevada who does these things
7 because we're more a cow-calf state.

8 And we went through all these over the last
9 four or five years. And we did our current
10 validations. We did everything. And we have the
11 small producers working exactly the same thing with
12 you guys and crew.

13 What needs to be their HACCP plan, so there
14 is significant amount of ways that we can see if
15 this works or not. When you submit your label
16 application, right, you have all the details right
17 there. You know, these can be -- usually it's
18 tracked by USDA.

19 And they say, hey, what do you need here in
20 order to approve your label? So, if this happens
21 here, it happens with all of us. My major concern
22 is that I don't think that they really -- there's
23 like this gap is actually on the consumer -- on the
24 small producers.

25 It's just -- it is how we can reorient it

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 to, you know, have everything in place to make sure
2 that these producers of safe products are safe
3 products. I'm not sure if I was clear on that.

4 DR. CURTIS: Yeah, so if you look at what
5 they've put together on Slide 16, from my
6 experience, both with non-intact beef and then
7 poultry slaughter and parts, and using
8 antimicrobials, one of the things that we would run
9 up against is if we were using -- let's say, on
10 poultry slaughter antimicrobials, we were using a
11 certain microbial we were using the supplier's data,
12 right, their research that we were using a different
13 novel configuration or a different a different
14 concept -- something was different from the original
15 research, we were told multiple times that we had to
16 go back and redo the work.

17 Because the --

18 DR. DE MELLO: Sorry, Slide 14.

19 DR. CURTIS: Yes. I apologize. Slide 14.
20 Yes. Sorry. Because we were you know, we were
21 diverting or going -- moving away from the way the
22 work was done originally. So, my sense from this
23 conversation, from the agency's perspective, is
24 there are every single step in the process.

25 And if you look at this list, that's what

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 it looks like. They are looking for you to have
2 data or research or back for why you do literally
3 everything you do. So --

4 DR. DE MELLO: It requires -- this requires
5 individual validation then.

6 MS. SILVERMAN: Yes, so this is Meryl. We
7 -- hold on. So, what I -- yeah.

8 COURT REPORTER: Can -- I'm sorry. Can the
9 participants hear me?

10 MS. SILVERMAN: -- to finish the thought,
11 process is we didn't necessarily go back and do
12 that. What we did was we were able to show that
13 what was really important was coverage and contact,
14 time and concentration, right.

15 And so that even though we weren't using
16 the same number of novels or the same configuration
17 of novels, we were still getting the contact and the
18 contact time.

19 And so, there -- you have to be able to
20 take the research, apply it to your facility and
21 make it -- make the argument that what you're doing
22 is in line with the original research. And my sense
23 is that the small guys aren't going to know how to
24 do that.

25 As someone just made the point, both of

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 these folks are from a culinary background. They're
2 not from a science background at all.

3 DR. CURTIS: And that's, I think, that when
4 they change something from a culinary standpoint, it
5 could impact the -- something. It may not impact
6 the starter culture, but it may affect something
7 else that would impact food safety.

8 MS. SILVERMAN: Correct. And then --

9 COURT REPORTERS: Can the speakers hear me?

10 MS. SILVERMAN: -- they may not. But they
11 have to be able to defend that it doesn't, right,
12 which goes back to the ultimate, the end-product and
13 the characteristics of that product that either
14 don't support the growth or you've got that five log
15 kill through another method or five log or two log.

16 I was getting so confused when we going
17 through it. I'm not sure what log you're supposed
18 to get. But yeah.

19 DR. DE MELLO: Well, if you have a product,
20 contact leader is five log of someone else, you are
21 really in trouble because five log is a lot, right?
22 And that requires five log reduction, so -- for RD.

23 So, there's a lot of things that need to be
24 discussed here.

25 DR. EBERLY: So, this is -- sorry, this is

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 -- is it okay or --

2 DR. DE MELLO: Go ahead.

3 DR. EBERLY: So, this is Dr. Eberly in
4 Maine. So, I am basically a regulator, right? I'm
5 just FSIS, but on the state level.

6 So, I guess I just wanted to make a couple
7 of comments briefly. I see the quandary here for
8 FSIS because I have -- I fact the same thing. You
9 know, I get this just a pile of journal articles
10 that kind of support it, but not quite.

11 But there's nothing else out there. And I
12 feel for my processors, all of which are small
13 processors, because it's very difficult for them.
14 They don't have the financial resources to do their
15 own studies.

16 And, you know, their companies are very
17 proprietary and not willing to release data that
18 they have -- somebody else has done and paid for in
19 order to help them.

20 I guess my feeling is that, you know, I'm
21 not -- looking at these choices here, I'm not super
22 keen on, you know, B, just letting them do whatever.
23 But I'm also not particularly keen on A, which is
24 basically shutting down anybody who doesn't have
25 \$50,000 to do a channel study because -- for two

1 reasons.

2 First of all, it seems a little unfair,
3 right. It seems like we're getting -- I mean, one,
4 this large industry -- largest slaughterhouses who
5 have more money, right, will be able to advance in
6 the marketplace as opposed to a smaller facility.

7 And not that their not, you know, the thing
8 that's ruining all our lives at the moment, but
9 COVID has kind of just demonstrated that small
10 processors are a really important part of the makeup
11 of the meat industry.

12 When they were this year with a larger
13 facility, there was some smaller facilities that
14 were the ones who have got planned and still are
15 booked until next year. Oh, -- well passed next
16 year.

17 So, I do you think there is some -- the
18 agency perhaps needs to be a little more flexible
19 when it comes to accepting journal articles that
20 maybe they aren't, as someone else said previously,
21 maybe it wasn't the exact novel, right?

22 I'm not saying no to completely disregard
23 changes. I mean, there's -- some changes are
24 significant. But I do think there needs to be some
25 flexibility in what USDA is going to exercise and

1 it's actually going to fund studies to help these,
2 you know, publicly funded studies, right, that
3 anybody can access.

4 I think in the meantime, they should
5 consider at least doing case by case, you know,
6 looking at what somebody said, does the novel
7 matter? Does the temperature matter? Does the
8 whatever matter? So, I'm done -- sorry.

9 COURT REPORTERS: I'm sorry to interrupt,
10 but if all the speakers on the line could please
11 state your name each time you speak, for the record,
12 please? Thank you.

13 MR. GUNTHORP: This is Greg Gunthorp.

14 MS. SILVERMAN: Hi, this is -- go ahead.

15 MR. GUNTHORP: Oh, thank you. I'm a small
16 farmer in a very small processor in Indiana,
17 Gunthorp Farms. We actually produce the dried
18 product. We do a -- prosciutto ham. Don't have our
19 first ones coming out of our cave yet, but it should
20 be around Christmas time.

21 We are fortunate in that in our product we
22 found a scientific support paper that as long as we
23 age for more than 206 days and use one of the prior
24 trichina methods in 9 CFR 318.10 that our process
25 will be a validated process. So, we're fortunate in

1 that regard.

2 I would say to talk about what the problem
3 is for me as a processor's perspective and knowing
4 many of the other federally-inspected
5 establishments, because I would guess that it's less
6 than 25 in the whole country that are USDA inspected
7 establishments that produce dry, cured charcuterie
8 products.

9 And, I mean, I think I know most of them.
10 I would say that the problem, in my opinion, from a
11 processor's standpoint, is first and foremost
12 enforcement actions are largely, over the years,
13 have been on beef and not being able to substantiate
14 E. coli 0157 log reductions.

15 And then the second thing that I would say
16 is that there's a lack of consistency or continuity
17 across districts and how these enforcement actions
18 have taken place in the past. And I know, for a
19 fact, that some support in some districts has been
20 adequate while it's gotten other plants on
21 suspended.

22 And I know from my personal experience on
23 dealing with other issues that I know that the
24 higher up that we get in USDA, we've got a couple of
25 EIOs in our district that are hurt with. But in

1 general, as I get to the high levels of risk
2 management, they are very, very knowledgeable and
3 very, very good at making determinations at that
4 level.

5 And I would recommend that as fewer
6 establishments actually produce these products, that
7 perhaps these kind of decisions should be made above
8 the EIO level and perhaps even made above the
9 district level so that there's continuity and so
10 that there's some real solid decision making in
11 these processes.

12 MS. RENDON: So, this is Tina Rendon. And
13 I would agree with that statement in the sense that,
14 you know, continuity as far as the guidance on how
15 to enforce it. And I think that's gone into that
16 purpose behind doing a guidance document.

17 That way that the industry has that to
18 fallback gone to use as their justification and
19 their systems help them support it. Going off what
20 Dr. Eberly was talking about, the Option C, doing
21 more mixing, matching of, you know, journal
22 articles, scientific studies such as that, I feel
23 would be the best way in order to make this happen.

24 And then going off of what Kim said,
25 allowing that flexibility -- or both of them said

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 that -- as far as allowing the flexibility on
2 applying even those journal articles.

3 But that thing, the challenge I think most
4 people are going to run into is finding those --
5 that support. So, making that available through the
6 guidance document would definitely be a tremendous
7 help.

8 One other thought is kind of like the
9 process. And I know this has been backed by like
10 the Meat Institute, NAMI and the Agency for Appendix
11 A. I know that the lethality, Appendix A has been a
12 big, long process as far as trying to get that
13 published and forced and a call for support on
14 scientific help.

15 You know, I don't know if that's feasible
16 in the sense of an agency putting it out there,
17 scientific help, you know, a call for that, for the
18 universities. I know we've got a couple that are
19 represented here.

20 I know you in Ohio have had some work. I
21 don't know what kind of studies that you have that
22 would be available to the public or if it's all
23 proprietary or what assistance can be provided
24 there. But that's one way I would suggest that we
25 couldn't (ph.) go about getting more scientific

1 studies that are publicly available.

2 DR. CURTIS: Pat Curtis. Would you, in
3 looking at those scientific studies, do you think
4 that the agency should provide the key criteria that
5 you're looking -- I'm thinking if they're small
6 companies, as I don't have the expertise of all
7 culinary, will they know what are the key components
8 that they're looking for scientific support for?

9 Is it the pH? Is it temperature or is it
10 time? You know, providing them some guidance in
11 that area.

12 DR. KNIPE: Yeah, this is Lynn from Ohio
13 State. I think these small culinary types are
14 learning, and I just think it's not been in their
15 nature to focus as much on the safety as the quality
16 and whatnot.

17 But another thought I had, I remember when
18 we had the issue of jerky and destroying salmonella
19 before we dried the product. And Wisconsin came out
20 with -- it was a pretty extensive study, but my
21 first thought was we're going to tell these small
22 processors that this is the major research we have
23 for them to follow.

24 And we didn't tell them they had to do it
25 this way, but before I knew it, almost all of our --

1 and we've got a couple hundred very small
2 processors. They were all following the Wisconsin
3 study. They were adapting their jerky to make it to
4 meet that requirement.

5 So, I'm very much against requiring try and
6 expect these very small companies to do the
7 challenge studies themselves. But I am wondering if
8 it would be possible -- I know they wouldn't like
9 it, but if we could set up -- and I think that's
10 what Pat was getting at, was maybe setting up some
11 requirements.

12 And as we heard earlier that there's more
13 safety with the higher temperature fermentation,
14 that right there a lot of these culinary people are
15 going to say, no, I want to do it the European way
16 and I want to ferment at lower temperatures.

17 But if the data's not there, I don't know
18 what you would think about setting up some
19 parameters and then saying this is what we have and
20 adapt your process to that.

21 MR. GREMILLION: Hi, this is Thomas
22 Gremillion, Consumer Federation of America. That
23 makes a lot of sense to me. And, yeah, I was going
24 to ask, are there templates available to these
25 processors to kind of, you know, some pretty get-

1 away that they can meet the requirements.

2 I wanted to -- I'm confused now by what Dr.
3 Knipe has said. It sounds like there's a lot of
4 these small processors. And Greg had mentioned, you
5 know, there's just a handful.

6 I definitely agree too that it doesn't seem
7 like there should be variation from district to
8 district on those. But I wanted to -- maybe the
9 FSIS staff can clarify, how many regulated entities
10 are we talking about here? I mean, how many federal
11 inspected producers are we talking about?

12 MS. SILVERMAN: Yes, so this is Meryl
13 Silverman. I can give you a rough idea from the
14 data within the public health information system.

15 So, we do know, so at least now, it was
16 about a year ago there were about 150 establishments
17 that had at least one ready-to-at fermented meat or
18 poultry product. There were about a hundred that
19 had at least one salt-cured meat or poultry product.

20 And then the challenge of our data is that
21 there there's over 500 that have dried meat. But
22 that would include something like biltong, which is
23 a South African air-dried beef strips. But it could
24 also include jerky. So that data is not as clear
25 which jerky's typically cooked and doesn't fit into

1 what we're looking at.

2 But again, about 150 establishments with
3 fermented products in their profile, a hundred with
4 salt-cured, several hundred with dried.

5 DR. KNIPE: This is Lynn Knipe again, just
6 to follow up. When I was talking about having a
7 couple hundred very small processors, most of them
8 are only making jerky. What --as far as companies
9 that are making fermented dried shelf, stable
10 products without heat, we've only got two or three.
11 And so that's the difference.

12 MS. SILVERMAN: Yeah, this is Meryl. Just
13 one other thing, if it would give context, so
14 there's a little over 2,000 establishments that make
15 ready-to-eat products in general. And then, you
16 know, there's a few hundred that have these products
17 in their profile.

18 DR. EBERLY: This is Jennifer Eberly. I
19 have a question for Meryl. If we considered -- I
20 guess I don't know what options it is now, Option T,
21 where this really sounds like what we want is a
22 guidance, clearly, is what we -- we all want a
23 guidance.

24 And I understand that the problem on you
25 all's end is that you don't have enough information

1 to issue a guidance. But, as a stop gap, if we
2 considered, as Lynn suggested perhaps one entity. I
3 don't know if WIMS (ph.) or whomever.

4 If one entity was established to evaluate,
5 you know, does this journal article meet, even
6 though it's not perfect, does it meet whatever -- is
7 that something that the agency would be able to do?
8 Is that even an option?

9 MS. SILVERMAN: Maybe April could also
10 weigh in. I don't think we -- you should rule it
11 out as a recommendation. But, yeah, any -- I think
12 it would be really important that the committee does
13 lean toward this option, like the guidance you can
14 give advice FSIS as to how we would do this or be
15 helpful, to be -- to make consistent decisions from
16 establishment to establishment.

17 I don't know, April, if you have any
18 thoughts also about that recommendation.

19 MS. REGONLINSKI: This is April. I think.
20 If you will -- the most information you can provide,
21 FSIS with your recommendations, I think, would be
22 the best thing.

23 And then we would eventually decide what we
24 would do with those recommendations at the end. We
25 don't want to cut off any deliberations or try to

1 point you in any directions here.

2 MS. SILVERMAN: So, does the committee have
3 any other suggestions on more information
4 surrounding the idea of that suggestion, of getting
5 someone to evaluate, you know, to provide for one
6 entity to evaluate establishment support?

7 MS. RENDON: So, this is Tina Rendon. Is
8 it possible or feasible or whatever to submit
9 articles, scientific support such as that to ask
10 FSIS for review or is that -- are we talking about a
11 different type of entity?

12 DR. EBERLY: This is Dr. Eberly. I really
13 just want to comment that, I hate to say this, but
14 sometimes I send the questions to ask FSIS, and if I
15 don't like the answer, I just send the question
16 again until I get the answer that I want. So, I
17 just wanted to throw it out there that this is
18 sometimes a little problematic.

19 MS. REGONLINSKI: So, I guess the bet with
20 that in mind, then what are the criteria that we
21 would want to add as a portion of this
22 recommendation?

23 MR. GREMILLION: Hi, this is Thomas
24 Gremillion. All right, I'd like to understand the
25 allowed establishments the test and hold

1 indefinitely option. Could you elaborate on that,
2 what that would mean and like why that would be
3 attractive to some of these producers?

4 MS. SILVERMAN: So, this is Meryl
5 Silverman. At least I can say for FSIS, so we did
6 discuss in some of our documents how establishments
7 may, while they're gathering their scientific
8 support test, and hold the finished product. And we
9 typically recommend 10 to 15 samples per lot to be
10 tested for at least one pathogen, for just
11 Salmonella.

12 And so that would be in lieu of having
13 scientific support. So instead of putting the
14 upfront cost to a challenge, establishments can pay
15 the cost per lot to have it tested while they can
16 gather support. So, this option here was -- it was
17 originally discussed in the 90s before HACCP was
18 implemented.

19 And so that blue ribbon task force was, in
20 lieu of gathering scientific support, establishments
21 could support each lot as safe by collecting samples
22 of it and having the finished product tested.

23 MR. GUNTHORP: Would that -- did this step
24 require any log or reduction -- sorry, this is Greg,
25 Gunthorp Farms. Did that step will require any log

1 reduction support in the process at all?

2 MS. SILVERMAN: No, not from the original
3 blue ribbon task force. We have taken the position
4 that the -- that's not consistent with HACCP that
5 establishments do need to show some amount of
6 reduction and come up with some targets.

7 It could be the five logs are an
8 alternative. But that's why we wanted to put it to
9 the committee, to see if that's an option should be
10 considered.

11 MR. GUNTORP: Because, I mean, that's a
12 relatively inexpensive compared to what you were
13 talking about before, but it's just, like you said,
14 doesn't -- seems extremely contrary to the whole
15 HACCP principle.

16 MR. GREMILLION: Yeah, this is Thomas
17 Gremillion again. I mean, I see a couple problems
18 with that. Of course, in one you could kill the
19 pathogen that you're -- if you're only testing for
20 salmonella, then E. coli 157 could slip by.

21 And, two, if you just -- there could be a
22 change in -- or something and you could make your
23 product because -- I mean, yeah, just, it's -- I
24 mean, I wish could just say that, but just kind of
25 that, the last comment, it's really not consistent

1 with HACCP.

2 DR. DE MELLO: Another question. This is
3 Amilton De Mello again. So is the requirement, is
4 it a 5.0-log reduction in salmonella and apparently,
5 you're trying to discuss if this can happen in situ,
6 right.

7 So how does the agency expect to see or an
8 internal validation of whatever it wants to do, a
9 5.0-log reduction? You know, how often do you have
10 5.0-log of salmonella in these products?

11 MS. SILVERMAN: Yeah, so the 5.0-log
12 reduction comes from a risk assessment that we have
13 performed and typically our recommendations take
14 into account baseline level pathogens in the
15 product, but also allows for a safety margin.

16 And so NACMPI recommends for a target,
17 lethality targets to have at least a two-log safety
18 margin.

19 MS. RICE: So once --

20 DR. DE MELLO: Yeah, this is Amilton again.

21 MS. RICE: Sorry, this is Kim Rice. I just
22 wanted to add on to that, Amilton. So once a
23 process has been validated, then it will give a 5.0-
24 log reduction. You don't have to do that, that
25 study again and again and again.

1 You just have to show that you're meeting
2 the requirements or the parameters of that study.
3 That's all you have to do. You don't have to do the
4 testing over and over and over again.

5 DR. DE MELLO: No, no, I understand that
6 point. What I question, myself, is what is the --
7 suppose that they have salmonella contamination in
8 these products, right. That's thinking about worst-
9 case scenario.

10 How often that a concentration reaches 5.0-
11 log? That's the first thing that I want to know.
12 Yeah, I got it. Once you proved once, I understand
13 that. But I mean, you're requiring a 5.0-log
14 reduction basis risk assessment.

15 What is the common log contamination that
16 we have when a product is contaminated salmonella?
17 Can somebody answer that question for me?

18 MS. SILVERMAN: It's going to depend on the
19 raw material, right?

20 DR. DE MELLO: Yeah. But how much? That's
21 the parameter -- so to reach 5.0-log of salmonella
22 is a lot. So, you know, I would like to know, do we
23 come up with this parameter as a 5.0-log reduction
24 based on the risk assessed.

25 If you go back and evaluate all the

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 products that were contaminated salmonella, what is
2 the average of the contamination load?

3 MR. GUNTORP: Without temperature abuse --
4 this is Greg -- without temperature abuse, I
5 wouldn't think that USDA-inspected products should
6 see more than a one or two-log reduction. Or one or
7 two logs of salmonella ever, except for without
8 temperature, so.

9 DR. DE MELLO: That's my point. Thank you.

10 MS. RICE: Pat, this is Kim Rice. Back to
11 your original question, I think the hesitancy that I
12 have is that we're being asked Question 1 and then
13 Question 2. I think we should be Question 2 and
14 then answer Question 1 because, clearly, there's a
15 hole, right, in the information available to anyone
16 to use.

17 And so, what are the needs, right, and how
18 difficult would it be to fill those holes and then,
19 in the meantime, how should FSIS handle it?
20 Because, you know, the regulatory person in me is,
21 everybody should be playing by the same rules.
22 Period.

23 So, the validation requirements are the
24 validation requirements. However, there's a reality
25 that there's this group of products and this

1 consumer demand for these products that smaller,
2 more culinary type folks are trying to fill that
3 need. And that hole needs to be filled up in the
4 meantime.

5 So, I'm reluctant or are at a loss as to
6 what to -- how to answer Question 1 at this point.

7 DR. CURTIS: Well, if you like, we can move
8 to Question 2 and come back to Question 1 after
9 we've addressed Question 2.

10 So, Question 2 is, how can FSIS assist
11 industry gather gathering scientific support and
12 facilitate filling research gaps, even though it is
13 not a research funding organization? So, let's
14 address that and then we can go back if there's
15 additional things they want to have going
16 afterwards.

17 DR. EBERLY: This is Dr. Eberly. I
18 understand the guidance is not done yet. Does FSIS
19 have available a list of all of the journal articles
20 that are presently accepted by the agency available
21 for people to look at?

22 DR. CURTIS: Yes, at least for the
23 guideline, we did do a comprehensive literature
24 review. We don't have that list available, but it
25 would include a list of journal articles by product

1 type, is the intention.

2 DR. EBERLY: Who would -- sorry, the is Dr.
3 Eberly again. So, based on the list that you have
4 now, would you be able to come up with a list of
5 gaps of journal articles that we need?

6 MS. SILVERMAN: This is Meryl Silverman.
7 Yes, I think so. I think we can show through that
8 where there lacks scientific research.

9 MS. RICE: This is Kim Rice. And does it
10 make sense, those gaps? Are they simply because the
11 formulas don't match up or the exact process doesn't
12 match up? And is there a way to look at or have
13 someone that's an expert in that particular area or
14 that particular organism look at it and say, yeah,
15 but the end-product is fine or that doesn't affect
16 the outcome, and we would still have a safe product,
17 even though this isn't an apples to apples
18 comparison? Is there a way to do that?

19 MS. SILVERMAN: For FSIS, the goal is -- or
20 intention in the guideline is to give some rules of
21 thumb of when parameters can differ.

22 And that would be acceptable, but I think
23 the way we're currently approaching it, which has
24 been discussed as to leave a lot of potential
25 differences that establishments would need to

1 support. So that's really where I think the
2 committee's feedback on that Option C could be
3 helpful or also here.

4 And then again, it's also both, so for some
5 there's not a single article available.

6 DR. KNIPE: This is Lynn Knipe again and
7 what I question is a lot of the gaps may be more --
8 a lot of that concern may be more on the drying
9 process because it seems like we've got a lot of
10 data that show how much -- potential we have in
11 fermentation. May not be perfect, but where we may
12 be really lacking is the drying data.

13 And what I've noticed with the starter
14 culture companies, they may only go out a couple of
15 weeks. And there's also some data to support, once
16 you package the products and you store it and you
17 continue to have lethality.

18 But Meryl and I had a little offline
19 conversation this morning. And she reminded me of
20 something I had really forgotten, is the diameter of
21 the sausage and how much difference that makes in
22 the dry, the lethality.

23 And all of the, particularly in artisan
24 companies all have very specific interests in the
25 size of their product. But I think that's where one

1 of the challenges is. Every time you see a
2 publication, they're not using the right diameter
3 product or whatever for the drying.

4 And that's one of the challenges, for me
5 sometimes, is kind of overwhelming, where -- how can
6 we develop a study that really fits all these
7 different options?

8 MR. GUNTHORP: This is Greg again. You
9 know, if we look at the old trichina regulations
10 which are gone now, but USDA did that way back in
11 the day on trichinosis with percentages of salt and
12 also trying times. If you just had that data, you
13 could solve most of it for differences in the salt
14 differences in drying and parameters on pH, water
15 activity, that kind of stuff.

16 MS. RICE: This is Kim Rice. I don't think
17 that data's is gone. The writers are gone. But the
18 data should -- we should still have. Correct?

19 MR. GUNTHORP: Correct, but the USDA --

20 MS. RICE: The FSIS?

21 MR. GUNTHORP: -- doesn't consider those
22 all those validated process, right? Definitely
23 don't consider them validated against salmonella or
24 listeria because trichinosis is generally easier to
25 control than salmonella or listeria. Just cause you

1 control trichonosis doesn't necessarily mean you
2 control salmonella.

3 MS. SILVERMAN: That's correct.

4 MS. RICE: Yeah.

5 MR. GUNTHORP: And they're a really good
6 starting point.

7 DR. CURTIS: We have had a research
8 priority on that idea but, because of the holiday
9 district kind of regulations which are now in
10 guidance for other pathogens.

11 DR. EBERLY: This is Dr. Eberly. I just
12 had a general question. How difficult is it to
13 determine what is going to determine what is going
14 to be the research priorities?

15 MS. SILVERMAN: We have an internal review
16 panel that -- from multiple offices that reviews
17 them and change -- and then we typically post them
18 on the website a few times a year.

19 DR. CURTIS: This is Pat. What else do you
20 do with them? Did they go to ARS? I was asked
21 earlier if they go to MIFA (ph.). Are there -- how
22 do you get them out or what do you anticipate in
23 getting them picked up whereby -- from the agency?

24 MS. SILVERMAN: Yeah, so as I mentioned
25 earlier, this is Meryl. We should make sure we

1 share them with MIFA and ARS. I think this just
2 always comes in to ARD, so it's a challenge.

3 DR. CURTIS: Other ideas on how we might be
4 able to help fill some of these gaps?

5 MS. RICE: So, this is Kim Rice. So, I
6 think universities like Ohio State and Wisconsin and
7 I think Cornell and it sounds like Nevada, the folks
8 out in Nevada, they're all doing good work with
9 small processors.

10 My recommendation would be the agency, you
11 know, visit with them and see, you know, basically
12 compare what they've got and if there's any way to
13 utilize what they have to then build out the
14 guidance even more. And I think then getting the
15 guidance is important to the research priorities or
16 the research monies.

17 I think ARS and MIFA an any of the other
18 sources of funding, I don't know if there's money
19 in, let's say, the rural development group. I can't
20 think of their acronym off the top of my head, but
21 are there monies there that can be moved to do some
22 research to help support these small and very small
23 businesses to develop out those markets some more?

24 I'm making this up as I go, literally, so those
25 would be my recommendations. But the guidance

1 itself with what you have, getting that out so that
2 we at least know where there are holes, I don't
3 think we're ever going to be able to do a study or a
4 series of studies that gets literally every gyration
5 of every different type of product out there that
6 somebody could come up with because everybody's
7 always going to want to do the next great thing.

8 And so, I think if we can get the basics
9 into that guidance document, of here are the four,
10 five, six, however many they are product attributes
11 that are absolutely critical to food safety and here
12 are the things that affect each one of those that
13 you have to take into consideration, and here are
14 the articles that support or don't, I think that's
15 the best way to get to that data and information
16 gap.

17 DR. CURTIS: This is Pat. One of the
18 things that you might do is get those scientists
19 together that have done research in this area and
20 let them brainstorm as to what they've done among
21 the groups of them and what they what they may know
22 or what they might be able to do to fill some of
23 these gaps, because they may be able to create a
24 multi-state, you know, grant proposal or something
25 that will go after this.

1 Since they don't ever get together to talk,
2 they would probably never get together to actually
3 do this project. But they may have information from
4 other research was done in some of these areas to
5 answer some of these questions for us.

6 And for the guidance that you've already
7 researched and know what those articles are, you
8 probably know who those researchers were that you
9 would want to get together. Other ideas to fill the
10 gaps?

11 MS. RENDON: This is Tina Rendon again.
12 Piggybacking off what the two ladies just said as
13 far as getting together the people that have been
14 involved in research, is it a possibility of this
15 committee or subcommittee to have a working group?
16 Could it organize that charge, you know, to get
17 those people together, to bring forward discussion?

18 MR. GUNTHORP: Greg Gunthorp here. I think
19 one thing that ought to consider, too, you know, is
20 multiple hurdle approach and the pre and post
21 interventions are acceptable to add to the cumulous
22 log reduction.

23 So, if somebody's got a study that gets
24 them close to five logs, say a simple vinegar-water
25 rinse the product before they start that, does that

1 add enough, a page at the end, what packaging and
2 temperature, what other multiple hurdles can be
3 added to existing validated studies?

4 DR. CURTIS: Got very quiet. Any other
5 ideas?

6 MS. RENDON: This is Tina Rendon again. I
7 have a question, I would say, for Greg. I believe
8 you said that you actually make one of these
9 products and know other people in the industry that
10 make these products.

11 Are under any kind of trade organizations
12 for this type of artisanal meat? Maybe you can tap
13 into as far as, you know, bringing forward the
14 scientific studies and, you know, different things
15 that they've done, you know, that maybe if we were
16 to develop a working group or something like that,
17 they can partner with and help lead the charge?

18 MR. GUNTHORP: You know, I think there's a
19 couple suggestions. The first one, through the
20 Oregon State extension, would be the Niche Meat
21 Processors Assistance Network, which is right down
22 their alley on working along this line.

23 So then the trade association, I can never
24 remember the exact wording for it. They're based
25 out of Pennsylvania, the small meat processors and

1 American Meat --

2 MS. RENDON: AAMP, Association of American
3 Meat Processors.

4 MR. GUNTORP: Yeah, AAMP. AAMP does a
5 really good job in this space. Awesome. Yeah, the
6 other thing that I was thinking about the other day
7 that, you know, it goes along with my thought
8 process, that perhaps these decisions should be made
9 at a higher level, is that, in my experience, and I
10 know that of several others, perhaps these decisions
11 should be started by USDA earlier in the process and
12 perhaps they need to reevaluate the role of food
13 safety assessments on these products.

14 Because virtually all of these products are
15 a very long time in what they take to produce them.
16 And, you know, several of my friends have been
17 involved in enforcement actions, like I said, mostly
18 on beef products that they were trying to produce.

19 But it almost seems, and maybe it's
20 counter-intuitive to FSIS, but it almost seems like
21 that they should be involved at a higher level, even
22 if it's just the EIOs at the beginning of these
23 processes rather than after the processes are
24 already done.

25 Like us, you know, we're two-thirds or

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 three-quarters of the way and producing a ham and we
2 get three inspectors a day at our plant. And I know
3 for a fact that two of them haven't even really
4 looked over our HACCP plan.

5 And we've had no, you know, guidance or
6 enforcement or, you know, I don't want to say
7 enforcement, but no looking at it by anybody beyond
8 those levels. And, you know, it's seems like it
9 would be simpler before the product was out into
10 commerce to have these arguments and discussions
11 rather than after the product's done and people are
12 eating it.

13 DR. EBERLY: Hi, Greg. This is Dr. Eberly.
14 I guess I'm a little confused because I wouldn't
15 allow facility to produce a product until they have
16 given me a hazard plan for it that I had approved.
17 So --

18 MR. GUNTHORP: you know, USDA doesn't --

19 DR. EBERLY: I guess I'm just --

20 MR. GUNTHORP: USDA, though, doesn't really
21 approve HACCP plans. Maybe state inspection
22 programs do, but USDA doesn't. You know, we have to
23 have a HACCP plan. But it's -- the process is kind
24 of a little bit convoluted on a ham that takes a
25 year or two on the validation.

1 You know, the validation on the design of
2 the HACCP plan is relatively simple. But the
3 validation on the actual process going through it,
4 you know, we've already defined our frequency in
5 that it's going to be 12 lots and we're testing five
6 hands for brine concentration.

7 And we've added an additional hurdle to
8 start with. We actually put two anti-microbial them
9 beforehand. So, you know, we are validating all
10 that, but. You know, I don't want to throw USDA
11 under the bus, but I mean, it's -- they're --
12 they've not put a lot of effort into it.

13 And I think, in USDA's defense, these are
14 really and, you know, also in the processor's
15 defense, these are really complex food safety
16 questions on these products, on understanding, you
17 know, interaction between these pathogens and salt
18 levels and moisture levels, water activity levels
19 and pH.

20 And, you know, and I -- they're typical.
21 You'll understand, but I don't know that their
22 typical line inspection staff understand these. I'm
23 not sure that a lot of the EIOs would even have
24 experience in these areas.

25 DR. EBERLY: Well, Greg, you give me too
Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 much credit because I'm not sure what I'm just
2 saying it all perfectly either. I do -- I think
3 maybe -- let me clarify what I said.

4 I don't actually -- I don't necessarily
5 approve -- hello? I don't approve the HACCP plans,
6 but I guess, because it is a small program, that
7 they're saving themselves and getting in trouble by
8 having a look at it before they start producing. So
9 let me clarify that.

10 MR. GUNTHORP: Yeah, that -- you know, that
11 just doesn't happen with USDA, though. You know,
12 they just come out right and tell you this. They're
13 not your consultants. They're not this. They're
14 not that.

15 You know, they don't really look it over or
16 -- I mean, the process is just completely different
17 all over the country in that regards too, depending
18 on how you're staffed with the -- you know, in our
19 plant, we're unique in that we're producing these
20 products in a slaughter plant.

21 So, we have a line inspector, a public
22 health veterinarian, and we run a second shift. So,
23 we have a second shift processing inspectors. So
24 we'd have the opportunity for three inspectors a day
25 to be looking over these HACCP plans.

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 But, you know, like I said, I don't know
2 that lots of them have had lots of training in
3 drying and cured products and that kind of thing.

4 DR. EBERLY: Well, I think that's what
5 causes a problem, though, with asking FSIS to be the
6 consultant because you're going to get -- you're
7 only going to get as much knowledge as that person
8 has.

9 And, you know, I struggle with that myself,
10 because the -- what do you want, right? But that's
11 not that's not my job, because what has happened and
12 with everybody happen is you'll come back later and
13 say what you said it was okay, but now it's not
14 okay. So that's -- I do understand FSIS's --

15 MR. GUNTHORP: Okay, it's a Catch -- yes.

16 DR. EBERLY: -- position on this, I guess.

17 MR. GUNTHORP: Right. I understand it
18 completely, too. It's a Catch-22 because, you know,
19 the little plants lots of times don't have the time
20 and the resources and, you know, the inspector's
21 there and they're asking them questions with the
22 inspectors in a situation that, no matter how they
23 answer, it's not good.

24 So, I mean, I completely understand the
25 USDA, you know. They're in a different role than

1 providing the food safety answers. But that's a
2 different thing, in my opinion, than them evaluating
3 a HACCP plan to see whether compliance with 9 CFR
4 470 requirements, you know, that once you have it
5 designed, rather than, you know, not having somebody
6 at a high enough level to actually be able to sit
7 down and make those decisions before the plant is in
8 an enforcement action, you know, and before people
9 are served product.

10 DR. EBERLY: So, you're actually able to
11 get your -- move into production without a validated
12 HACCP plan?

13 MR. GUNTHORP: Well, I mean, I keep coming
14 back to the, you know, these -- and these products
15 and, you know, I've got answers from this Ask FSIS.
16 And these products, you can't validate a plan, you
17 know, and we haven't shipped anything because we
18 haven't produced any product that's ready to go.

19 But you can't produce a validated product
20 in 90 days when the product has to dry, when you're
21 intending for it to dry for a year.

22 DR. DE MELLO: This is Amilton De Mello from
23 Nevada. Yeah, I got a little bit confused on an
24 issue about HACCP plans and -- well, first, I think
25 I see all sides, the producer side and the agency

1 side.

2 One of the things that we always need to
3 remember, this type of conversation, is that USDA is
4 a regulatory agent. It's not a consultant. So, on
5 of the things that I usually recommend to our
6 producers here is that, you know, we used to be one
7 step ahead of everything.

8 So, you know, you need to understand that
9 you need to know what you're doing first because
10 there are some regulatory person out there, they're
11 going to check what you are doing.

12 Now, if you are federally inspected, if you
13 had a USDA in your product, your HACCP must be
14 validated. So, and I -- it comes back to the idea
15 of a small producers like small processors,
16 sometimes they need just to dry or, you know, age a
17 product for a year before having a -- they give us
18 90 days of validation to validate their HACCP plan.

19 You know, this is something that the agency
20 needs to understand too. So, how we're going to
21 find out that the closest point for both sides of
22 depends a lot of these, the producer who needs to
23 have the proper technical support to achieve what
24 the regulation is.

25 And I think that that's what the base of

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 the discussion, right, how we're going to get that
2 producer knowing that he needs to be one step ahead
3 and he needs to make sure that the product meets the
4 requirement. So, is it viewed, is, I think, we need
5 to move forward.

6 DR. EBERLY: This is Dr. Eberly --

7 DR. DE MELLO: Come back to the state, the
8 state inspection, so if you say, well, we do not
9 have a HACCP validation, the state does the HACCP
10 plans.

11 I don't understand that because if you do
12 have a state inspection, yes. That's your state
13 responsibility. But if you're federally inspected,
14 your HACCP should be actually approved by USDA.

15 And I understand, most of times the
16 inspector that is there or your supervisor that is
17 there might not understand everything that you're
18 trying to do. You get, like I said, they are
19 regulatory, right. They're going to have to get
20 your HACCP. They're going to look at you and give
21 you an idea at the end of the day, which is their
22 job.

23 So, I think if that technical information
24 and that the producer needs to have, it's producer
25 responsibility. Now, the question is how expansive

1 it is and how hard it is. So, I think that
2 that's -- the answer that needs to be -- that's the
3 question that needs to be answered. Sorry, go
4 ahead.

5 DR. KNIPE: This is Lynn Knipe again. And
6 I like this idea of a working group, and I would be
7 willing to help that if you come to that point.

8 But I wanted to -- I had another thought a
9 little bit ago, but I accidentally hung up my phone
10 when I was trying to mute it. And I'm wondering if
11 somebody else might have brought it up.

12 But my question is, maybe more for the
13 people who represent large companies, there's at
14 least one, and I know there's more than one, but I
15 know of at least one company, and I won't mention
16 their name, that's making this product and they had
17 historically gone for a long time.

18 The president of their company spoke at a a
19 meat conference several years ago. And I went and
20 approached them, and they had a long line of people
21 wanting to talk to them and they gave me their card.

22 I got a hold of them afterwards to see if
23 they would be willing to share because they've done
24 -- they've paid for these studies. And I was just
25 inquiring if they would be willing to share any of

1 this with smaller companies as far as validated
2 data, and they said, no.

3 And I was just curious if anybody -- I
4 remember a time when HACCP first came out that
5 the -- some of the larger companies were taking the
6 stand that safety was not a competitive issue. And
7 they wanted everybody to be helping each other. But
8 I just was curious if anybody has any ideas of how
9 we might encourage some of these large companies to
10 share some of that.

11 DR. EBERLY: Dr. Eberly. Just to kind of
12 go off of what he said, my question is for Meryl, I
13 guess. Is there a process for making, I don't know,
14 dried, cured salami, pick a product -- are there
15 some processes that have been validated that are
16 public knowledge?

17 Because I know that, yes, those people who
18 are artisans are not going to want to be the next
19 best thing. But I also know there's plants that
20 would just like to put a salami out and increase
21 their -- they're not so concerned with having the
22 perfect formula.

23 Are those -- and this is for anybody,
24 actually, because it's not my area of expertise.
25 Are there any methods of making, say, a dry-cured

1 salami or some other product that USDA has said,
2 this process is validated? I'm just curious,
3 because that's something we could potentially -- I
4 don't. That's my question.

5 MS. SILVERMAN: Yeah. So, this is Meryl.
6 I can start because it was directed to me. So yes,
7 for some products, there are, at least what you are
8 describing in the form of journal articles.

9 There isn't like a generic cast up model
10 available right now. But there are journal articles
11 for some products that would support a 5.0-log
12 reduction in salmonella when those parameters are
13 followed. Does that answer your question?

14 DR. EBERLY: Right. So, I guess what I was
15 just -- what I was thinking of is, in the meantime,
16 while we're waiting for this guidance that people
17 can use to potentially support whatever their
18 specific process is, whether FSIS could publish some
19 of, you know, a compilation of some of these
20 validated practices just for the people.

21 I don't know if that would be appropriate
22 or not. It's just a thought. But, for the people
23 who just want to be able to produce salami and not
24 have to do a challenge study or, you know, they just
25 want to make a product. And they don't -- they're

1 willing to follow that recipe?

2 DR. CURTIS: Other ideas? Not hearing any
3 other ideas for this, is there anything else you
4 want to go back and add to Question 1?

5 Based on our discussion we've had about
6 Question 2?

7 MS. REGONLINSKI: I have a question. How
8 much does it cost to do test and hold? I mean, how
9 much, just a ballpark, to do our final lot? If you
10 were doing 10 to 15 samples?

11 MR. GUNTHORP: This is Greg. We spend \$35
12 a sample for a salmonella test.

13 DR. EBERLY: This is Dr. Eberly again. So,
14 when you said, I think it was -- someone said 10 to
15 15 samples. Is it done by how many -- you know, is
16 a lot -- it's a lot of a thousand versus a lot of a
17 hundred?

18 Is it proportional to the number of
19 salamis, for example, in the lot?

20 MR. GUNTHORP: I mean, doesn't that come
21 down to a HACCP question and whether or not we can
22 support our frequency? In my HACCP plan, I use, for
23 our number of hams that we're going to test, we use
24 the old trichina regulations, and it requires five
25 hams out of each lot for 12 weeks in a row.

1 And we only produce 10 hams in a week, so,
2 we're going to tests five of them, half of them.
3 But I didn't want to get into an argument with USDA
4 over whether we could support our testing
5 treatments.

6 In general, they lower the volume, you're
7 not going to be able to lower the test much because
8 you still have to test a certain number.

9 MS. RICE: And Greg, is that destructive --
10 I'm sorry, this is Kim Rice. Is that destructive
11 sampling?

12 MR. GUNTHORP: Yes, ours will be
13 destructive sampling because we're going to do a
14 brine concentration of the center muscle to show
15 that we have uniform salt concentration and uniform
16 drying. So, yeah, those hams will be destroyed.

17 MS. RICE: So -- Kim Rice again. So
18 basically, you're losing 50 percent of your
19 production to validate your process?

20 MR. GUNTHORP: Yeah, for four weeks, we're
21 going to lose -- or for 12 weeks, we're going to
22 lose half of them, so we're going to lose 60 hams.

23 And then after that, what I was starting to
24 say was, we have to do that four times a year again
25 to be able to show that our process is under

1 control. So, for the remainder of my life, we'll
2 have 20 hams that we'll destroy.

3 DR. EBERLY: Hi, Meryl?

4 MR. GUNTORP: And that's actually -- oh.

5 DR. EBERLY: I was just going to say,
6 Meryl, do you have a -- so he's -- it sounds like
7 he's using the trichina regulations to determine his
8 sample size.

9 Has there been anything published by USDA
10 that would give him a -- you know, do you have any
11 resources to just how many samples of -- or say it
12 was dry-cured salami, how many in the lot would need
13 to be tested?

14 MS. SILVERMAN: Yes, so typically when we
15 received that question, for example, in Ask FSIS, we
16 recommend using the ICMSF. I'm trying to think of
17 the acronym. But ICMSF sampling plans and,
18 typically, we recommend the cases.

19 Those are different cases based on the
20 level of work. And we typically recommend either 10
21 to 15 samples. So that's where that came from.
22 ICMSF. And those do allow for some compositing so
23 that the actual number of samples analyzed by the
24 lab can be fewer, but they're not relative to the
25 lab size, so that would be 10 to 15 samples,

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 regardless of the lot size.

2 MR. GUNTHORP: Yeah, that's where they said
3 that, you know, in the -- to do the batter testing
4 and then use a process that only got a two-log
5 reduction. That's where it's really, really
6 prohibitive for somebody that was only going to
7 ferment and dry really, really small lots of
8 salamis. It just wouldn't be economically feasible
9 under U.S. inspection.

10 We, in the future, are going to make some
11 salamis. We want to get our hams down first. And I
12 personally believe that there's some support as long
13 as you are willing to dry long enough to even a low
14 temperature fermentations and low temperature aging.
15 But you just have to have a really, really long, dry
16 periods.

17 DR. CURTIS: We have no other ideas for 1
18 or 2. we might go back to the top and look up with
19 the information that we have and see if we have any
20 -- let everybody take a look at the notes that we've
21 taken from this and see if you have any corrections
22 or any other comments to add to each one. That'll
23 get you all the way back up to the top.

24 Looking at what we see here, does anyone
25 have any other -- I'll give you a few minutes to

1 take a look at it and read it and see if you have
2 any other suggestions or questions.

3 MS. RICE: This is Kim Rice. The bullet,
4 the main bullet that says small -- some starter
5 culture companies have been willing to provide
6 support with validated studies.

7 In the next bullet, I think there is a
8 mistake. It says, "But may not be able to provide
9 everything the smaller studies need since the
10 studies were done." I think that should say
11 companies. Yeah, there we -- or processors, yeah.

12 DR. CURTIS: Thank you, Kim. Anything else
13 from this section? If not, let's move down a little
14 further.

15 MR. GUNTHORP: This is Greg. Back to that,
16 where it says the 5.0-log reduction is based on the
17 risk assessment study, would USDA entertain the idea
18 of an establishment coming up with the supply chain
19 that they could document and had lower than a 5.0-
20 log risk for salmonella?

21 MS. SILVERMAN: Yes, I mean, so far, the
22 main alternative, lethality, we call it, is a raw
23 batter testing option where each lot of raw batter
24 is combined with the two-log reduction. But
25 establishments have the ability to support --

1 alternative lethalties.

2 And definitely, we want feedback from the
3 committee on Number C or Letter C. That was one of
4 the questions for the committee to consider --
5 should we, should FSIS accept other alternative
6 lethalties.

7 MR. GUNTHORP: Because I mean, what was
8 going through my head was I was just thinking, you
9 know, if you had, in pork, if you had a validated
10 intervention and then you had data, such as data
11 loggers to demonstrate temperature control through
12 the whole process, could an establishment support
13 that 5.0-log wasn't necessarily required?

14 Because in my mind, that 5.0-log is either
15 -- requires out-of-process control slaughter or
16 temperature abuse in the supply chain.

17 MS. RENDON: Meryl, this is Tina.

18 MR. GUNTHORP: And then the other --

19 MS. RENDON: Sorry, just a quick follow-up
20 question. You've mentioned the alternative of the
21 batter testing. Would that be -- is the intent to
22 describe that more, explaining that process in the
23 guideline, or is there a reference to that
24 information as far as exactly what that entails?

25 MS. SILVERMAN: Yes. So, there is a

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 document that's available and the link was in one of
2 my slides from this blue ribbon task force where
3 they do have very detailed description about that
4 raw batter testing option.

5 What we do intend to do in this guideline
6 would be to describe variations on that, like for
7 whole muscle products, how that kind of concept
8 could be applied, and then also how the concept
9 could be applied to pork and poultry products since
10 it's focused on beef and E. coli 15787.

11 DR. CURTIS: And Mr. Gunthorp, would you
12 mind, so we could capture for the notes, just
13 restating your previous comment?

14 MR. GUNTHORP: Sure, I made the comment
15 about what I think about the different log reduction
16 for lethality, that if the -- in the HACCP plan and
17 through their process that they could support the
18 slaughter process not being out of control and then
19 exceptional temperature control, such as data
20 loggers or something for the supply chain as a means
21 to -- as another hurdle to demonstrate that they,
22 you know, could control salmonella, for example, to
23 levels that wouldn't require 5.0-log reductions.

24 MR. GREMILLION: Hi, this is Thomas
25 Gremillion, CFA. And I'm assuming that would entail

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 something more than the current requirements for hog
2 slaughter where there's not really a salmonella
3 performance standard being verified against it.

4 And I'm not sure, you know, what that might
5 look like. But maybe you have something in mind,
6 Greg.

7 MR. GUNTHORP: You know, I more like the
8 validated intervention requirement in beef
9 slaughter, you know, because there's not -- while
10 most plants do, there's not really a validated
11 intervention requirement in pork slaughter.

12 You know, and then if you validated the
13 slaughter and the chilling and then the temperature
14 control after words -- and I'm not advocating for a
15 lower than 5.0-log reduction process.

16 I'm just saying that it's would be another
17 step in a food safety program to, you know, if
18 the -- if you were close on a 5.0-log reduction and
19 then you could also support the your slaughter in
20 your supply chain, was keeping salmonella at the
21 very minimum, the product is less risky than
22 somebody that has the same log reduction and can't
23 support that their slaughter on their pork has
24 validated intervention and that their supply chain
25 wasn't going above 44.7.

1 I mean, we all know they're not supposed
2 to. But there's not really any HACCP controls in
3 that. It's just temperature receiving. USDA
4 doesn't really police, the shipment of product
5 around the country.

6 MR. GREMILLION: Okay, that sounds -- I
7 mean, maybe the transport would be the focus of
8 salmonella.

9 MR. GUNTHORP: And the correct -- correct
10 me if I'm wrong. I'm assuming that that's partially
11 how -- and Meryl probably can speak to that -- I'm
12 assuming that that's how USDA comes up with that
13 risk assessment of why there's a need for 5.0-log
14 reduction in these kind of products.

15 DR. CURTIS: Any other suggestions for this
16 section or shall we go down further? Move down?

17 MS. RENDON: This is Tina Rendon.

18 MR. GUNTHORP: Could I suggest --

19 MS. RENDON: I just wanted to bring up on
20 that part about the recommendation over to evaluate
21 establishment support for these establishments
22 before making enforcement decisions, that bullet
23 point.

24 I think it would be important to point out
25 that USDA, the district office, the EIOs, do

1 outreach with functions. It may be beyond their
2 scope of knowledge, but that is an option that's
3 available to establishments. And so, it'd probably
4 be go to make sure to remind them that that is
5 available.

6 MR. GUNTHORP: This is Greg Gunthorp again.
7 On that bottom of that page, is it possible that we
8 had something in there that USDA considers in a very
9 low volume production, what they would allow for
10 commingling of samples so that very small producers
11 could still be testing the same amount of product
12 but do it with less tests?

13 And the reason I asked for them to consider
14 putting it in the guidance document is that that
15 would kind of give the very small processors a safe
16 harbor and that would provide their support to their
17 frequency frequencies are really difficult, at
18 times, for little processors to always support for
19 something that USDA will go along with.

20 MS. SILVERMAN: Does that capture what
21 you're looking for there?

22 MR. GUNTHORP: Yes. Thank you.

23 DR. CURTIS: Any other changes or
24 additional comments for this section?

25 MS. RICE: So, this is Kim Rice. The first

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 bullet on A, did we say that, taking this option
2 provides an advantage for larger establishments?

3 DR. EBERLY: Yeah, I said that.

4 MS. RICE: Okay.

5 DR. EBERLY: I don't know if everybody
6 agrees, but, yeah, that is definitely what I said.

7 MS. RICE: Because data gaps are data gaps.
8 And they affect everybody. This is Kim Rice again.
9 And I just -- I'm not sure that A is the current
10 standard for validation.

11 And so, I'm not sure that there's an actual
12 advantage for larger establishments. They may be
13 able to make a better argument, may being the key
14 there, is not necessarily so.

15 DR. EBERLY: Well, my opinion was just based
16 on the fact that large organizations would have more
17 money potentially to just pay \$50,000 for a
18 challenge study because the lots that they're going
19 to be making would potentially offset the cost of
20 that challenge study.

21 Whereas a smaller establishment that maybe
22 is only going to produce, you know, a thousand
23 salamis a year, they're not going to be able to
24 recoup the cost of that challenge study as readily
25 as a larger scale establishment. That's what I

1 meant.

2 MR. GUNTHORP: Yeah, I agree entirely with
3 the statement in there, because if you're a large
4 enough establishment, you have the money to do
5 enough testing. You can do an in-plant validation
6 study.

7 DR. CURTIS: I actually looked because
8 you're not going to be able to do in-plant if they
9 have to do a challenge study because it'll have to
10 be done outside of the plant.

11 MR. GUNTHORP: Oh, yeah. But I mean, you'd
12 have the money to do some kind of validation of your
13 own process, rather than being able to use a peer
14 reviewed study that's available.

15 MS. REGONLINSKI: So, this is April. I
16 just wanted to let you know, Carrie so far has been
17 capturing, trying to capture your thoughts and kind
18 of notes to remind you over the course of these
19 discussions what you've talked about.

20 But at some point, you're going to have to
21 try to turn them into recommendations. So just let
22 Carrie know when you want to do that. And she can
23 make edits to the documents to reflect your
24 recommendations rather than just notes.

25 DR. CURTIS: How much more do we have at

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 the bottom of this? Any other -- I just want to
2 make sure that everybody agrees on these notes so we
3 can go back.

4 Everybody -- okay, let's finish up 1. So,
5 is there anything else, any other changes you want
6 to make on Question 1?

7 Okay, let's go ahead and move down to
8 Question 2.

9 DR. KNIFE: This is Lynn Knipe again. And
10 unless somebody brought this up while I -- when I
11 hung up by accident, Kathy Glass has mentioned in
12 the chat box that the Foundation for Meat and
13 Poultry Research has a database of articles that
14 could be used for validation.

15 And so, I'm embarrassed to say I don't
16 know, but I'm assuming the Foundation for Meat and
17 Poultry Research is at the University of Wisconsin.
18 But I made a note for myself and I got to thinking,
19 I don't believe anybody has brought that up or has
20 discussed it. So, we should probably add that to
21 our list of resources.

22 MS. RICE: So, Lynn, this is Kim Rice. I
23 didn't say that database in particular, but that was
24 the basis for -- it's in here somewhere, I
25 thought -- of getting together the people who do the

1 research in these areas to help put together the
2 guidance document or review the guidance document.

3 DR. KNIPE: Sure. Okay.

4 MS. RICE: So, Ohio State, Wisconsin, et
5 cetera. I was -- I didn't -- couldn't remember what
6 they called it either, so that's why I just said
7 Wisconsin and Cornell and et cetera.

8 MR. GUNTHER: You know, the -- Greg,
9 again. One problem that little processors have that
10 I don't think most academics would ever consider is
11 that there's an awful lot of studies out there
12 without us paying for them. We don't even have
13 access to them.

14 And I've been fortunate in that I've got a
15 university person and a USDA person that was a
16 former EIO that both of them will at least look at
17 studies and send them over to me, too, so that we
18 can evaluate. But we'd spend a fortune just looking
19 at studies that most likely wouldn't even be
20 relevant to what we wanted.

21 DR. KNIPE: Yeah, this is Lynn Knipe. But
22 you make connections too with somebody at Purdue or
23 whatever. And I tried to make this available to our
24 processors that because -- to our library. We have
25 access to most of that at no cost.

1 So, I don't really I want to open this up,
2 but I would provide it to everybody, but I'm usually
3 pretty helpful if people are looking for
4 publication.

5 DR. CURTIS: I would say most of the
6 extensions or connection in each state would be that
7 work in that area.

8 DR. DE MELLO: Yeah, I agree, though, most
9 of the time I'm going to go after -- this is Amilton
10 De Mello, Nevada. You go after your extension
11 personnel and the rest of your state, they might be
12 able to provide you whatever you want. So that's
13 part of extension work, right? So, creating network
14 connections with university personnel who work on
15 the extension will be very useful for any type of
16 producer.

17 MS. RICE: So, this is Kim Rice. Along
18 those lines, back in the late 90s, when we were
19 implementing HACCP, there was a network of state
20 HACCP coordinators, most of which were your
21 extension folks. Is that still in place?

22 DR. CURTIS: That's still listed on that
23 FSIS website for the HACCP, all, very small
24 producers. But, yes, there's still a HACCP
25 coordinator in each state. it better each day.

1 MS. RICE: So, it would be good to engage
2 them as well in some of this and utilize them.

3 DR. KNIPE: One thing I would comment on
4 that, I'm not sure how up to date the list is. We
5 used to have a conference call once a month and all
6 of a sudden they just stopped. And I never really
7 knew for sure what would happen, but --

8 DR. CURTIS: Henry -- retired.

9 DR. KNIPE: Oh. Well, I know there's
10 somebody that, within our State Department of
11 Agriculture, that was on that list along with me,
12 and this guy's been gone for at least two years, and
13 I think his name is still in there. So that might
14 be the thing you might find with extension people in
15 other states. The list may be a little bit out of
16 date.

17 DR. CURTIS: Maybe the recommendation is
18 that we update that list then.

19 DR. KNIPE: But yeah, I think -- yeah,
20 that'd be good.

21 DR. DE MELLO: This is Amilton. I agree
22 with that very much.

23 DR. CURTIS: Any other suggestion? Is
24 there more get or more notes to log in? Okay. Any
25 changes to in this portion?

1 Hearing none, let's move down to the very
2 last part of this.

3 MS. REGONLINSKI: All right, just for the
4 note takers, where it says potentially AAMP Oregon
5 extension niche group, I think it's Niche Meat
6 Processors -- I'm trying to give you the exact one.

7 It's not Oregon. They're out of Oregon.
8 But it's Niche Meat Processors such as -- Greg, do
9 you remember what the last two letters are of this
10 AAMP? It's Meat --

11 MR. GUNTHORP: It's Niche Meat Processors
12 Assistance Network. And they are actually based out
13 of Oregon State, but yeah, they're -- but they help
14 processors all around the country. I think AAMP is
15 -- in Pennsylvania, is a Trade Association.

16 MS. REGONLINSKI: I would also suggest you
17 add the universities to that because Wisconsin's
18 group. Dr. Knipe's group's pretty extensive.
19 Cornell has a series of workshops and classes they
20 do. That may be more for state inspection, but it
21 looks like it's open to everybody.

22 DR. CURTIS: Okay, any other changes to the
23 notice there? If not, let's start with Question 2
24 and come up with actual recommendations based on
25 these notes that we have for the -- to back to the

1 committee.

2 What are our recommendations? So, the
3 question is, how can FSIS's industry in gathering
4 scientific support and facilitate filling research
5 gaps even though it's not a research funding
6 organization? So, we had a lot of ideas. Taking
7 these ideas, what are the specific recommendations
8 you want to put forward?

9 DR. KNIPE: I might just throw out of the
10 place to start, maybe establish a working group to
11 look at the data that's already available and to
12 identify gaps in the research.

13 DR. EBERLY: Well, but hasn't FSIS already
14 identified the gaps? What would the working group
15 be working to figure out how to address the gaps?
16 Or am I wrong?

17 DR. KNIPE: I guess Meryl did say that she
18 could send us a list of the gaps.

19 DR. CURTIS: So, let's define a little bit
20 about the working group. Is the working group like
21 the scientists that do research on this area, like
22 we talked about in the notes? Or is this different
23 makeup of people for the working group, just so we
24 have a little bit of a definition of who the working
25 work would be.

1 MS. RICE: Is there a way to put my intent,
2 whenever I proposed that, was essentially the
3 scientists working on that. Yes, may have to have a
4 coordinator to kind of run that, knowing what the
5 gaps are and then working the scientists and
6 universities and such as that in order to organize
7 it and be able to fill in those gaps and possibly
8 reach out to those extension groups and trade
9 organizations or whatever, that could possibly fund
10 any research that is needed. That was kind of the
11 intent whenever I mentioned that.

12 DR. KNIPE: And maybe instead of saying
13 identify gaps, I think the other comment -- I can't
14 see it on the screen, but was to establish the
15 critical parameters or that you need to establish
16 for companies to follow. Does that sound right?

17 MS. RICE: How about if we say gaps and
18 establish critical parameters?

19 DR. KNIPE: That's good.

20 MS. REGONLINSKI: That sounds good.

21 MS. RICE: And the critical parameters --
22 this, sorry, this is Kim Rice. Critical parameters
23 are those related to the product, not necessarily
24 the process. Because --

25 DR. KNIPE: I was thinking of both.

1 MS. RICE: Right.

2 DR. CURTIS: Okay. So those researchers
3 that did the research on all of those things to
4 begin with, are going to work with were the clinical
5 parameters that they had to meet.

6 Okay, any objections to this
7 recommendation? Other recommendations? We have
8 looked --

9 MS. REGONLINSKI: I was just going to say
10 what about free publication of these FSIS-approved
11 journal articles? I know we're, first of all,
12 waiting for the guidance to come out. But in the
13 meantime, knowing what journal articles are
14 considered okay would be helpful, I think.

15 I guess I would finish that sentence with -
16 - oh, yes, I'm sorry. I'll give it some time.
17 Sorry. Sorry. I guess I would want it to say
18 acceptable instead of available, just because
19 there's lots of journal articles that are available,
20 but it isn't until FSIS is the one decides the ones
21 that are and are not acceptable. Thank you.

22 MS. RICE: But I -- this is Kim Rice.
23 Again, acceptable is going to be dependent on your
24 specific process, so what may be acceptable for you
25 may not be acceptable for me. That's been my

1 experience. Yeah, so --

2 MS. REGONLINSKI: So, how about peer
3 reviewed journals that may be accepted for support?
4 I don't know. I know what you're trying to say.
5 It's just --

6 MS. RICE: Yeah.

7 MS. REGONLINSKI: I just know that those
8 articles that, you know, at the end of guidance,
9 were like, no, not this one. Not this one. So I
10 was trying to, you know, while we're waiting for
11 this guidance, have a little --

12 MS. RICE: Right.

13 DR. CURTIS: So, many potential journal
14 articles that could provide support for these
15 products could potentially provide support and it's
16 going to -- I agree with Kim. They're going to vary
17 from location to location and the product to
18 product. But you're going to have some that are
19 going to be dismissed because they didn't like
20 science of it or something else that they did.

21 DR. KNIPE: Well, another other option that
22 Jeff Moore used a few years ago was he made a list
23 in one of the -- I forget which one of the guidance
24 documents it was. Maybe Appendix A. But he made a
25 list of the unacceptable publications, the need for

1 a shorter list. But that's just to -- I'd just
2 throw this out, another item on the --

3 MS. RICE: That's the one where Wikipedia
4 was listed.

5 MS. RENDON: This is Tina Rendon. My
6 understanding is that Meryl and them already have
7 this list of documents, so not sure what they called
8 it, but I don't think we need to waste our time on
9 deciding on what to call it, but just a thought.

10 DR. CURTIS: Maybe we could call it the
11 articles that will come out in the guidance
12 document. I mean, if that's what they're using them
13 for, if we were using the same articles they were
14 using for the guidance document.

15 MS. RENDON: Good point.

16 DR. DE MELLO: Yeah, this is Amilton.
17 Isn't that what this -- there is a list of articles
18 that is listed in Appendix A already there. The
19 best of my knowledge, I don't think you have any
20 other data bank that actually provides it to anybody
21 else. But there is some articles there, actually,
22 mentioned in the Appendix.

23 MS. RICE: This is for the guidance
24 document that hasn't come out on these products
25 specifically. This is Kim Rice, by the way.

1 DR. CURTIS: Right, she says they already
2 have -- yeah.

3 MS. RENDON: This is Tina Rendon again.
4 I think Kim said it earlier, as far as getting as
5 much of that information out preliminarily would be
6 beneficial. I know I -- thinking from when they
7 released to revise Appendix A, it kind of threw
8 everything into a tizzy because it was recognized
9 that there were scientific gaps and such as that.

10 But the benefit of getting that information or
11 at least some of the information released, gives
12 people, smaller processors, more of a, I would say,
13 a head start on them being able to meet those
14 guidance and kind of thinking about the science
15 behind it and what they need to gather and such as
16 that.

17 So, maybe it's not like a full blown
18 release of the guidance documents, but just some
19 resources maybe that are pulled up in there and
20 helpful information.

21 DR. CURTIS: All right, does this wording
22 meet with everybody's idea behind the concept of
23 this recommendation?

24 MS. RICE: One other recommendation --

25 DR. CURTIS: I didn't --

1 MS. RICE: Oh, sorry. This is Kim. Do we
2 want to say instead of in advance, do you want to
3 say now? And I don't mean that like now. I mean,
4 like in the very near future. But not a week before
5 the guidance document comes out. Thank you.

6 DR. CURTIS: Okay, any other changes? And
7 the other recommendation that we came out with in
8 the notes, and I don't know if you want to include
9 it, was the updating of that HACCP list, but we need
10 the official name of whatever that's called.

11 And I've looked at -- for that list for
12 that list for years, but I don't remember. What --
13 is it has HACCP coordinators list or somebody from
14 FSIS might be able to give us the official name.

15 MS. SILVERMAN: Yes, this is Meryl. I'm
16 not sure if what you're describing is this, but we
17 have a list of the safe concept contacts and
18 coordinators.

19 DR. CURTIS: And it has the regulator's
20 name and the state and the contact, and it makes --
21 a lot of university HACCP people. So, now when your
22 name's on that list, you get called by a lot of the
23 FSIS inspectors to go help small plants in that
24 area.

25 MS. RICE: This is Kim. I'm looking at it,
Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 so state HACCP contacts and coordinators.

2 DR. CURTIS: Okay, thank you.

3 MS. RICE: You're welcome.

4 DR. CURTIS: Okay, any other
5 recommendations for Question Number 2 there?

6 DR. EBERLY: Making this a resource --
7 making these gaps a resource priority when they make
8 recommendations to --

9 DR. CURTIS: Could you repeat that, please?

10 DR. EBERLY: Sure, and I don't know if
11 everybody agrees with it or not, but I said that
12 recommending that research money or what's the term
13 for the research that they want to do?

14 Somebody else has to wordsmith better than
15 me, I think. But I'm trying to say recommend that
16 research into these, whatever these gaps are, the --
17 but on the priority list -- somebody else can say
18 this better. I'm sorry. I'm very tired.

19 DR. CURTIS: So, you're wanting to share
20 the research priorities, FSIS research priorities.
21 Is that why you're -- with funding agencies such as
22 MEPA, trade organizations, things like that?

23 DR. EBERLY: Well, we talked about -- I
24 asked, I think, earlier like who decides what the --
25 so some internal group decides what these research

1 priorities are going to be for the agency. We
2 talked about earlier.

3 And so I was going to say recommend that
4 filling in these gap be made one of these
5 priorities, or at least recommended to that internal
6 committee that it be made one of those what -- a
7 research priority.

8 MR. GUNTHORP: This is Greg. I would like
9 to see USDA list in their compliance guidance
10 document the Niche Meat Processors Assistance
11 Network as, in addition to the USDA small plant Help
12 Desk as one of the resources for small and very
13 small processors.

14 I think that's appropriate, considering
15 that it's an extension program. And lots of times,
16 you know, they have great contacts with these state
17 coordinators or whatever, even if the list is
18 updated so that they can put them in touch with
19 somebody in their area as well as provide resources
20 from all around the country.

21 DR. CURTIS: Any other recommendations?

22 DR. EBERLY: On the last bullet point,
23 recommend research in trying fill in the gaps, I'd
24 put priority, I would say, of the agency.

25 DR. CURTIS: Okay. Anything else? Anybody

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 have any objections to any of these recommendations?

2 Moving on --

3 DR. EBERLY: I don't have an objection. I
4 have a question, though. I know that the guidance
5 is held up by the lack of information. But at some
6 point, it would -- should the agency come out with
7 something, even if it's not perfect, we just set an
8 end date for guidance to come out at some time?

9 MS. SILVERMAN: Make a draft?

10 DR. EBERLY: Or a draft, you know, in the
11 next couple years someday, maybe?

12 DR. CURTIS: I guess the question to prove
13 that -- is working with -- maybe Meryl could answer
14 this. What is that we need to get the guidance out?
15 Is it just the gap information, so after the working
16 group met and determined that, then could we move
17 forward with the guidance? Or is there other things
18 that's missing from the guidance to be able to move
19 forward?

20 MS. SILVERMAN: I think you could make the
21 recommendation related to that.

22 DR. CURTIS: Making a recommendation that
23 once the working group has -- then the guidance?

24 MS. SILVERMAN: Yeah, I think you can make
25 a recommendation. You know, whatever you would

1 recommend in terms of meeting or not.

2 MR. GREMILLION: All right, I had a
3 comment.

4 MS. SILVERMAN: They're in development,
5 that's what I can say.

6 MR. GREMILLION: All right, this is Tom.
7 Excuse me. Somebody had a similar question. What
8 is keeping -- again, this is maybe this is the same.

9 But, yeah, I'm a little unclear on what --
10 why the guidance hasn't gone out yet or if that's
11 kind of defined.

12 DR. CURTIS: If it's only waiting for the
13 gap information because we included the
14 recommendation for making the guidance publication a
15 high priority at the conclusion of the working group
16 effort or whatever we want to call it. I think
17 inclusion of working group -- what would we call
18 that?

19 DR. EBERLY: Activities?

20 DR. CURTIS: Activities, yeah. So, it's
21 getting late in the day.

22 DR. KNIPE: That makes sense. I mean, it
23 seems like having the guidance would be a big help.

24 DR. CURTIS: And we're at the
25 recommendation, we're making it a high priority,

1 which hopefully that means that it would go out as
2 soon as possible if it's a high priority.

3 Okay, do we have to put things in any
4 order, these recommendations? Otherwise,
5 prepublication would go before the establishing of
6 the working group or before the guidance publication
7 because that, one, it would have to go out as soon
8 as possible. I don't if these have to be in any
9 order or not.

10 MS. RICE: I mean, it makes sense to put it
11 in order that it's going to happen if you're just
12 going to -- just for the people reading it, I
13 suppose.

14 MS. REGONLINSKI: So I assume with the
15 updating of the list could happen along with the
16 working group. And what was the last one?

17 Oh, okay, and then we can include the last
18 one with a Niche Meat Processor Assistance Network
19 and I'll put that publication of the guidance as a
20 part of that.

21 DR. EBERLY: I would think the pre-
22 publishing of these journal articles could happen
23 tomorrow. Maybe put that at the top? We already
24 know what those are.

25 DR. CURTIS: Any other changes? Or do

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 these look okay to everybody?

2 DR. EBERLY: Did we decide who's in the
3 working groups for the sake of FSIS?

4 DR. CURTIS: Probably couldn't since these
5 two were kind of the ideas, scientists working in
6 the area or other people -- you may have other
7 people you want to include. But there's different
8 kinds of people that have a lot of experience in
9 this area.

10 Other thoughts on working or people?
11 Hearing none, I guess we can close the parentheses
12 on that. Any other changes before we move to
13 Question 1 recommendations?

14 Hearing none, let's go back up to Question
15 1 and open the floor for recommendations to address
16 what actions should FSIS take when it determines
17 that establishment lacks the support for the
18 lethality treatment of a fermented salt-cured or
19 dried product? Or what are our recommendations for
20 that?

21 We had the choice of the ones that were
22 provided of the challenge. And -- or we could come
23 up our own. Could you show up again, what was
24 provided in the challenge, what the ABC
25 recommendations were?

1 A was to take a course of an action and
2 require adequate scientific support for 9 CFR
3 417.5(a)(1) and 9 CFR 417.4 (a)(1)(4) to allow -- B
4 was to allow establishments to test and hold
5 acceptably.

6 And C -- go down a little bit -- allow
7 establishments to combine multiple scientific
8 support documents, e.g., journal, articles or use
9 scientific support that demonstrates 5.0-log
10 reduction may be, in combination with increased FSIS
11 testing; D, use regulatory discretion and allow
12 establishments pretty scientific support or, E, a
13 combination of the above.

14 What are your choices? Do you want to take
15 one of those, or do you want to come up with
16 different recommendations?

17 DR. EBERLY: This is Dr. Eberly. What
18 about taking options test and hold and the option
19 of, kind of cobble together multiple scientific
20 documents with approval of, say, when an extra FSIS
21 testing until issuance of the guidance? Would that
22 be an option? Does anybody like that, hate that?

23 DR. KNIPE: Yeah, I mean, having this
24 comment to -- I mean, having seen how long some of
25 these regulatory documents take to come out, I would

1 -- my concern there would be just that this would be
2 -- that would turn into kind of a permanent
3 solution, you know with the guidance document kind
4 of put off forever.

5 I do think the document, for increased FSIS
6 testing kind of offsets a different -- I think that
7 is worth exploring. But, yeah, I think making it
8 contingent on the FSIS getting a guidance doc out
9 could open up a can of worms.

10 DR. EBERLY: I guess my thought process
11 was, and maybe I'm wrong, but if -- weren't they
12 tasked to do this for everything that gets submitted
13 to them, that the guidance might be faster because
14 they're tired of doing it. I don't know. Putting
15 it on that may push the guidance to come out, but I
16 see what you're trying to say as well.

17 DR. CURTIS: But in some ways, we could
18 interpret that regardless what recommendation we
19 come out with, because it is, in fact, going to be
20 until the guidance document comes out.

21 DR. KNIPE: Sure.

22 DR. CURTIS: So, they're asking us for some
23 kind of recommendation. So, we can take, and this
24 is a combination of -- what was that -- B and --

25 DR. EBERLY: C, I think.

1 DR. CURTIS: B and C? So, it's a
2 combination of B and C until -- and then was the --
3 didn't you add an and, additional FSIS testing?

4 DR. EBERLY: Oh, that's the second part of
5 C. C says, letting them combine multiple scientific
6 support. And then it says maybe in combination with
7 increased FSIS testing, which I feel is appropriate
8 since you kind of Gerry-rigging this thing.

9 DR. KNIPE: Yeah, that was my point.

10 MS. RICE: This is Kim. And this question
11 is for the FSIS folk. Is the allowing
12 establishments to combine multiple scientific
13 support documents, is that not currently allowed?

14 MS. SILVERMAN: Yes, this is Meryl. I
15 guess it could be -- it could have been explained
16 better. And it was more the idea that I think has
17 been discussed before where it may be multiple
18 documents and then of them set the premises. So,
19 it's really using them together.

20 MS. RICE: Okay, so it's really using --
21 so, it's using documents that aren't apples to
22 apples, but there's enough there as long as they're
23 doing other things to ensure the safety of the
24 product?

25 DR. CURTIS: Or do you want to tie the

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 document to our recommendation of the prepublication
2 of articles?

3 MS. RICE: This is Kim again. I'm just --
4 if a facility is doing C already and they have put
5 together the arguments that validate their program,
6 but you're saying they haven't -- it really was then
7 that they haven't made the argument for their
8 program. Is that correct?

9 MS. SILVERMAN: That's how we are thinking.
10 We were just trying to provide examples for the
11 committee to consider, but, yeah, what we were
12 thinking is something that's multiple articles, but
13 then meet the validation requirements.

14 DR. CURTIS: Oh, well, great, there's a lot
15 of articles out there that do what it needs,
16 that's the best possible combination. But it
17 doesn't actually do it, hence the reason for
18 combining it, I guess, it would be my
19 interpretation.

20 DR. EBERLY: I think, as Greg pointed out,
21 it is important that we go with this, that there'll
22 be one authority that decides this so you don't
23 have, you know, A, you don't have consistency and,
24 B, so you don't have somebody like me who kind of
25 understands this trying to decide if this is okay or

1 not.

2 So, somebody high up, you know, I keep
3 saying WIMS (ph.). I don't if WIMS is the right
4 group, but one particular authority that would be
5 responsible for evaluating these kind of
6 Frankenstein journal plans.

7 MS. RICE: So, is that -- this is Kim
8 again, this is -- it's always been my experience of
9 whenever there's a question about the validation and
10 the way the validation's been put together,
11 regardless of where it starts, it always ends up
12 with Bill Shaw's group.

13 So, it's been my experience that it does
14 eventually end up in what I would consider the right
15 place for the final decision. It may take a while
16 to get there, but it does eventually get there.

17 So, I think ultimately for me -- again,
18 this is Kim, is that everybody's got to be
19 compliant. But there are lots of different ways to
20 get there, including the use of multi-hurdle, multi-
21 journal articles with and without testing.

22 And it's the afternoon and I am not -- with
23 the caveat that it's the afternoon and I am not at
24 my desk in the afternoon either.

25 MR. GUNTORP: This is Greg.
Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 DR. CURTIS: So, Kim, are you saying
2 that -- I was going to say, were you saying that the
3 tests -- that you didn't want to insist that you had
4 to do testing, that testing may be required, but it
5 may not be required? Is that what -- or am I
6 missing what -- your point you were making.

7 MS. RICE: I think that that is the point I
8 was making. It depends on the situation and whether
9 casting would or would not be required.

10 It gets back to this issue if I've got
11 multiple hurdles in my process and I can utilize or
12 I've been able to utilize multiple journal articles
13 with multiple hurdles and string together the 5.0-
14 log reduction and I'm validating that I'm meeting
15 the parameters that are necessary to get me there,
16 so I've got science pieces that may not be clean,
17 right?

18 It's not the perfect line, might look a
19 little bit like a spider chart, but it gets me to
20 that point. And then I've got the in-plant data
21 that shows that I can meet those parameters
22 regularly. I may not need testing.

23 Or I got a spider chart, but it's not a
24 complete. And I need to do some testing. So I'm
25 doing that taxing just to back it up, to backfill

1 it.

2 DR. EBERLY: I read that as to -- not that
3 the establishment would be doing extra testing but
4 that FSIS would do extra testing because it was --
5 so that wouldn't be an expense to the processor. It
6 would be an expense to FSIS. That's what I was
7 interpreting the extra testing.

8 MS. RICE: Yeah, and I could see it going
9 either way.

10 DR. EBERLY: And I agree.

11 MR. GUNTHORP: I read this one as --

12 DR. EBERLY: That's what I was going to
13 say.

14 MR. GUNTHORP: I read this one as an
15 alternative for establishments that never got to the
16 5.0-log reduction. I guess I was under the
17 impression that if you got to a 5.0-log reduction
18 and you could support it, validate it, that wasn't
19 the establishment's or the processor's problems?

20 MS. REGONLINSKI: According to this
21 question, it's when FSIS determines that the
22 scientific support is missing. So that leaves it
23 up --

24 MR. GUNTHORP: But that this -- this, right
25 here, says, less than 5.0-log reduction, right? And

1 if we can string together a group of validated
2 hurdles that get us the 5.0-log reduction, then
3 we're not talking about whether or not we have
4 support because we have support, right.

5 This is saying less than 5.0-log reduction,
6 but USDA's expectation is for the reduction of
7 salmonella in a dry-cured product. DR.

8 EBERLY: Any consideration of reducing it from 5.0-
9 log or two-log or whatever by using a multi-hurdle
10 approach? Maybe that should go under Question 2 as
11 how they -- how can they assist industry. And the
12 suggestion, B, that the guidance an alternative of a
13 multi-hurdle approach.

14 MS. RICE: This is Kim. For the FSIS folks,
15 is that letter C less than five total or less than
16 five individual?

17 MS. SILVERMAN: Yes, this is Meryl. The
18 intention was five total, so the 5.0-log includes
19 the concept that that comes from multiple steps that
20 are added together.

21 MS. REGONLINSKI: Hello? So this -- is
22 this how we agree on this? Or is there changes to
23 the wording? Or do we have another recommendation?
24 Or a different recommendation?

25 DR. EBERLY: I think it could use some

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 wordsmithing, but I'm too tired to do it.

2 MS. RENDON: This is Tina Rendon. You had
3 moved the rest of the bullets to another document.
4 Is it possible to see them side-by-side?

5 MS. REGONLINSKI: Yes, certainly.

6 MS. RENDON: Thank you.

7 MS. REGONLINSKI: Anything else that's
8 suggested for rewording or changing? Or is
9 everybody just maxed out on recommendations for
10 this?

11 MS. RICE: This is Kim. Was that at the
12 top of the document? That's the whole bullet or
13 whole answer to -- now there's something else.
14 Okay.

15 Do we need -- again, Kim. Do we need to --
16 state upfront that we agree that every facility
17 should have a validated HACCP plan 417.5(a)(1) and
18 417.4(a)(1)?

19 MS. REGONLINSKI: Okay, so that'd be
20 separate recommendations.

21 DR. DE MELLO: This is Amilton. This is a
22 requirement already, right?

23 MS. RICE: Yeah, but I think we should --

24 MS. REGONLINSKI: Make our assumption
25 clear.

1 MS. RICE: Yeah.

2 DR. DE MELLO: You're going to suggest that
3 something is required? Because you're --

4 MS. RICE: Well, I think we're going -- I
5 guess my --

6 MS. REGONLINSKI: The way we understand,
7 it's required that every establishment -- okay.

8 MS. RICE: Right. Yeah, we reaffirm that
9 that the recommendation is based on everybody's got
10 to have a plan so that we're not viewed or people
11 don't think we're suggesting that we just run amuck.
12 And that's a technical term.

13 DR. EBERLY: I guess I like, but we agree
14 that every establishment should have validated HACCP
15 plan as to a -- and then I guess I thought it would
16 be nice, but, comma, but understand --

17 MS. RICE: Right.

18 DR. EBERLY: -- that the agency validated
19 HACCP plan requires validated journal, scientific
20 support, something like that, but which is not a
21 available at this time. Something like that.

22 MS. RICE: Right.

23 MS. REGONLINSKI: I'm sorry, could you say
24 that again?

25 DR. EBERLY: Oh, goodness, I don't know.

1 Let's see, we agree that our --

2 DR. DE MELLO: Yeah, go ahead. I agree
3 with you. I agree that justification needs to exist
4 there because it's just a requirement. It should
5 not be there, so the requirement that it's our
6 recommendation. But if you want to add that, you
7 should have a justification as to why.

8 MS. RICE: So because --

9 MS. RENDON: This is Tina Rendon. Maybe
10 time to, you know, properly validate the program.
11 Everyone should have a validated HACCP program but
12 may need additional time and resources to complete
13 this.

14 DR. EBERLY: Which is not currently
15 available at. Again, this is what my point is.

16 MS. REGONLINSKI: So, that imply that if
17 resources aren't available, then you don't have to
18 validate your HACCP plan, which would pre-validate
19 our next recommendation?

20 DR. EBERLY: I think we could do -- what if
21 we said we agree that each establishment should have
22 a validated HACCP plan for whatever. But
23 establishments cannot have a validated HACCP plan if
24 they do not have validated documents to build their
25 HACCP plan -- period?

1 And then that leads us to the next
2 statement which is basically the stop gap, right,
3 until there are validated documents, guidance,
4 right, that they can use?

5 MS. RENDON: This is Tina again. I'm a
6 little concerned that that statement that some may
7 think, oh, well, this is documentation doesn't
8 exist. I don't have to validate my HACCP plan.

9 I think that you need to understand that if
10 they don't have scientific support, they may need to
11 actively generate it or fund it or something. So, I
12 want to make sure we're not sending mixed signals
13 there.

14 MS. REGONLINSKI: Maybe we just add this
15 and don't make it a separate recommendation,
16 therefore, in the meantime, we'll allow
17 establishments to continue by combining blah, blah,
18 blah, blah into the rest of our recommendations.
19 That's all one recommendation, not just sort of a
20 lead-in for it.

21 MS. RENDON: But if they're not available,
22 but you have to take the second-best option that --
23 you have to something. Otherwise, it's like you
24 never have to validate it.

25 DR. DE MELLO: But do you all agree that

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 that that statement of HACCP should be there?

2 MS. REGONLINSKI: I'm sorry, what was your
3 comment?

4 DR. DE MELLO: Do you all agree that this
5 statement on the HACCP should be there? I'm
6 concerned it comes back to the requirement thing.
7 I'm not sure if this is going to create more
8 confusion or it's just an additional recommendation,
9 if -- needs to write a requirement. That's my point.

10 MS. RICE: Well, it was it was option A in
11 the question they posed to us, so it's --

12 MS. RENDON: This is Tina. Maybe we don't
13 say that we agree that they should have it, just say
14 that these, in these recommendations, this is how
15 we're recommending that you achieve this if you
16 don't currently have it.

17 MS. REGONLINSKI: But it is -- you are
18 required to have it. So, we're just we're not
19 disagreeing that that needs to be a part of the
20 HACCP plan. We're just support this. There is a
21 requirement for that currently. But it is difficult
22 to meet, and since you can't really meet it
23 currently this is your option. Is that not what
24 we're saying?

25 MS. RICE: That's the -- what I -- this is

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 Kim. That's what I think we're saying.

2 MR. GUNTHORP: Yeah, because, I mean, if we
3 look at it right, this is Greg, if we look at it
4 right now, you know, there are some products being
5 produced in the United States that don't have
6 validated 5.0-log reductions and instead are being
7 done with batter testing with two-log reductions.

8 They're being done under multiple hurdles.
9 And USDA is allowing that, easier in some districts
10 than others. So, I think they're really looking for
11 us to say, should they allow those? Should they not
12 allow those? You know, so maybe this statement is
13 confusing.

14 Because, I mean, we for sure need validated
15 HACCP plans, but I think the -- what they're asking
16 for is, what are they going to allow for validated
17 HACCP plans?

18 DR. KNIPE: This is Lynn. Would it help
19 any at all to -- must instead of should? Or does
20 that --

21 MS. RENDON: I would agree. This is Tina.

22 MS. RICE: This is Kim. One, two, three,
23 four -- fifth line: available multiple, it should
24 just be available scientific support documents.

25 Multiple is redundant.

1 DR. EBERLY: I wrote something in the chart
2 because I just couldn't -- I don't know. Would this
3 work better? I didn't type the numbers.

4 Last year, whatever and whatever the -- is
5 HACCP plan. The prior HACCP plans cannot be created
6 without validated scientific support documents.
7 Therefore, we recommend whatever recommendations.

8 Would that be something -- acknowledge the
9 fact that they knew about it, HACCP plans, but also
10 acknowledged that it's impossible to do so if
11 there's no scientific support for a HACCP plan for
12 these particular products?

13 MS. RICE: Are we meeting again in the
14 morning as a subcommittee or are we going directly
15 in?

16 MS. REGONLINSKI: So, this is April.
17 Subcommittee 2 needed more time for tomorrow. So,
18 you can also have more time for tomorrow, if you
19 would like to review that. I think it's another
20 hour, hour and 45 minutes before you would have
21 presented to the committee.

22 MS. RICE: I think another 15 minutes would
23 be great at this point.

24 MS. REGONLINSKI: Well, I think
25 Subcommittee 2 is going to take more time. So --

1 DR. EBERLY: Or even just 15 minutes
2 tomorrow after we have dinner. Not as physicians,
3 not in other work.

4 DR. CURTIS: Okay, we plan -- this is it
5 for today, and we plan to meet tomorrow to review
6 our recommendations, give everybody a chance to
7 think about it overnight. And if there's any
8 changes they want to make, we'll make them in the
9 morning?

10 MS. RICE: Yeah. Is there any way we could
11 get this so that we can at least kind of look at it
12 maybe with our first cup of coffee before we get on
13 the call?

14 DR. SNIPE: Is it possible to get the
15 comments that were in red? Because I was trying to
16 read those and as the afternoon went on, the red was
17 just kind of blurring together. Is it possible to
18 get that and look at that tonight?

19 So, I actually think there was another
20 point I was interested in in the first one, but --
21 because I didn't have control of where -- what I was
22 looking at, I had trouble finding it. Can we get a
23 copy of that tonight?

24 DR. CURTIS: Is that possible Carrie or
25 April? Can you tell us?

1 MS. RICE: They may be conferring.

2 MS. REGONLINSKI: This is April. I think
3 that's fine. Carrie has them saved and can email
4 them, but we just don't -- we ask that you do not
5 share them with anyone outside the committee. And
6 do not deliberate outside of this forum, please.

7 DR. CURTIS: Okay, is that satisfactory
8 with everybody?

9 DR. KNIPE: That's be great?

10 DR. CURTIS: Yes. Okay, we'll get them
11 emailed to us and then we'll look at them tonight
12 and make any changes first thing in the morning.

13 April were you taking requests or do I need
14 to email Valerie and let her know?

15 MS. REGONLINSKI: I can request Valerie --
16 from that, from Valerie. This is April.

17 DR. CURTIS: Okay.

18 MS. REGONLINSKI: Also, there is going to
19 be a wrap up at the end of the day. So, we ask that
20 you stay on the line.

21 DR. CURTIS: So, we just take a break
22 between now and 4:45?

23 MS. REGONLINSKI: Yes. I think it's --
24 I've seen 4:30 and I've also seen 4:45.

25 DR. CURTIS: Well, she told us it was

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 changed to 4:45 because we didn't have any public,
2 but if --

3 MS. GREEN: This is Val again. Sorry about
4 that. It looks like Subcommittee 2 is wrapping up
5 and they will be joining us shortly. So please take
6 a break and we will reconvene at -- I would just
7 give them some time and give you all a break. We
8 can reconvene at 4:45. Is that okay? Or would you
9 like to reconvene sooner? I think they'll be
10 dialing in shortly. Is that fine?

11 DR. KNIPE: 4:30 would be good.

12 MS. GREEN: Take a two-minute break?
13 That's fine. We'll see. How about 4:35, and
14 hopefully we'll have everyone back on the line at
15 4:35.

16 DR. CURTIS: Okay, thank you, Valerie.
17 Sounds good.

18 (Off the record.)

19 (On the record.)

20 AT&T EVENT PRODUCER: Welcome, and thank
21 you for joining today's conference, National
22 Advisory Committee on Meat and Poultry Inspection
23 Public Meeting.

24 My name is Will Dubois (ph.), and I am your
25 event producer for this conference. Just as a

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 reminder, please ensure you have opened the chat
2 panel by using the associated icons located at the
3 bottom of the screen.

4 And if you require technical assistance,
5 please reach out to the event producer. All audio
6 lines have been muted at this time. To submit a
7 written question, you can select all panelists from
8 the dropdown menu in the chat panel, enter your
9 question in the message box provided and then send.

10 And with that, I'll turn the call over to
11 the moderator, Val Green.

12 MS. GREEN: Thank you, Will. It seems like
13 there was a very productive dialogue in both of the
14 subcommittee sessions. And I want to thank our
15 respective subcommittee chairs. For Subcommittee 1,
16 Patricia Curtis and Subcommittee 2, Ms. Casey
17 Gallimore.

18 And I would like to ask the subcommittee
19 chairs at this time if they need additional time in
20 the morning to reconvene your committee.

21 DR. CURTIS: Subcommittee 1, we will.

22 MS. GALLIMORE: Subcommittee 2 as well.

23 MS. GREEN: Okay, we will modify the
24 scheduled to allow more time and we'll also ensure
25 that each of you or to the committee members have a

1 copy of the draft recommendation. Do not deliberate
2 or share your draft recommendations outside this
3 board.

4 Also, I'd like to take the time to thank
5 the subcommittee designated federal officials Rachel
6 Edelstein and April Regonlinski. I'd like to
7 acknowledge and thank Carrie Clark, Jonathan Huang,
8 Scott Updike, and Sher coda Smaw for taking vigorous
9 notes during the deliberations.

10 And last, but certainly not least a special
11 thanks to Patrice Palmer, Shekelle Bazemore and
12 Susan Ikbakli (ph.) who helped with the appointments
13 of the new committee members and planning this
14 meeting.

15 So, without further ado, next slide,
16 please, we'll go ahead and review the agenda for
17 tomorrow. This was the original agenda. Next
18 slide.

19 So, what I'd like to do is move the
20 committee deliberations to 9:15 in the morning.
21 We'll start at 9:00 a.m. This committee
22 deliberations will start at 9:15, and we will have
23 the committee chairs report out at 11:00 a.m. Is
24 that enough time to complete the recommendations for
25 Subcommittee 1 and 2?

1 DR. CURTIS: Yes.

2 MS. GALLIMORE: Yes, for Subcommittee 2 --
3 I don't know about Subcommittee 1, but I don't I
4 don't know about anyone, but I don't think we'll
5 need that much time, so we could potentially do -- I
6 think we'll get through it 30 to 45 minutes. But
7 that depends also on how much time Subcommittee 1
8 needs.

9 DR. CURTIS: I don't think we need that
10 much time either. And I was just saying is what
11 Subcommittee 2 was saying.

12 MS. GREEN: Would you like to start at the
13 report at 10:00 a.m.?

14 MS. GALLIMORE: That works for Subcommittee
15 2.

16 DR. CURTIS: It works for Subcommittee 1.

17 MS. GREEN: All right. Okay, so we'll
18 start tomorrow at 9:00 a.m.. We'll move to the
19 subcommittee deliberations at 9:15 and then we'll
20 report out -- we'll start to report out for the
21 subcommittees at 10:00 a.m. And each subcommittee
22 will have 30 minutes to provide their report.

23 After that, we'll convene -- well, actually
24 will still be on the same line, but -- we'll be on
25 the same line for the subcommittee reports. And

1 then we will move to full committee discussions for
2 the committee as a whole to review the
3 recommendations and vote on a final report.

4 Are there any final questions or comments
5 from the subcommittees? Or any comments from the
6 audience? That's --

7 MR. HARRIS: This is Joe Harris with
8 Southwest Meat Association. Just a quick request.
9 If you guys could send out the information to
10 reconnect in the morning, because we've been
11 connected and we connected so many times, I'm not
12 sure which link to use and which number to use. If
13 you can send it, send that out to us again this
14 evening, that would be great.

15 MS. GREEN: I'll ask the event producer.
16 Will, would that be possible for an AT&T to send out
17 the link for the link for the speakers again?

18 AT&T EVENT PRODUCER: The link for the
19 speakers to which parties?

20 MS. GREEN: If I provide a list would that
21 be good? If I can provide a list or a list of
22 names?

23 AT&T EVENT PRODUCER: If you provide me a
24 list of email addresses, I believe I could send out
25 the address for the speaker side, yeah.

1 MS. GREEN: Okay, thank you. Are there
2 any --

3 MS. EDELSTEIN: This is Rachel. I have a
4 process question. Can we send our -- we are putting
5 together the note for Subcommittee 2. Can we send
6 our notes to them tonight or tomorrow morning before
7 the committee starts? Is that allowed?

8 MS. GREEN: Yes.

9 MS. EDELSTEIN: Okay.

10 MS. GREEN: Yes, just don't -- the
11 committee is not to deliberate this evening, only
12 during the public forum. But they are permitted to
13 review their notes and make comments and then once
14 they reconvene for the deliberations, then they can
15 continue to refine and develop the recommendations.

16 Any other questions or comments?

17 MR. WILLIAMS: Yes, Valerie, Byron
18 Williams. Will there be a different access code and
19 number for the subcommittees? Or will you provide
20 that in the morning once we divide for the
21 subcommittees after the general starts at 9:00?

22 MS. GREEN: I will ask the event producer
23 to send out that information as well for the
24 subcommittees.

25 MR. WILLIAMS: Okay.

1 MS. GREEN: So once again, when I will ask
2 the event producer, I will provide the list of names
3 and email addresses and ask them to send the main
4 event line as well as the breakout sessions, the
5 dial-in information for the breakout sessions.

6 AT&T EVENT PRODUCER: And this is for a
7 conference at a later date or this current
8 conference?

9 MS. GREEN: That's for tomorrow morning.

10 AT&T EVENT PRODUCER: Okay.

11 MS. GREEN: Yes. Are there any other
12 questions or comments? All right, hearing none,
13 we'll adjourn the meeting at 4:47 p.m. We'll start
14 again at 9:00 a.m. in the morning. Thank you all.

15 (Whereupon, the proceedings in the
16 above-entitled matter were recessed, to reconvene
17 the next day.)

18

19

20

21

22

23

24

25

26

C E R T I F I C A T E

This certifies that the attached proceeding before the

UNITED STATES DEPARTMENT OF AGRICULTURE


FOOD SAFETY AND INSPECTION SERVICE

IN THE MATTER OF: NACMPI Public Meeting

PLACE: Via WebEx

DATE: September 24, 2020

was held according to the record, and that this is
the original, complete, true and accurate transcript
which has been compared to the recording
accomplished at the hearing.



R. Thomas Bowman

Court Reporter