

UNITED STATES DEPARTMENT OF AGRICULTURE
FOOD SAFETY AND INSPECTION SERVICE

+ + + + +

NATIONAL ADVISORY COMMITTEE ON
MICROBIOLOGICAL CRITERIA FOR FOOD

+ + + + +

March 20, 2009
9:00 a.m.

USDA Cafeteria
(Conference Room)
1400 Independence Avenue, S.W.
Washington, D.C.

ACTING CHAIR: DAVID GOLDMAN, M.D., M.P.H.
Assistant Administrator
Office of Public Health Science
Food Safety and Inspection Service

FDA REP: JANICE OLIVER
Deputy Director for Operations
Center for Food Safety and Applied
Nutrition
Food and Drug Administration

EXECUTIVE COMMITTEE MEMBERS:

E. SPENCER GARRETT, M.S., Department of
Commerce Liaison
DAVID GOLDMAN, M.D., M.P.H., FSIS Liaison
LEEANNE JACKSON, Ph.D., FDA Liaison
GERRI RANSOM, M.S., Executive Secretariat
COL. TIM STEVENSON, D.V.M., Ph.D., DoD Liaison
KAREN THOMAS-SHARP, Advisory Committee Specialist

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

COMMITTEE MEMBERS:

DR. GARY ADES
DR. SCOTT BROOKS
DR. V. KELLY BUNNING
DR. PEGGY COOK
DR. UDAY DESSAI
DR. DANIEL ENGELJOHN
DR. TIMOTHY FREIER
MR. G. SPENCER GARRETT
DR. KATHLEEN GLASS
DR. LINDA HARRIS
DR. WALT HILL
DR. MICHAEL JAHNCKE
DR. LEE-ANN JAYKUS
DR. JULIE ANN KASE
DR. STEPHEN KNABEL
MS. BARBARA KOWALCYK
DR. JOSEPH MADDEN
DR. ALEJANDRO MAZZOTTA
DR. JIANGHONG MENG
DR. DONALD SCHAFFNER
MS. VIRGINIA (JENNY) SCOTT
DR. JOHN SOFOS
DR. ROBERT TAUXE
DR. IRENE WESLEY
DR. DONALD ZINK

FSIS:

DR. EVELYNE MBANDI

1	I-N-D-E-X	
2		
3	<u>AGENDA ITEM</u>	<u>PAGE</u>
4		
5	Open Plenary Session	
6		
7	Dr. David Goldman, Acting Chair	4
8		
9	Ms. Janice Oliver, FDA Rep.	7
10		
11	Introductions	11
12		
13	Announcements - Ms. Gerri Ransom	14
14		
15	MAP Report Update - Dr. Donald Zink	17
16		
17	Announcements (cont.) - Ms. Gerri Ransom	18
18		
19	Report from the Subcommittee on Parameters for	
20	Inoculated Pack/Challenge Study Protocols	
21		
22	Dr. Donald Zink, Chair	25
23		
24	Motion and Adoption	40
25		
26	Report from the Subcommittee on Determination	
27	of the Most Appropriate Technologies for	
28	the FSIS to Adopt in Performing Routine	
29	and Baseline Microbiological Analyses	
30		
31	Dr. Uday Dessai, Chair	42
32		
33	Motion and Adoption	80
34		
35	New Work Charge: Study of Microbiological	
36	Criteria as Indicators of Process Control	
37	or Insanitary Conditions	
38		
39	COL. Tim Stevenson	82
40		
41	Public Comment (None)	108
42		
43	Adjournment	110
44		

1 P-R-O-C-E-E-D-I-N-G-S

2 (9:00 a.m.)

3 DR. GOLDMAN: Good morning, everyone. If I
4 could get your attention please. Are we okay over
5 there? Good.

6 Good morning again. I'm David Goldman.
7 I'm the Assistant Administrator at FSIS for the
8 Office of Public Health Science, and I am pleased to
9 welcome the members of NACMCF, the Committee from
10 2007 through 2009, in their last plenary meeting and
11 very important work ahead of us today to hopefully
12 adopt two reports.

13 I also serve in addition to my job as an
14 Assistant Administrator, I'm the Agency's liaison to
15 NACMCF. So I've been to quite a few of the
16 meetings, not the Subcommittee meetings but the
17 plenary meetings, and have gotten to know some of
18 the members quite well.

19 Today, I am going to chair the meeting with
20 the assistance of Janice Oliver who will Co-Chair if
21 necessary, and I am here in place of Ron Hicks, who
22 is our Acting Deputy Under Secretary for Food Safety
23 at USDA and, in that role, would be the NACMCF

1 Chair. He is unable to be with us today, does send
2 his regards to the Committee and his thanks for your
3 work.

4 As I said, this is the final plenary
5 session. This Committee actually expires on Monday,
6 and I'll challenge the group here a little bit. If
7 we don't finish today, we can finish on Monday.

8 (Laughter.)

9 DR. GOLDMAN: I don't think that'll be
10 necessary.

11 I want to highlight the fact that this
12 Committee here and, for those who are from the
13 public, the Committee seated at the table has really
14 done exceptional work with respect to food safety.
15 If the Committee successfully adopts the two reports
16 that I mentioned a minute ago, this group will have
17 completed four reports, which is quite an
18 accomplishment. These reports include response to
19 the questions posed by the FDA and National Marine
20 Fisheries Service regarding Determination of Cooking
21 Parameters for Safe Seafood for Consumers and the
22 report called Assessment of Food as a Source of

1 Exposure to *Mycobacterium avium* subspecies
2 *paratuberculosis*. If we successfully adopt the two
3 reports today, these two will be added to this list
4 and make the four I mentioned a minute ago.

5 These two reports are Parameters for
6 Inoculated Pack/Challenge Study Protocols and
7 Determination of the Most Appropriate Technologies
8 for the Food Safety and Inspection Service to Adopt
9 in Performing Routine and Baseline Microbiological
10 Analyses.

11 On behalf of all of the sponsoring agencies
12 of NACMCF, which include not only USDA's FSIS but
13 the Department of Health and Human Services, Food
14 and Drug Administration, Centers for Disease Control
15 and Prevention, and the U.S. Department of
16 Commerce's National Marine Fisheries Service, and
17 the U.S. Department of Defense's Veterinary Service
18 Activity, I want to sincerely thank all the members
19 of this Committee for the valuable guidance and
20 recommendations you've provided to each of the
21 sponsoring agencies and the stakeholders on behalf
22 of microbiological safety of foods. We recognize

1 your valuable service and commitment to food safety
2 and public health.

3 At this point, I'd like to turn the floor
4 over to Ms. Janice Oliver of the Food and Drug
5 Administration who is the Deputy Director for
6 Operations at CFSAN. Janice.

7 MS. OLIVER: Thanks, David. Good morning,
8 and again I'd like to welcome all of the NACMCF
9 members and our guests to this meeting. I'm sitting
10 in today for Dr. Sundlof, who is unable to be here
11 because he's at another obligation, but he sends his
12 regards, and he wishes that the meeting be very
13 productive.

14 I'm delighted to be here, and I really do
15 understand the importance of NACMCF and the
16 contributions of the Committee and that you've made
17 to the federal agencies over the years.

18 I've served as the past Vice-Chair of the
19 Committee and during that time worked on many
20 valuable issues to Food and Drug, including those
21 dealing with sprouts and with juice.

22 I especially wanted to be here today to

1 hear the work of the Subcommittee on the parameters
2 for determining the inoculated pack and challenge
3 study protocols. FDA put the charge forward to
4 NACMCF to focus on an area where we needed some
5 scientific guidance, and food establishments rely on
6 these studies to determine time/temperature controls
7 for a specific product, and it's important for the
8 food establishments, but it's also important for the
9 regulatory agencies who review these challenge
10 studies.

11 We have a goal to have this report
12 finalized within the next few weeks so we can
13 actually put a link to it in our 2009 Food Code.

14 Once again, thank you very much for being
15 here and thank you also, on my behalf and FDA's
16 behalf, for serving on this Committee and all the
17 contributions that you've made.

18 Now I'd like to turn it back to
19 Dr. Goldman. David.

20 DR. GOLDMAN: Okay. Thank you, Janice.
21 Before we move on to our agenda and to Gerri with
22 some very important administrative comments, I want

1 to mention the FSIS charge that the New Technologies
2 Group has been working on for about 18 months or so
3 where they have developed some guidance and
4 recommendations for FSIS to consider for improving
5 testing methods for pathogens and indicator
6 organisms.

7 This project really has some far reaching
8 implications and applications, not only within FSIS,
9 but, I would submit, across other agencies in the
10 Federal Government and any entity that's working on
11 food safety.

12 We've received quite a bit of feedback
13 during this effort and look forward to hearing the
14 details of this project that Uday Dessai will walk
15 us through a little bit later this morning.

16 I also want to mention that I was at a
17 meeting yesterday hosted by FDA and hosted, in fact,
18 by the Acting Commissioner for FDA, who's informally
19 charged a group of scientists across the Federal
20 Government with looking at new technologies for
21 *Salmonella* protection in particular. He's very
22 interested in that on the heels of some *Salmonella*

1 outbreaks in FDA-regulated commodities.

2 The reason I mention this is that this
3 charge that FSIS gave to NACMCF, and again we hope
4 will be adopted today, kind of echoed some of the
5 themes I heard yesterday. That meeting was quite
6 amazing in that we had some very smart scientists
7 from the DoD, from HHS, FDA and CDC, from the
8 Department of Homeland Security and from USDA, and
9 including even our partners at ARS within USDA. And
10 this group of people I think demonstrated to me in
11 that meeting that a federal interagency effort can
12 be successful even when informally charged. People
13 came in from across the country for this meeting
14 yesterday even though it's not been formally
15 designated, and the effort there and the preview
16 that Dr. Dessai gave to this group was well
17 received. So you can know that the work that you've
18 done in that Subcommittee in particular has already
19 had an impact on this broader interagency effort I
20 mentioned. So I think it fits very nicely with the
21 theme of increasing interagency work on scientific
22 issues.

1 At this point, we want to formally
2 introduce anyone from the public who's here to
3 observe the meeting to the Committee members. So I
4 will ask for each of the Committee members to
5 introduce yourselves and your agency or affiliation,
6 if you would, and we'll go to my left, or start over
7 here with John Sofos.

8 DR. SOFOS: I'm John Sofos from Colorado
9 State University.

10 DR. HARRIS: Linda Harris from the
11 University of California at Davis.

12 DR. SCHAFFNER: Don Schaffner, Rutgers
13 University.

14 DR. MAZZOTTA: Alejandro Mazzotta with
15 Campbell's Soup Company.

16 DR. WESLEY: Irene Wesley, U.S. Department
17 of Agriculture, Agricultural Research Service,
18 National Animal Disease Center, Ames, Iowa.

19 DR. MADDEN: Joe Madden, Neogen
20 Corporation, Lansing, Michigan.

21 DR. KNABEL: Steve Knabel, Penn State
22 University.

1 DR. JAYKUS: Lee-Ann Jaykus, North Carolina
2 State University.

3 DR. FREIER: Tim Freier with Cargill in
4 Minneapolis.

5 MS. KOWALCYK: Barbara Kowalcyk with Center
6 for Foodborne Illness, Research and Prevention.

7 DR. JAHNCKE: Mike Jahncke with Virginia
8 Tech.

9 DR. GLASS: Kathy Glass, University of
10 Wisconsin - Madison.

11 MR. GARRETT: Spencer Garrett with the
12 National Oceanic and Atmospheric Administration's
13 National Marines Fisheries Service.

14 DR. ZINK: Don Zink with the Food and Drug
15 Administration's Center for Food Safety and Applied
16 Nutrition.

17 MS. SCOTT: Jenny Scott, Grocery
18 Manufacturers Association.

19 DR. ENGELJOHN: Dan Engeljohn with USDA's
20 Food Safety and Inspection Service.

21 DR. BUNNING: Kelly Bunning with FDA,
22 Center for Food Safety and Applied Nutrition.

1 DR. KASE: Julie Kase, formerly with the
2 North Carolina State Laboratory of Public Health,
3 currently with the FDA CFSAN.

4 DR. HILL: Walt Hill, Institute for
5 Environmental Health, University of Washington,
6 Seattle.

7 DR. BROOKS: Scott Brooks, Yum! Brands.

8 DR. COOK: Peggy Cook, Safe Foods
9 Corporation.

10 DR. ADES: Gary Ades, G & L Consulting
11 Group.

12 COL. STEVENSON: Tim Stevenson, DoD
13 Veterinary Service Activity.

14 DR. JACKSON: LeeAnne Jackson, FDA, Center
15 for Food Safety and Applied Nutrition. I'm the FDA
16 Liaison to the Executive Committee.

17 MS. RANSOM: Gerri Ransom, Food Safety and
18 Inspection Service and NACMCF Executive Secretary.

19 DR. MBANDI: Evelyne Mbandi, Food Safety
20 and Inspection Service, Microbiology Division.

21 DR. DESSAI: Uday Dessai, USDA, Food Safety
22 and Inspection Service.

1 DR. MENG: Jianghong Meng, University of
2 Maryland.

3 DR. GOLDMAN: We have one piece of business
4 that's left over from last evening. We had a
5 reception to honor the outgoing members of NACMCF,
6 and one of the outgoing members was not able to be
7 at the reception last night but is here this
8 morning, and I think we want to especially
9 appreciate the fact that Lee-Ann Jaykus was here
10 earlier in the week, had to return home, and came
11 back this morning to finish out the work. We really
12 appreciate you in doing that, but we also want to
13 present you with the Certificate of Appreciation
14 from the USDA and a small token of our appreciation.
15 So, Dr. Jaykus, thank you very much.

16 (Applause.)

17 DR. GOLDMAN: Okay. At this point, we'll
18 move to Gerri Ransom who will have several
19 announcements for you.

20 MS. RANSOM: Okay. Good morning. I wanted
21 to join Dr. Goldman and Ms. Oliver in welcoming our
22 guests and members today. I have a few business

1 items to mention regarding NACMCF.

2 The current charter is available on the
3 FSIS website, and it is current through June 5,
4 2010, and as Dr. Goldman already mentioned, this
5 NACMCF membership runs through March 23, 2009. And
6 importantly I wanted to mention the process to
7 establish the membership for the next NACMCF term
8 has been ongoing.

9 Ultimately, the Secretary will be
10 appointing 30 members to NACMCF to serve for the
11 next two-year term. We were targeting that a new
12 Committee would be in place with little lag time
13 after March 23rd. However, it turns out we are
14 operating on a bit of a delay with the processing of
15 the new 2009 - 2011 membership. It has been on hold
16 as the new Administration has been reviewing all
17 advisory committees, but I am happy to report that
18 things are moving again and our Committee paperwork
19 and approvals are being worked on at this moment.
20 I'm hesitant to make a prediction about when the
21 next appointed Committee might come to be, but I
22 will say, that if all goes smoothly, perhaps

1 appointments could occur in June.

2 Now I've mentioned this before, but I
3 wanted to give a quick status report on the two
4 final reports that this Committee has completed.
5 Dr. Goldman has mentioned those. The Seafood Cook
6 Report was published in the June issue of the
7 Journal of Food Protection, and it is also on our
8 FSIS website, and the *Mycobacterium avium* subspecies
9 *paratuberculosis* or MAP Report, we've been working
10 on that quite extensively. It has been reformatted
11 and it is ready to be submitted to the Journal of
12 Food Protection for publishing.

13 However, before we send the document to the
14 journal, and also being prompted by a suggestion by
15 a Committee member who was present for the adoption
16 of this document, we are making an assessment
17 whether new references need to be added to this
18 paper. We have asked NACMCF members to consider
19 these new references which you have before you, and
20 so far the response has been favorable. And at this
21 point, I would like Dr. Don Zink, who chaired that
22 Subcommittee, to say a few words.

1 DR. ZINK: Thank you. The Subcommittee
2 felt like it would be a good idea to update this
3 document. MAP is such a fast moving field that we
4 felt that these 19 references printed on this sheet
5 before you should be reviewed and, if they're
6 appropriate, included in the document.

7 In going over the references preliminarily,
8 there's nothing in these references that changes the
9 conclusion of the document we wrote. However, we
10 think it would be valuable to have that document
11 reflect the most recent and relevant references. So
12 this would be the plan, and we'll go through the
13 document if you concur and insert these references
14 where appropriate.

15 Would anyone like to make any comments or
16 observations on this? Joe.

17 DR. MADDEN: Yeah, I fully agree that the
18 document should be updated, but there should be an
19 amendment added or something saying that these
20 references were added after the full Committee
21 approved the report, something to reflect that they
22 were added after the full Committee did approve it,

1 I think.

2 DR. ZINK: I think we can do that, yeah. I
3 think that's appropriate. If nothing else, I'll
4 pass it back to Gerri.

5 MS. RANSOM: Do we have a target date for
6 completion and adding the references? Do you have
7 an estimate?

8 DR. ZINK: Yeah, I think we can do this
9 within a couple of weeks. I'd like to see this move
10 just as fast as we can, and so we'll give it a
11 really high priority.

12 MS. RANSOM: Okay. Sounds good.

13 Moving on, I wanted to mention since we are
14 targeting the closing out of the current projects, I
15 wanted to talk about the next work charges to be
16 brought before the 2009 - 2011 Committee. Today we
17 will be hearing about one new charge from Dr. Tim
18 Stevenson of the Department of Defense who will
19 provide us with the details on this charge on
20 microbiological criteria.

21 An additional charge in preparation is one
22 on the control of foodborne norovirus. This joint

1 agency charge on norovirus control is very close to
2 being final, but we are waiting for the release of a
3 FDA risk profile to see if any adjustments need to
4 be made to the charge based on any new information
5 that may be in this FDA report.

6 Moving on, I'll mention a few items of
7 protocol today. I think we've all figured out how
8 to work these microphones by pressing the button,
9 but I do want to mention as we've done in the past,
10 if you'd like to participate in discussions today,
11 please take your name card and set it vertically and
12 our Chair will call on you.

13 Also please remember to state your name and
14 affiliation for the record each time you're
15 addressing the Committee as this session is being
16 recorded to create a transcript. And Karen has
17 prompted me to remind everyone, and we're finding
18 out that BlackBerrys and cell phones are interfering
19 with the recording today. So if you could, could
20 you please turn off your BlackBerrys. Unless it's
21 absolutely essential that you have them on, put them
22 under the table but being on the table is especially

1 bad.

2 Okay. Another thing I wanted to mention
3 which I should have mentioned first maybe is that
4 the restroom, the location of the restrooms. If you
5 go outside to your right and you're looking at the
6 hallway, the ladies' room is to the right and the
7 men's room is about a mile down to your left.

8 (Laughter.)

9 MS. RANSOM: Now I wanted to mention for
10 any guests wishing to make public comment, we ask
11 that you please register with us where we have a
12 sign-up sheet, and that will be out front. Each
13 registrant will have 10 minutes for their remarks.

14 I also want to point out to our guests that
15 we have a table out front which you probably already
16 have seen that has copies of the documents that will
17 be discussed today and some other materials. And I
18 do want to mention at this point, if there's anybody
19 in the room who needs a copy of either of the
20 documents that are going to be discussed today, that
21 would be the Inoculated Pack or the New
22 Technologies, raise your hand and Michelle over

1 there in the corner by the light switch, with the
2 big pile, will help you out. And that goes for
3 Committee members, too, if you didn't bring your
4 copies. So just raise your hand, and Michelle will
5 help us out.

6 Okay. One additional and final item I need
7 to mention to you today is that as soon as you're
8 able, and this is pretty standard, please fill out
9 your travel expense sheets for your reimbursement
10 for travel to this meeting and provide the
11 information to Karen Thomas-Sharp, and I wanted to
12 say my apologies for recent delays in reimbursements
13 that occurred with the change in our fiscal year,
14 combined with the switching over to a new electronic
15 system. But I am happy to report there is good news
16 here because the reimbursement process really now is
17 streamlined. So I think you'll find delays won't be
18 happening again. So if you have any questions on
19 this or need assistance, please do see Karen.

20 And at this point, I'm going to wish
21 everyone a good meeting and get back to Dr. Goldman.

22 DR. GOLDMAN: Okay. Thank you, Gerri. Let

1 me just pause a minute and see if there are any
2 questions for Gerri or Karen or anybody else who is
3 staffing the Committee. Most of you are veterans
4 and kind of know the ropes here.

5 (No response.)

6 DR. GOLDMAN: We have a very full agenda,
7 and the agenda has already changed, only slightly.
8 We're aware that some members of the Committee have
9 flights mid-afternoon or so or late afternoon and
10 need to depart obviously to make those flights in
11 time, and we do need a certain number of Committee
12 members present to adopt the reports that we're
13 going to adopt or we hope to adopt today.

14 So we're going to deviate from the schedule
15 that the members have in front of them and move the
16 presentation of the work charge that was just
17 mentioned by Dr. Stevenson to after the
18 deliberations on the two reports. So that's the
19 slight change there.

20 I guess the other thing I'd want to point
21 out in terms of the agenda is that we've allotted
22 two hours to each Subcommittee's review of their

1 reports, and those of you who are veterans know that
2 it's up to the Subcommittee Chair, but I think in
3 both of these instances, the intention is for them
4 to go page by page through the report, and a couple
5 of ground rules for that process.

6 That page-by-page review is primarily for
7 the members of the Committee who haven't been on the
8 Subcommittee. Certainly Subcommittee members who
9 need to register a point or make a point should feel
10 free to do that, but again primarily that's for the
11 other Committee members who have not already been a
12 part of the Subcommittee deliberations to raise
13 concerns or make points during that review process.

14 So as I mentioned, Dr. Stevenson will
15 present after that.

16 The other consequence of this change is
17 that we've moved lunch back hoping that will maybe
18 spur our review of things. I think lunch is now
19 going to be at 12:45. Is that right? Something
20 like that.

21 Dr. Stevenson is the DoD's representative
22 to the Executive Committee, and he will present a

1 DoD initiated charge, as Gerri has mentioned
2 already, on the study of microbiological criteria as
3 indicators of process control or insanitary
4 conditions. This is an area of work that's very
5 important to the Department of Defense, in order
6 that they can ensure the safety of foods that are
7 purchased outside of the U.S., in particular for
8 military personnel.

9 First on today's agenda then we will still
10 hear from the Inoculated Pack Subcommittee as it's
11 listed on your agenda, and then later we will hear
12 from Uday Dessai regarding the New Technologies
13 Subcommittee. I guess we're overemphasizing the
14 point that we're at a very important point for both
15 of these works in that we hope to be able to adopt
16 both of the reports through the action of the full
17 Committee today.

18 I do want to point out that I'm aware that
19 both of these Subcommittees have worked long hours.
20 In fact, Uday Dessai told me yesterday that his
21 Subcommittee in particular didn't want to take lunch
22 breaks earlier in the week, and I think that's a

1 testament to the hard work and dedication of
2 Committee members.

3 You'll hear as we go through both of these
4 reports that there are many inherent complexities to
5 the reports themselves. There was a lot of, I
6 think, healthy debate about the right approach to
7 take in the development of these reports, but in any
8 case, the two NACMCF Subcommittees have worked
9 diligently, and again on behalf of all of the
10 sponsoring agencies, I want to express our
11 appreciation for the hard work that you've put into
12 these projects.

13 So now we will move to the real work of
14 today's Committee, and we will start with Dr. Don
15 Zink, from the Food and Drug Administration, to lead
16 our discussion and review of the Inoculated
17 Pack/Challenge Study Protocol paper. Don.

18 DR. ZINK: Thank you. I have to say I
19 think that the extra time we spent on this document
20 was really a great benefit. We were able to tighten
21 it up quite a bit and had the luxury, if you will,
22 of going over it, and then putting it down and going

1 over it again, which is always helpful. Even with
2 that, it seemed down to the wire there for a time.

3 What I want to do first is give you some
4 broad stroke impressions or summary of the changes
5 that were made to the document.

6 First, you'll notice we added an abstract.
7 This is necessary for publication in a peer-reviewed
8 journal, but probably one of the most significant
9 things was discussions and additions pertaining to
10 the use of statistics.

11 I have to tell you that historically what
12 was done in inoculated pack studies would fall under
13 the heading of common practice by scientists. And,
14 since one of the main target audiences of this
15 document is food service operators, for example,
16 it's difficult to statistically characterize and
17 design a study using the statistical approach based
18 on the variables that you can have in preparation of
19 food, particularly in a kitchen setting.

20 And so historically these studies have been
21 done by designing them on a worst-case scenario for
22 each variable on the theory, and history has proved

1 this workable, on the theory that you'll never
2 encounter a food that has the perfect alignment of
3 worst case in every such variable. However, I think
4 the comments we got on statistics were, you know,
5 very appropriate and caused us to go back through
6 the document and look at where statistical
7 considerations needed to be included, and we've done
8 that.

9 So the use and limitations portion of the
10 document was modified to note that you have to
11 balance the statistical validity with practicality.
12 These studies are tremendously expensive, and we
13 don't want to make them more so, but you do need to
14 be consulting a competent statistician. And it was
15 modified in several places to reflect where you
16 particularly could benefit from consulting a
17 statistician.

18 And it notes that sampling schemes for food
19 microbiology experience, again as I've described to
20 you, were done primarily on common practice and not
21 solely on statistical design. I think it was
22 necessary to make that clear to the readership, of

1 this philosophy, and frankly until we got the
2 comments about the use of statistics, we hadn't
3 really made it clear this philosophy of worst case
4 scenario experimental design.

5 Some other edits to it was Appendix B,
6 considerations for selecting a laboratory. The
7 Committee appreciates and is very concerned that
8 doing these kinds of studies is part art and part
9 science. And probably the single most important
10 thing you can do in getting one of these studies
11 done correctly is to work with somebody who really
12 knows what they're doing and has the facilities to
13 do it right.

14 And there are many, many laboratories that
15 are perfectly competent testing laboratories but not
16 at all competent to do these kinds of studies, and
17 some of the laboratories that are very competent to
18 do these kinds of studies aren't your ISO
19 accredited, certified testing laboratories. A lot
20 of them are more university laboratories, and we
21 tried to make changes in this appendix to reflect
22 that, and we do hope people will read that and take

1 careful note of it.

2 We list questions you should pose in
3 considering which laboratory or person to design and
4 do these studies, and they're not listed in any
5 order of importance. It would be hard to do that
6 because of the variability in studies themselves.
7 And a negative response to one or more of these
8 questions doesn't necessarily disqualify a
9 laboratory. Like I said, you could have a very
10 competent university laboratory that doesn't
11 participate in check sample programs, isn't an ISO
12 accredited laboratory, but nonetheless is competent.

13 The most important considerations again are
14 associated with qualifications of personnel, and we
15 hope that comes through in the document.

16 With that, what I would like to do is begin
17 the page-by-page review of the document. At the
18 Committee's pleasure, if you would like to
19 streamline the process, you can come to me with
20 typographical type changes and grammatical type
21 changes, and we can focus on the more substantive
22 changes if that would be acceptable to everybody,

1 not that we have any typographical errors in here.

2 (Laughter.)

3 DR. ZINK: With that, I'll open it up for
4 discussion of page 2.

5 (No response.)

6 DR. ZINK: All right. We'll move to
7 page 3. Page 4. Page 5. Page 6. Page 7. Page 8.
8 Page 9. Page 10. Page 11. Page 12. Page 13.
9 Page 14.

10 Page 15. Lee-Ann.

11 DR. JAYKUS: Lee-Ann Jaykus, North Carolina
12 State University. On line 618, since sometimes
13 these markers are not necessarily extra-chromosomal,
14 they can sometimes be integrated within the
15 chromosome, I just suggest a minor wording change to
16 extra-chromosomal elements such as plasmids or other
17 genetic markers.

18 DR. ZINK: That language again was or
19 other --

20 DR. JAYKUS: Other genetic markers.

21 DR. ZINK: Other genetic markers. Would
22 you say other genetic markers or other genetic

1 elements?

2 DR. JAYKUS: I would say markers because if
3 you look at like, you know, lux genes and things
4 like those, those really are markers.

5 DR. ZINK: Is there any discussion of this?
6 If not, we'll make the change. Is there any other
7 comments on page 15?

8 (No response.)

9 DR. ZINK: Page 16. Page 17. Page 18.
10 Page 19. Page 20. Page 21. Page 22. Page 23.
11 Page 24. Jenny.

12 MS. SCOTT: Just a comment. I'd like to
13 draw the Committee's attention to the sentence on
14 lines 1023 through 1025. We had a lot of discussion
15 about the fact that this document does not deal with
16 how to address some of the issues that come up any
17 time you do a challenge study. What do you do when
18 you've got some widely disparate values? What do
19 you do when one replicate of your experience is
20 statistically different from the other two
21 replicates?

22 And we really felt that there aren't rules

1 out there. This is a really large topic, and this
2 was perhaps an area where the Executive Group could
3 pose another charge to the Committee to look at some
4 of the issues around this in the future.

5 DR. ZINK: Jenny's remarks, you know,
6 remind me of the extensive discussion we had on
7 this. One of the great debates that we had, one of
8 the purposes of these studies, is determining
9 whether or not a pathogen grows in a product, and
10 you have inherent variability in microbiological
11 counts even when done carefully, and that
12 variability tends to increase as the duration of
13 this study progresses. How big an increase is a
14 statistically significant increase or is a truly
15 biologically significant increase.

16 It was such a difficult issue that involved
17 so many things that I don't think this Committee
18 could possibly put clear solutions to it in this
19 report without spending possibly another year and a
20 half on the endeavor. And it was actually, rather
21 than include this in the report as a recommendation,
22 the Subcommittee wanted to recommend to the full

1 Committee and the Executive Board that we consider
2 ways in which a document could be generated, that
3 would be, we called it at one point of kind of a
4 primer for the application of statistical and other
5 interpretative tools, to microbiological methods.
6 There's a huge need for this, and when is
7 variability in counts too much as Jenny said, and
8 what exactly constitutes a significant increase in
9 numbers. This is even debated and discussed in
10 Codex documents with a fair degree of uncertainty.

11 So we think that's a very important
12 consideration, and NACMCF may or may not be the best
13 way or the best place to do and get this information
14 published, but it's, we think, very important.

15 Do we have any discussion on this point or
16 recommendations from anyone else? Spencer.

17 MR. GARRETT: Spencer Garrett with NOAA
18 Fisheries. Other than to say I totally agree with
19 you, that I think the discipline of microbiology, I
20 think we need to kind of get the statisticians and
21 the microbiologists together and have a peaceful
22 resolution on how we proceed. (Laughter.) Thank

1 you.

2 DR. ZINK: Barbara.

3 MS. KOWALCYK: I just want to reiterate
4 what Spencer said and that I agree with the
5 recommendation and I concur, and I'm glad to see
6 that it was addressed in the document. Thank you.

7 DR. GOLDMAN: Just a comment. I understand
8 exactly what you've said, both you and Jenny. The
9 first sentence in that section has three references
10 which might lead the reader to conclude that there
11 is some guidance out there about how to interpret
12 test results. I don't know these references. I
13 think one of them was written by people in the room
14 here or at least they're co-authors.

15 Does the Committee or Subcommittee have any
16 concern about that, the way that section leads off
17 the discussion about interpretation of results?

18 DR. ZINK: I think that -- no. We have put
19 in there I think what we felt was available, but no
20 one feels that there's a real go-to source that
21 clearly lays all out the information that you need
22 for these kinds of things. Okay.

1 DR. SCHAFFNER: Don Schaffner, Rutgers
2 University. Yeah, just to reiterate that. Although
3 the first sentence does kind of indicate that there
4 are some references out there, if you read through
5 the rest of the section, what the Subcommittee does
6 in the rest of the section is really illustrate some
7 different points of view, and I think that
8 concluding, and we debated where this sentence was
9 going to go. And, finally, we decided that this
10 sentence needs to go at the end so that the person
11 having read through the entire section realizes,
12 hey, you know, there really is not consensus out
13 here, and we wanted to lay out that lack of
14 consensus for readers of the document but also point
15 the way towards maybe some way we could get some
16 consensus.

17 DR. ZINK: Jenny.

18 MS. SCOTT: I just wanted to point out that
19 those three references in the first sentence are
20 specifically addressing the point that in doing
21 these studies, you need to have an expert
22 microbiologist involved to do the interpretation.

1 It's just a key point that we tried to make
2 throughout this document that it's absolutely
3 essential that you have a microbiologist who's
4 familiar with these studies and interpreting the
5 results to make a determination of the significance.

6 DR. ZINK: Do we have any other discussion
7 on page 24?

8 (No response.)

9 DR. ZINK: Page 25. Page 26. Page 27.
10 Page 28. Page 29.

11 What we are left with in the document is
12 what we would call our worksheets. We were charged
13 with responding to specific examples. In essence,
14 what we've done is created a document here that says
15 how you would design a challenge study of one type
16 or another, and then we were charged to take our
17 work product and show how to use it for several
18 specific examples.

19 And in getting into this, we decided that
20 there was a need for a good deal of preliminary
21 information.

22 Table 1 is a discussion of the expertise

1 you need, and it's followed by tables that provide
2 assistance in selecting the proper challenge
3 organisms and giving you some idea of the physical
4 chemical parameters of a food that would possibly
5 limit your choice of challenge organisms. And
6 finally, we give some definitions, but getting into
7 the actual worksheets themselves beginning on food
8 product checklist on page 60.

9 So I'll begin with this section. Are there
10 any comments on page 39?

11 (No response.)

12 DR. ZINK: Page 40. Page 41. Page 42.
13 Page 43. Page 44. Page 45. Page 45. Page 46.
14 Page 47. Page 48. Page 49.

15 Then beginning the -- oh, no, not yet.

16 Page 50. Page 51. Page 52. Page 53.
17 Page 54. Page 55. Page 56. Page 57. Page 58.
18 Page 59.

19 And then beginning the checklists
20 themselves. Page 60. Page 61. Page 62. Page 63.
21 Page 64. Page 65. Page 66. Page 67. Page 68.
22 Page 69. Page 70.

1 Page 71. Page 72. Page 73. Page 74.
2 Page 75. Page 76. Page 77. Page 78. Page 79.
3 Page 80.
4 Page 81. Page 82. Page 83. Page 84.
5 Page 85. Page 86. Page 87. Page 88. Page 89.
6 Page 90.
7 Page 91. Page 92. Page 93. Page 94.
8 Page 95. Page 96. Page 97. Page 98. Page 99.
9 Page 100.
10 Page 101. Page 102. Page 103. Page 104.
11 Page 105. Page 106. Page 107. Page 108. Page
12 109. Page 110.
13 Page 111. Page 112. Page 113. Page 114.
14 Page 115. Page 116. Page 117. Page 118. Page
15 119. Page 120.
16 Page 121. Page 122. Page 123. Page 124.
17 And page 125. And technically we have a bid on page
18 126.
19 Okay. Does anyone have any overarching
20 comments they'd like to make about the document?
21 Yes, Spencer.
22 MR. GARRETT: Again Spencer Garrett with

1 NOAA Fisheries. I want to commend the Subcommittee
2 on finally ferreting out all this information and
3 putting it together in one spot where people can
4 find it. I think it's just a tremendous piece of
5 work you all did, and it's going to have a wide,
6 broad impact on teaching microbiology and doing
7 challenge studies and so forth. So thanks from one
8 microbiologist.

9 DR. ZINK: Thank you. Well, with that,
10 that concludes -- oh, excuse me. Stephen.

11 DR. KNABEL: Steve Knabel from Penn State
12 University. I just have a question, and maybe it's
13 already covered in the document. FDA, as I
14 understand it, sometimes looks for a process
15 authority to make an evaluation on some of these
16 things. Was that discussed in the document? And
17 how is that going to integrate with this?

18 DR. ZINK: The only place we have used the
19 phrase process authority was in connection with
20 establishing thermal processes for low acid canned
21 foods and acidified foods. The extension of that
22 term to other areas has been discussed, and if I'm

1 not mistaken, there was some discussion about it in
2 our early deliberations, but rather than create sort
3 of an expanded role for the phrase process
4 authority, we instead focused on the fact that it's
5 necessary to find yourself a really experienced
6 microbiologist that would be, you know, a suitable
7 expert in this area.

8 If there's no further discussion, that
9 concludes the Subcommittee's presentation to the
10 Committee, and I'll turn it back to Dr. Goldman.

11 DR. GOLDMAN: All right. Thank you very
12 much. And it was a nice review, and I think the
13 fact that there were so few questions again is
14 testament to the hard work that the Subcommittee did
15 in kind of answering any possible questions up
16 front.

17 At this point, with that review of the
18 paper, we're at the point of accepting a motion to
19 approve this document as has been slightly amended
20 during the course of the review. Do I have a motion
21 from a member?

22 DR. MAZZOTTA: I so move.

1 DR. GOLDMAN: Okay. Dr. Mazzotta is --

2 DR. JAYKUS: I'll second it.

3 DR. GOLDMAN: And Dr. Jaykus is seconding.

4 All right. Any further discussion of this document?

5 (No response.)

6 DR. GOLDMAN: Anyone opposed to adoption of
7 the document that we just reviewed?

8 (No response.)

9 DR. GOLDMAN: All right. Then the document
10 has been adopted. Thank you very much. I feel like
11 clapping.

12 (Applause.)

13 DR. GOLDMAN: Thanks again, Don. That was
14 great. Very good.

15 DR. ZINK: It was all the Committee,
16 certainly not me, and through the use of webinar, we
17 vastly extended the number of hours we can expend on
18 a project, and their forbearance is to be commended.

19 DR. GOLDMAN: Good. Well, my thanks to all
20 of you. Boy, that just provided an extra hour and a
21 half in the agenda. We will, however, take a 15-
22 minute break now before we go to the next document.

1 I know we've only been at it for an hour, but we'll
2 take a 15-minute break, and at 10:15, Dr. Dessai
3 will lead us through the second document.

4 (Off the record.)

5 (On the record.)

6 DR. GOLDMAN: All right. Good morning
7 again. I think we will resume our deliberations
8 with the review of the second document, from the
9 Subcommittee on the Determination of the Most
10 Appropriate Technologies for the FSIS to Adopt in
11 Performing Routine and Baseline Microbiological
12 Analyses, and I'm going to turn it over to the
13 Subcommittee Chair, Uday Dessai.

14 DR. DESSAI: Thank you, David. I'd like to
15 take the podium for a little bit. It looks like
16 this is a lull before the storm or what because the
17 morning was quiet, quiet, and I heard a lot of
18 chatter out there saying just wait.

19 (Laughter.)

20 DR. DESSAI: But I think we really had a
21 very productive 18 some months on this Subcommittee,
22 and I definitely want to read out the names of the

1 people who were part of this Subcommittee who, like
2 David said, who even refused to take their lunch
3 breaks and worked very hard and despite the
4 difficulties, personal difficulties, made time and
5 contributed to this effort.

6 We have Peggy Cook. Dean Cliver couldn't
7 make it this time. We have Dan Engeljohn, Walt
8 Hill, Lee-Ann Jaykus, Julie Ann Kase, Stephen
9 Knabel, then Barbara Kowalcyk, Jianghong Meng,
10 Angela Ruple who couldn't make it this time, and Rob
11 Tauxe is here in D.C., and then he -- if the first
12 Committee had taken longer time, probably Rob would
13 have been here by the time we are ready to present
14 the charge, but probably he'll come here a little
15 later, Irene Wesley, then Kelly Bunning and Tim
16 Freier. Tim Freier wasn't even there on the
17 Subcommittee to start with, but then he made time
18 and then he's contributed substantially, too.

19 So having said that, I will just give you
20 an overview summary of the charge, not the whole
21 charge, but the six items that we had on the charge,
22 and then I will approach the charge.

1 We had six charge questions, and like we
2 presented earlier, the charge was kind of I would
3 say amorphous, very diffused and different
4 questions, and the parts of those questions went to
5 different places, and the charge was asking for
6 everything. And the Subcommittee actually spent a
7 whole lot of time to digest the charge and see how
8 it can be best addressed, and there were various
9 versions of how it could be addressed, but finally
10 we decided to stick to the charge.

11 And these are the six questions here, most
12 appropriate and promising technologies, advantages,
13 disadvantages, then the major question was about
14 cell viability and culture confirmation.

15 Then charge 3 covered sampling issues,
16 right from site, size, location, rinse versus
17 excision, and the method parameters.

18 Can SNP technology be applied for detection
19 and process control and thereby its cost
20 effectiveness and then timeliness, that was question
21 number 4, which was quite difficult for the
22 Subcommittee to really address without getting into

1 further details of subtyping.

2 Then the new technology was to be put in
3 perspective with attribution risk profiles to model
4 human illnesses. The Committee had little
5 difficulty because this is a whole different area,
6 but the Committee did answer the question.

7 Then issues with implementing the new
8 technologies for FSIS testing, including research
9 gaps. So this is the last part, which is very
10 important. We have identified research gaps as well
11 as there are recommendations to those research gaps,
12 which is section five and six.

13 Now the approach to the Subcommittee, the
14 rationale to basically deal with this task was top
15 down, that is starting with what is FSIS' vision,
16 then you have the mission, you have the goals,
17 strategic goals, how they tie to food safety and how
18 would changes in methodology tie to those goals, and
19 that was discussed extensively by the Committee.
20 And then you will see it scattered all over the
21 report tying what we do in terms of technology or
22 new technology adoption to the strategic goals and

1 whether it's public health goals or food safety
2 objectives.

3 Then the Committee strongly felt that there
4 needs to be a process, a global process, by which
5 FSIS can have new methods presented through that
6 process and they can be ready for adoption, and
7 there should be criteria for that process. So the
8 Committee spent quite some time, and a process has
9 been developed as a guideline for FSIS, and details
10 about that process leading to method validation and
11 adoption have been described.

12 Response to the questions are contained in
13 multiple sections like I said earlier, and those are
14 identified in the table of contents where different
15 questions are answered. We didn't specifically
16 answer question 1, 2, 3, 4. They're in different
17 sections as it goes with the flow of the document.

18 And here we have the background. In the
19 background area we have federal food testing
20 programs which covers different agencies. Then we
21 have current methods and approaches. Then purposes
22 for microbial testing at different federal agencies,

1 type of testing that is done, and then performance
2 criteria currently used, and then we have emerging
3 technologies and methods which are out there, which
4 have been summarized. Considerations in choosing
5 the methods, that has been kind of qualitative
6 ranking or comparison of the methods. It was not
7 quantitative. It was just saying that these
8 methods, if these are the parameters, then these
9 methods can be used for following purposes. And
10 then we have considerations for choosing methods,
11 process evaluation of methods, and then barriers and
12 gaps like I said earlier, and recommendations.

13 So that's the overall flow of the document
14 that you'll be looking through in a little bit.

15 From the document that you received some
16 time ago, which was to be sent to us by the 12th, we
17 didn't receive a whole lot of comments, but the
18 comments that we had received, we have addressed
19 those comments. And the Committee over this week
20 was supposed to meet Monday, Tuesday but continued
21 through yesterday, and what was done was there is
22 not much substantive material that's been added or

1 changed. Things have been edited for fluency and
2 for better flow between the sections and then
3 sentences in places.

4 Also we did little effort on focusing and
5 removing redundancies, which were seen in the
6 document in many places. And I think the document
7 reads good, in my opinion, at this point in time,
8 and I will go through the document page by page like
9 Don did.

10 I would -- yes.

11 DR. JAYKUS: Uday, I'm sorry to interrupt,
12 but I did want to point out that on Monday, the
13 Committee went through the recommendations and the
14 concluding statements pretty extensively. So while
15 the nature of them have not necessarily changed,
16 that part of the document is substantially different
17 than what you would have received two weeks ago.

18 DR. DESSAI: Thanks, Lee-Ann. Actually I
19 had a summary of those recommendations here, but I
20 thought as we go through the document, we'll come to
21 it anyhow. Thank you.

22 All right. We start the review of the

1 document from page 1. Page 2. And 3. Page 4.

2 MR. GARRETT: Uday, I regret to taking you
3 back to page 1.

4 DR. DESSAI: Okay. That's fine.

5 MR. GARRETT: Just the disclaimer, is this
6 disclaimer statement going to remain on the document
7 when it's final? If it is, I would suggest you say
8 the U.S. Department of Agriculture and other NACMCF
9 sponsoring agencies.

10 DR. DESSAI: Okay.

11 MR. GARRETT: If it's to remain. I
12 recommend that it remain.

13 DR. DESSAI: Any other comments on page 1?

14 (No response.)

15 DR. DESSAI: Okay. We'll go to page 5.
16 Page 6. Page 7. Page 8.

17 DR. GLASS: Kathy Glass --

18 DR. DESSAI: Yes, Kathy.

19 DR. GLASS: -- UW Madison. I'd like to
20 make a suggestion for items in lines 30 and 31 which
21 make reference specifically to foodborne infections
22 and not the broad spectrum of foodborne illness,

1 because foodborne illness also will include those
2 things that are intoxications, those that are going
3 to be involved with consumption of preformed toxins.
4 If we take a look at *Staphylococcus aureus* and
5 *Clostridium botulinum*, those things also will have
6 potential technologies that need to be of concern
7 here --

8 DR. DESSAI: Okay.

9 DR. GLASS: -- and just to make that a
10 broader review of making sure that people aren't
11 confusing infections with those particular
12 intoxications.

13 DR. DESSAI: Thank you, Kathy.

14 Pages 8 and 9. Pages 10 and 11. Pages 12
15 -- yes, David.

16 DR. GOLDMAN: Sorry. David Goldman, FSIS.
17 Sorry, back to page 9. I just noticed this. On the
18 healthy people table, the 2010 target for *Listeria*
19 is actually 0.24. The footnote is correct that the
20 target was changed from some number that I can't
21 recall to 0.25 as a result of an Executive Order,
22 but during the midcourse review for healthy people

1 2010, the target for 2010 was changed to 0.24. So
2 there may need to be some further clarification in
3 the footnote there.

4 DR. DESSAI: Change noted. Thanks, David.

5 Pages 10 and 11. 12 and 13. 14 and 15.
6 16 and 17. Page 18. Pages 19 and 20. Page 21.
7 Pages 22 and 23. Pages 24 and 25.

8 Yes, Jenny.

9 MS. SCOTT: On page 24, on the in-process
10 control, your last sentence says that it's likely
11 that a number of emerging technologies might be
12 applicable to monitoring and verifying process
13 control. Are you intending to suggest here that
14 there might be technologies that measure pathogens
15 that could be used for process control, or is that
16 for all organisms in general?

17 DR. DESSAI: This was all organisms in
18 general for in-plant process control. You want this
19 clarified?

20 (No response.)

21 DR. DESSAI: Thank you. Any comments on
22 page 25?

1 (No response.)

2 DR. DESSAI: We move to 26 and 27. 28 and
3 29. Page 30. Pages 31 through 38. Page 39.

4 Pages 40 and 41. Pages 42 and 43. Pages
5 44, 45, 46 and 47.

6 Yes, LeeAnne.

7 DR. JACKSON: On page 46, Footnote F, just
8 reading through it, it doesn't look to me like it
9 reads correctly.

10 DR. DESSAI: Okay. What's that again?

11 DR. JACKSON: Okay. Footnote F, where it
12 says enumeration (quantified) capability of an assay
13 to provide enumerate the number of bacteria present.

14 DR. DESSAI: Okay. Okay.

15 DR. JACKSON: I'm not sure exactly what the
16 intent is there, but I would suggest that you make
17 it read whatever the appropriate way is --

18 DR. DESSAI: Okay.

19 DR. JACKSON: -- that you mean for it to
20 read.

21 DR. DESSAI: We'll do that.

22 DR. ENGELJOHN: Uday, this is Engeljohn.

1 If we remove the word provide, I think that's the
2 intent.

3 DR. DESSAI: Thanks, Dan. Any other
4 comments of page 47? Pages 48 and 49. Page 50.
5 Pages 51 through 56.

6 DR. DESSAI: Page 57. Pages 58 and 59.
7 Spencer.

8 MR. GARRETT: On the previous tables that
9 we've gone through, on Table 5, we put the headers
10 on each page so you don't have to -- my memory span
11 is not that good. (Laughter.) Just put it on each
12 page would be helpful.

13 DR. DESSAI: Okay.

14 MR. GARRETT: Thank you.

15 DR. DESSAI: Thank you, Spencer. So
16 through 60, any comments?

17 (No response.)

18 DR. DESSAI: Page 61. Page 62. Pages 63,
19 64 and 65. Pages 66 and 67. Page 68. Page 69.
20 Page 70. Page 71.

21 Yes, Jenny.

22 MS. SCOTT: In number 2, I suggest that we

1 be a little more formal than saying that the methods
2 are not ready for primetime and say that they're
3 maybe not ready for implementation.

4 DR. DESSAI: Okay. Okay. Noted. Thank
5 you. Page 71, any other questions?

6 (No response.)

7 DR. DESSAI: Page 72. Page 73.

8 DR. MADDEN: Joe Madden. I have a
9 procedural type of comment I think on item number 4.
10 Has the Committee in the past recommended
11 restructuring something, an organization type of
12 thing, and I think it's kind of a policy issue here
13 that we're dealing with as to who does what in USDA.
14 And I'm just concerned, is this properly placed in
15 this document? Just a comment that I wanted to
16 bring up, and I'm specifically referring to page 73,
17 item number 4.

18 DR. DESSAI: Joe, I'll give a little
19 background here, and then I would want other members
20 of the Subcommittee to come in because there was a
21 lot of discussion on this issue, and as the
22 Committee began to learn more about FSIS and how

1 FSIS conducts business, and then in the context of
2 the other federal agencies who are doing similar
3 business, the Subcommittee strongly felt that that
4 component, the scientific component to be able to
5 apply resources to those questions related to
6 methods, method development, part of method
7 development, not from scratch and then validation.
8 Those are very, very important issues which can keep
9 FSIS from not doing what they could be doing much
10 better.

11 So the Committee came to this
12 recommendation, and then I will have the other
13 Subcommittee members to really weigh in here, and
14 this was strongly felt by the Subcommittee.

15 DR. MADDEN: Joe Madden. I strongly agree
16 with it, but I'm, you know, just questioning should
17 it be placed in this document here? I totally agree
18 with you.

19 DR. DESSAI: Okay.

20 DR. MADDEN: But it's just, should it be
21 here?

22 DR. DESSAI: Subcommittee.

1 MR. GARRETT: Thank you, Mr. Chair. I
2 think there are two points to make. One, that it is
3 a policy issue without a doubt at least to me and,
4 of course, a little bit further down, you know, it
5 talks about the Inter Personnel Act and transferring
6 personnel among the agencies, which goes on now
7 frankly under that, but I think maybe if you just
8 soften it a little bit, you're saying the Committee
9 is concerned. Well, maybe that's soft enough.

10 (Laughter.)

11 MR. GARRETT: I'm not too sure we want to
12 disagree. I think that we're also concerned with
13 the current interpretation, something of that
14 nature, or you'll find yourself in a policy war
15 very, very quickly. And we've managed to keep
16 policy considerations out of these types and kinds
17 of documents. It's okay to recommend, you know,
18 that that be addressed. That's one thing, but to
19 say we're disagreeing with the current actions of a
20 major federal agency is totally something different.

21 And so I would just say, you know, that
22 it's not that we disagree. It's just that they're

1 concerned and let it go at that.

2 DR. DESSAI: Okay. Noted. Yes, Walt.

3 DR. HILL: Walt Hill. Spencer, then would
4 you agree that in Section 4, that we just delete the
5 sentence that starts on line 10? Would that be
6 satisfactory?

7 DR. ZINK: Why don't you put in is also
8 concerned.

9 MR. GARRETT: Yeah, or you could put it in
10 is also concerned with the current interpretation.
11 I don't mind leaving it in provided that it's not a
12 direct policy implication, okay, that we're
13 recommending a direct policy.

14 DR. DESSAI: Thank you.

15 MR. GARRETT: Or you could say recognizes,
16 but I think is also concerned would give it -- I'm
17 not for taking it out. I just want to make certain
18 that it reflects the concern of the whole Committee.
19 That's all.

20 DR. DESSAI: This statement has been
21 changed a number of times (laughter) from different
22 words and different weight on it to the current

1 state, and we will change it.

2 MR. GARRETT: Thank you.

3 DR. DESSAI: Any other comments on page 73?

4 MS. OLIVER: This is Janice Oliver.

5 DR. DESSAI: Janice.

6 MS. OLIVER: On that same paragraph, line
7 12, where it says this Committee recommends that
8 FSIS immediately assess the source.

9 DR. DESSAI: Okay.

10 MS. OLIVER: I think that that's a little
11 bit strong and the wording could be changed.

12 DR. DESSAI: Assess the need to conduct.

13 MS. OLIVER: Yeah.

14 DR. DESSAI: So drop the source.

15 MR. GARRETT: Why not just drop
16 immediately.

17 DR. DESSAI: Okay. Thank you. As it
18 stands, item 4, line 8 through 16 would read, "One
19 of the alternatives is the Committee is concerned
20 that FSIS has no clearly defined mandate and limited
21 infrastructure for method development and validation
22 activities to support its public health regulatory

1 program. Consequently, this Committee recommends
2 that FSIS assess the need to conduct method
3 development and validation and seek funding for this
4 effort, including in-house facilities pertinent, and
5 the organizational structure necessary for
6 successful implementation of appropriate
7 technologies that will allow the Agency to meet its
8 public health goals." Yes, Barbara.

9 MS. KOWALCYK: I just wanted to clarify. I
10 thought we agreed that we were going to retain the
11 sentence, "This Committee is also concerned with the
12 current interpretation that method development
13 constitutes a research activity and therefore falls
14 outside the FSIS mandate." So we were only going to
15 change that. We were not going to delete that
16 sentence but rather change disagrees to is also
17 concerned.

18 DR. DESSAI: Concerned, okay. Okay. Okay.
19 Noted. Thank you. Yes, LeeAnne.

20 DR. JACKSON: LeeAnne Jackson. On line 13,
21 where it mentioned the need to seek funding for this
22 effort, I'm not quite sure that that's an

1 appropriate piece of information that needs to be
2 included in the scientific document, that we're
3 recommending an agency then proceed forward with
4 trying to request funding in order to deal with
5 these types of efforts.

6 DR. DESSAI: Okay. We could delete that
7 sentence.

8 MR. GARRETT: I would suggest not the
9 sentence.

10 DR. ENGELJOHN: This is Engeljohn with
11 FSIS, and I'd like us to try to find some
12 alternative language, but I would insist as a
13 representative of FSIS on the Committee that the
14 statement needs to stay. I'd like the Committee to
15 perhaps help find some alternative wording that's
16 suitable.

17 MR. GARRETT: Seek resources.

18 DR. ENGELJOHN: Yes. This is Engeljohn,
19 and I think seek resources would be an acceptable --

20 DR. DESSAI: Okay.

21 DR. ENGELJOHN: -- substitution.

22 DR. DESSAI: Seek resources will be okay.

1 DR. ENGELJOHN: Yes.

2 DR. DESSAI: Thank you, Dan. Any other
3 comments on page 73?

4 DR. WESLEY: Could you read what the
5 changes have been then to 4?

6 DR. DESSAI: Okay. All right. "The
7 Committee is concerned that FSIS has no clearly
8 defined mandate and limited infrastructure for
9 method development and validation activities to
10 support its public health regulatory program. This
11 Committee is concerned with the current
12 interpretation that method development constitutes a
13 research activity and therefore falls outside the
14 FSIS mandate. Consequently, this Committee
15 recommends that FSIS seek resources for successful
16 implementation of appropriate technologies that will
17 allow the Agency to meet its public health goals."
18 Yes, Barb.

19 MS. KOWALCYK: Uday, I thought we had
20 agreed that in that last sentence that begins
21 consequently on line 13, that the only two changes
22 that we were going to make to that sentence were to

1 remove the word immediately and replace the word
2 funding in line 13 with resources. Otherwise, the
3 sentence will remain the same.

4 DR. DESSAI: Okay.

5 DR. MBANDI: Yeah, just what --

6 DR. DESSAI: That's the changes we have.

7 DR. MBANDI: Yes.

8 DR. DESSAI: Okay. Barb, the change is
9 noted.

10 DR. MBANDI: Yes. So it says,
11 "Consequently, this Committee recommends that FSIS
12 assess the needs to conduct method development and
13 validation and seek resources for this effort,
14 including in-house," yeah.

15 DR. DESSAI: That stays?

16 DR. MBANDI: Yes.

17 DR. DESSAI: That stays. Okay. Okay. I
18 thought the concern was on that wording, but this is
19 fine. No more comments on 73? David.

20 DR. GOLDMAN: David Goldman, FSIS. Line
21 34, I'd just be interested in the discussion. That
22 whole list of bullets says, for example, FSIS

1 should, and then the last bullet there it talks
2 about negotiating CRADAs. That's completely new
3 territory for FSIS, and I just wondered if you could
4 elaborate on the discussion a little bit, something,
5 you know, I typically think of ARS involved in.

6 DR. DESSAI: In the context of FSIS'
7 limited ability to support its mission in terms of
8 method development and validation, the Committee
9 strongly felt that there are other mechanisms used
10 by other federal agencies, or actively used by other
11 federal agencies which could be very useful for
12 FSIS, and that's what generated this list, and I
13 will actually have anybody from our Subcommittee to
14 add to this? Dan.

15 DR. ENGELJOHN: This is Engeljohn. Just to
16 follow up, I would as well, and I could certainly
17 use any supported statements from the rest of the
18 Subcommittee, but FSIS was welcoming the push to
19 think outside of the box that we normally think
20 within and to find other strategies. And so it was
21 intended to be worded to be a little more aggressive
22 in its nature.

1 DR. DESSAI: Spencer.

2 MR. GARRETT: Thank you, Mr. Chairman. I
3 can appreciate what Dan's indicating, but it just
4 seems to me it would read much better if you would
5 just seek cooperative agreement between FSIS and
6 commercial method developers and just let it go at
7 that, and that gives you the latitude outside the
8 box you're looking for. If it's something else than
9 that, then I would suggest again this is a policy
10 recommendation.

11 DR. DESSAI: Okay. Kelly.

12 DR. BUNNING: Kelly Bunning, FDA CFSAN. If
13 you'll go a www.fda.gov, you'll notice that there is
14 a link to the CRADAs that FDA has, of which there
15 are literally hundreds, and they are actually
16 negotiated. They are legal agreements. They're all
17 slightly different, although they work from a
18 boilerplate, and so it's a very viable mechanism,
19 and I don't really see why FSIS cannot also
20 negotiate CRADAs with a commercial entity as
21 identified by that extensive list.

22 DR. DESSAI: Lee-Ann.

1 DR. JAYKUS: Lee-Ann Jaykus, North Carolina
2 State University. I'm just going to make a
3 suggestion for a wording change on line, I guess
4 it's 24. Instead of saying, for example, FSIS
5 should, why don't we just say, for example, FSIS
6 could.

7 DR. DESSAI: Okay. Noted. Don.

8 DR. ZINK: Thank you. Don Zink, FDA.
9 Going back to that business with the CRADA, I think
10 at this corner of the table, we were sensitive to
11 the policy issues relative to the mandate of FSIS
12 and whether or not it included research. We were
13 thinking that, hey, you could just take that off the
14 radar screen by saying negotiate cooperative
15 agreements, whether you ultimately wind up calling
16 them CRADAs or memorandum of understanding and
17 agreement or whatever. You know, I think it would
18 have the same effect and wouldn't draw attention to
19 the policy question of whether or not there's a
20 mandate for research.

21 DR. DESSAI: Thank you, Don. Kelly.

22 DR. BUNNING: I think you're right, but

1 cooperative agreements are actually a type of grant,
2 and a CRADA is actually a very directed effort. So
3 I think what we were trying to do was give them all
4 the tools of the arsenal to be successful.

5 DR. DESSAI: So CRADA stays? Yes, Spencer.

6 MR. GARRETT: I understand what cooperative
7 agreements are, and I think, and maybe I'm over-
8 emphasizing this, but I think this is a lightning
9 rod for policy. I understand what you're trying to
10 do. Just say seek arrangements, whatever those
11 arrangements are.

12 DR. ZINK: Agreements.

13 MR. GARRETT: Well, not -- yeah, not
14 necessarily, but they can be negotiated. They can
15 be cooperative agreements. They can be direct
16 contracts. There's four or five different
17 possibilities, but I'm not going to belabor the
18 point, but I do think that it's a policy issue.
19 Once you start slipping into policy issues, I mean
20 we want science-based regulatory decisions, but once
21 you start slipping into policy issues in a
22 scientific committee such as this which, in fact,

1 has the scientific standing of only a Subcommittee,
2 I think you have to be very, very careful how you,
3 you know, words mean things which are actually --

4 DR. DESSAI: Thank you, Spencer. Kelly, if
5 this sentence would read, "Negotiate cooperative
6 research and development agreements and other --

7 DR. BUNNING: This is Kelly Bunning from
8 FDA. I think there are several words we can use
9 besides negotiate if that would --

10 DR. DESSAI: And I'm fine with that.

11 DR. BUNNING: -- develop cooperative or
12 whatever.

13 DR. DESSAI: Okay.

14 DR. BUNNING: Would that be suitable?

15 DR. DESSAI: Thanks. It looks like page 73
16 was very hot. Yes, Irene.

17 DR. WESLEY: Could you read what's left on
18 page 73, lines 34 and 35 then.

19 DR. DESSAI: Line 34 and 35. Develop
20 cooperative research and development agreements, and
21 I've taken off CRADA and temporarily I had put
22 similar other arrangements between FSIS and

1 commercial method developers, and we'll refine this.

2 Yes, Don.

3 DR. ZINK: You know, I think what you read
4 off could be fine. I wouldn't capitalize
5 cooperative research and development agreements, you
6 know. That makes it a little more generic, I think.

7 DR. DESSAI: Okay.

8 DR. ZINK: Alternatively you can say
9 develop cooperative arrangements, cooperative
10 relationships if you wanted to even soften it more,
11 but it's not a big issue to me either way.

12 DR. DESSAI: So the sentence then would
13 read develop cooperative relationships between FSIS
14 and commercial method developers.

15 MS. KOWALCYK: Uday.

16 DR. DESSAI: Yes, Barbara.

17 MS. KOWALCYK: I thought we had just agreed
18 that we were going to leave the sentence as develop
19 cooperative research and development agreements,
20 lowercases rather than capitalized. So it would be
21 a lowercase c, a lowercase r, d and a, and other
22 agreements between FSIS and commercial method

1 developers.

2 DR. DESSAI: Spencer, that's fine with you?

3 MR. GARRETT: That's fine.

4 DR. DESSAI: Okay. Thanks, Barb. Page 73
5 again.

6 (No response.)

7 DR. DESSAI: Okay. Page 74. Jenny.

8 MS. SCOTT: Jenny Scott, GMA. In number 8,
9 just before the bullets, you're talking about
10 recommending broad-based multidisciplinary research
11 efforts, and you say that recommendation could be
12 achieved with a presidentially directed task force.
13 And while that's true, do you want to restrict
14 yourself to that? Should we not be a little
15 broader? I mean couldn't the Secretary even direct
16 such a task force, the food safety working group.
17 There are lots of entities that might develop this.

18 DR. DESSAI: Well, this was again one of
19 the items which was discussed extensively right from
20 the Manhattan Project, two different ideas, and
21 finally to get attention, I think Kelly can say a
22 little more about this.

1 (Laughter.)

2 DR. KELLY: This is Kelly Bunning with FDA.
3 I, you know, think this issue is really the number
4 one recommendation that we have, the real issue with
5 bringing new technologies to bear as sample prep,
6 and we've been talking about it for so long, and we
7 don't want to have a grant system, open-ended grant
8 system where it's funded. What we really need to do
9 -- and it's not just foods; it's any complex method.
10 It's something that really goes across departments
11 and agencies, and we know the technologies are
12 really good if we had good sample prep. Almost all
13 of the advanced technologies out there would
14 actually interface with a good sample prep really
15 well.

16 So the question becomes how do we tackle
17 this really huge issue? And the reason why we
18 settled on presidentially directed, because I was
19 thinking in the back of my mind OSTP, which would be
20 a good place to lead this directive, it could, as
21 was suggested, be the Secretary of Department of
22 Health and Human Services. When you look at the

1 broad disciplines that need to come together, it
2 does fit that model.

3 DR. DESSAI: Okay. We have Spencer and
4 then we have Jenny.

5 MR. GARRETT: Thank you, Mr. Chairman.
6 Spencer Garrett with NOAA Fisheries. I'm certainly
7 sensitive to the need, and I think the way to do
8 this has just been indicated. It should be a
9 recommendation that the matter be referred to the
10 Executive Office of the President's Office of
11 Science and Technology, and I think that would
12 probably fly as a scientific recommendation, not
13 just a presidentially directed task force. We've
14 got plenty of those that are pretty busy right now.
15 But if you put in the Executive Office of the
16 President's OSTP --

17 DR. DESSAI: OSTP.

18 MR. GARRETT: -- then I think that's a
19 legitimate recommendation.

20 DR. BUNNING: I concur.

21 DR. DESSAI: Jenny.

22 MS. SCOTT: Nothing.

1 DR. DESSAI: Okay. Any other comments on
2 this? Like Kelly said, this was one of the most
3 important --

4 DR. KASE: Oh, I would like to actually
5 hear how the statement reads now, please.

6 DR. DESSAI: Okay. We've not framed the
7 language for that. So --

8 MR. GARRETT: Mr. Chairman, it could be
9 very simply, this recommendation could be achieved
10 with a referral --

11 DR. DESSAI: Okay.

12 MR. GARRETT: -- to the Executive Office of
13 the President's Office of Science and Technology
14 Policy.

15 DR. DESSAI: Barb.

16 MS. KOWALCYK: Barbara Kowalcyk, CFI. I
17 just have a quick clarification. Would that
18 sentence then continue to develop a task force with
19 broad expertise to plan and implement a collection
20 to detection initiative? Or are you talking about
21 eliminating the entire rest of the recommendation?

22 MR. GARRETT: In fact, if it inferred that

1 would happen, it has to happen, broad-based, but if
2 you want to leave that, that's fine, but just get
3 the president out of there.

4 DR. DESSAI: So we replace a presidentially
5 directed task force to the referral to the Executive
6 Office of the President's Office of Science,
7 Technology and Policy.

8 MR. GARRETT: Office of Science and
9 Technology Policy. There's no and.

10 DR. DESSAI: Science and Technology Policy.

11 MS. KOWALCYK: To appoint a task force.

12 MR. GARRETT: To appoint a working group
13 would be the --

14 DR. DESSAI: To appoint a working group.

15 MR. GARRETT: And just leave the rest.

16 DR. DESSAI: To appoint a working group
17 with broad expertise to plan and implement a
18 collection to detection initiative to and then the
19 bullets. Thank you. Thank you, Kelly. Thank you,
20 Spencer. Irene.

21 DR. WESLEY: May I recommend that you put
22 quotes around collection to detection? This was a

1 spinoff on Farm to Fork.

2 DR. DESSAI: Correct. Okay. Thank you.
3 Any other comments on page 74?

4 MS. RANSOM: Gerri Ransom. I have a
5 question. Line 4 on page 74, where it says some
6 current and emerging detection platforms are quite
7 good, does performance or something need to be put
8 in there for clarification? What does quite good
9 mean?

10 DR. DESSAI: We --

11 MR. GARRETT: Could the word suitable be
12 used?

13 DR. DESSAI: We can replace that. Lee-Ann.

14 DR. JAYKUS: I would actually suggest
15 changing it, some current detection and emerging
16 platforms, something like show promising
17 capabilities or show promising performance, because
18 you can't really separate the major prep from the
19 detection platform. So all we can really say at
20 this point is that the platforms look promising.

21 DR. DESSAI: So some of the current and
22 emerging detection platforms look promising.

1 DR. JAYKUS: Or are quite promising.

2 DR. DESSAI: Are quite promising. Quite
3 promising. So good will be replaced by promising.
4 Okay. Page 74.

5 (No response.)

6 DR. DESSAI: Page 75 through --

7 DR. SOFOS: John Sofos. Just an editorial
8 type of reminder that the references will need to be
9 completed before it's submitted for publication.

10 DR. DESSAI: Okay. Thank you. That takes
11 us to page 75 to 83. Page 84, 85. 86, 87.

12 Yes, Spencer.

13 MR. GARRETT: Thank you, Mr. Chairman. The
14 same comment on the need for table headers on all
15 the pages.

16 DR. DESSAI: Okay, noted. Jenny.

17 MS. SCOTT: To go back to page 85 and the
18 PR/HACCP. Is that intended to refer to the pathogen
19 reduction HACCP regulation?

20 DR. DESSAI: Page 85.

21 MS. SCOTT: You're defining it as an
22 adaptation of HACCP intending to achieve reduction,

1 but we don't really define what the PR stands for in
2 that context.

3 DR. DESSAI: Okay. We'll do that. Okay.
4 Thank you. Spencer.

5 MR. GARRETT: Not to delay, I'm not
6 familiar with that term at all, PR/HACCP. I thought
7 it was public relations.

8 (Laughter.)

9 MR. GARRETT: I mean and that's fine, but
10 somebody tell me what is pathogen reduction HACCP.

11 DR. ENGELJOHN: This is Engeljohn with
12 FSIS. The PR/HACCP, it is intended to refer to the
13 pathogen reduction HACCP regulations that FSIS
14 issued.

15 MR. GARRETT: Well, then I think it should
16 definitively say that because there's other HACCP
17 programs operated by other agencies.

18 DR. ENGELJOHN: We'll change the reference.
19 In the context of the document, it actually is in
20 reference to the FSIS procedures. We'll get that
21 changed.

22 MR. GARRETT: That's great.

1 DR. DESSAI: Thanks, Spencer. Page 85
2 through 90 back again.

3 (No response.)

4 DR. DESSAI: There are no comments on the
5 document.

6 DR. MADDEN: Joe Madden. Just on page 87,
7 line 15, is that supposed to be beta hemolytic
8 *Listeria*?

9 DR. DESSAI: Line 18.

10 DR. MADDEN: Line 15.

11 DR. DESSAI: Line 15. Okay. All right.
12 Thank you. Thanks, Joe. Irene.

13 DR. WESLEY: Way back on page 9 -- excuse
14 me -- yeah, page 9, line 15, we lost our reference
15 to the FSIS strategic plan.

16 DR. DESSAI: Line 15, yes, we'll insert it.

17 DR. WESLEY: Okay.

18 DR. DESSAI: Line 15. Thank you, Irene.

19 DR. ENGELJOHN: This is Engeljohn. If I
20 could, the reference to that is on page 15, line
21 number 17. I think that's where we decided was the
22 most appropriate place for it.

1 DR. DESSAI: 17. Okay. Irene, do you want
2 the reference to be moved in this location?

3 DR. ENGELJOHN: No, the most appropriate
4 place for it is on line 17, page number 15 where it
5 is presently located.

6 DR. DESSAI: Okay. Thank you. Spencer.

7 MR. GARRETT: Thank you. Just on page 90,
8 the last page, at the very bottom, there's a 3 and
9 a 4. What's that all about?

10 DR. ZINK: Oh, I get it. Those are lines 1
11 and 2, 3 and 4. They don't count the table as
12 lines.

13 MR. GARRETT: Oh, I see. Okay. That's
14 kind of new to us because there was nothing there,
15 you know, just 3 and 4.

16 DR. DESSAI: Any other comments on the
17 document? Am I asking for trouble?

18 MR. GARRETT: Again, as I said earlier, I
19 think you all did a fantastic job. It's really
20 worthwhile.

21 DR. DESSAI: Thank you.

22 (Applause.)

1 DR. DESSAI: We're not done yet. Lee-Ann.

2 DR. JAYKUS: Lee-Ann Jaykus, North Carolina
3 State University. I just wanted to formally thank
4 Dr. Peter Feng (of FDA) who was incredibly helpful
5 in this task.

6 DR. DESSAI: Thank you. I just wanted to
7 mention at this point, and we can clap again, we had
8 a lot of experts that we had invited from other
9 agencies, and we did invite about I think some 20
10 experts from across the country to come and tell us
11 what are the newest technologies that they have out
12 there. And we also had folks who are internal to
13 FSIS. So many people have contributed to this
14 effort, and Peter Feng's contribution just really
15 stands out for his time and what he did for this
16 Committee. He could not make it today because he is
17 busy in the lab, but we do appreciate everyone's
18 contributions here, and then once again I want to
19 mention that the Subcommittee has worked week after
20 week, through the webinar approach as well as
21 through the week, to get this document here this
22 week. No lunch.

1 (Laughter.)

2 DR. DESSAI: So I really appreciate the
3 humongous efforts the Committee did this week and
4 got the document here because we weren't quite sure
5 to start with whether we would get here today.
6 Thank you.

7 (Applause.)

8 DR. GOLDMAN: Very well. There was a lot
9 of discussion during the review of this document,
10 and there were changes recommended and noted by the
11 Subcommittee Chair, and I want to ensure that the
12 Committee as a whole agrees that what you heard and
13 what we wrote down is going to be sufficient. I
14 think we'll still end up re-circulating this. Is
15 that right or no? We're done. So this is your last
16 chance to -- okay. So if everyone's okay with that,
17 we will now seek a motion to adopt this document
18 with the changes as noted.

19 DR. WESLEY: I so move.

20 DR. GOLDMAN: Irene Wesley has made the
21 motion.

22 DR. JAHNCKE: Second.

1 DR. GOLDMAN: Michael Jahncke has seconded.
2 Any disagreement or dissension from adoption of this
3 document?

4 (No response.)

5 DR. GOLDMAN: Seeing none, the document is
6 adopted. Thank you.

7 (Applause.)

8 DR. GOLDMAN: Well, thank you all. We have
9 two pieces of business left before you can all go
10 home. So we have a decision. Does anyone need a
11 break right at the moment?

12 (No response.)

13 DR. GOLDMAN: The two items we have left
14 are the presentation of the work charge from
15 Dr. Stevenson, and the last thing would be any
16 public comments. So far, we have no one registered
17 to make a public comment, but we will solicit at the
18 very end.

19 Should we move ahead then?

20 (No response.)

21 DR. GOLDMAN: Okay. I'll turn it over now
22 to Tim Stevenson.

1 COL. STEVENSON: All right. That's great.
2 Let's roll with this. I had some help with the
3 slides on the full sentences, but I did add in a few
4 pictures which, from my academic background, we
5 always loved to look at the pictures anyway.

6 So just to frame this a little bit on what
7 the charge is for and how it will interact with what
8 we do in the Department of Defense, the Veterinary
9 Service works with all the other branches of the
10 Service, the Army, the Marines, the Navy, and the
11 Air Force, for their food safety needs outside the
12 installation. Now, once it's delivered in through
13 the gate, each branch of the Service has their own
14 preventive medicine and other aspects that work with
15 Food Safety, but outside the fence, especially
16 overseas, the Army Veterinary Service is entrusted
17 with that. So the work that you do will benefit not
18 only the Army green, but all the branches of the
19 Service.

20 A couple of pictures, just to keep us
21 focused on who we're serving. Some of you, I know,
22 have been in uniform and served in uniform. Others

1 of you may have family members, as you see on the
2 bottom right, spouses or even children of military.
3 I don't think any of us have been that person, but
4 we've all been related to the military, and those
5 that don't have those relationships, certainly know
6 someone who has served in the uniformed services.

7 So we're asking for the National Advisory
8 Committee to help us with our food safety
9 initiatives, to protect and preserve the food supply
10 of these men and women that are serving our country
11 in uniform. And I've just been able to work with
12 the Committee about a year and a half and have been
13 so impressed with the level of expertise that is in
14 this room, the expertise and experience, it just
15 boggles my mind. I mean I feel like I've stayed at
16 a Holiday Inn Express and spent some time with you
17 guys. And so we're asking for your help to make our
18 food supply as it can be.

19 Our food does come from around the world.
20 Those of us living in the States are certainly more
21 and more attuned to that with some of the outbreaks
22 we've had recently with our global food supply

1 coming to the United States, but in the military,
2 we're often consuming the food overseas, and you see
3 some of the countries represented here where we have
4 food supplies and where we have maybe some military
5 personnel assigned. And so some of those areas of
6 the world don't have the same cultures and some of
7 the same standards in food safety that we have,
8 certainly not across the board.

9 And so we try to pick out those processors,
10 food processors that can attain the level of safety
11 that we need to ensure the safety and well-being of
12 our men and women in the services and then screen
13 out those maybe that don't meet the rigorous
14 standard of food safety like we've come to expect.

15 Three background slides. I'm sorry I'm
16 finished with the pictures. So those of you that
17 are wiser than I can engage now, and there are even
18 some complete sentences I think on these, but
19 background, the bedrock and the cornerstone of our
20 food safety system is our audit program. We have
21 auditors who are veterinarians and food safety
22 experts. Some of those are not veterinarians, but

1 they're trained and they have expertise and
2 background in food science and technology, and they
3 do audits on some of our suppliers that sell food to
4 the military.

5 We don't have the resources to audit every
6 food supplier, you know. Crackers, we don't do an
7 audit on the company producing crackers, but we
8 focus on more potentially hazardous foods and do
9 audits on those establishments. And one of the
10 needs that has surfaced for this charge, this micro
11 charge and the study for the group that we're asking
12 is the auditors go into companies around the world
13 and they see in these companies, in some cases
14 microbial standards, and you think, where did they
15 get that? It just doesn't merge with some of the
16 scientific thought processes and the scientific
17 norms and some of the standards that we have come to
18 expect in the United States.

19 When our auditors are in the company doing
20 the audit, in the absence of a clear reference
21 standard, maybe one that this Committee could give
22 us guidance on, then the auditor is in between a

1 rock and a hard place, and how does he sort of
2 advise the food processor that the standard that he
3 has might have some room for improvement. So this
4 would be maybe something that our auditors could
5 point toward when they are in countries around the
6 world, they're looking at food processors, and they
7 see microbial standards that maybe don't coincide
8 with what we've come to expect in the United States.

9 In the absence, in some of those areas, we
10 don't have clear standards of the United States that
11 we could point to and say, for this particular
12 commodity, these are some standards that you could
13 consider, and in the absence of those, DoD has
14 looked to industry. We have looked to federal
15 agencies, scrubbing the literature, looking for all
16 sorts of gleaning, the food safety community, to
17 establish some of our own standards that again we
18 didn't make up but we drew from many sources.

19 And if this Committee would look at those
20 and give us some insight on the veracity and the
21 applicability of those documents that we have, those
22 standards that we have and how they might apply to

1 different food commodities, that would be especially
2 useful for us.

3 And again, our perspective is global. We
4 may be buying food and commodities from many parts
5 of the world, and I think as we look at some of the
6 spinoffs of this charge, and the work that this
7 Committee does will help not only DoD, but as our
8 food supply is so globally connected now, that the
9 standards that this group comes up with I think will
10 help us in the U.S. as we deal with international
11 and global food markets as well.

12 Next background slide, some of the
13 microstandards, and I'd ask you to consider that
14 maybe at different points along production, from
15 maybe the raw material, if there was a standard, and
16 many of our companies would have a standard for
17 accepting raw materials, and then they may have
18 another standard later for finished product and then
19 maybe at the end of shelf life, then we may be
20 looking at a different milestone or target for some
21 of those indicator organisms as well. And so again,
22 looking at those points along the line.

1 We've talked about certificates of
2 conformance and product acceptance. I think we have
3 some industry representatives here and on the
4 Committee. I think this may be helpful for them as
5 well. Again, our auditors run into that when they
6 look and they're doing an audit with a company, and
7 they have an acceptance criteria, and many of those
8 times, maybe they're well-intentioned, but we don't
9 think they're getting the most value from their
10 certificates of conformance, what they've written
11 into the contract and what -- yeah, there's a micro
12 test, but maybe it's not the most applicable and
13 appropriate test for that raw material for their
14 acceptance criteria. So we could point them to some
15 advice maybe from this Committee on different
16 commodities and which indicators might be most
17 helpful for acceptance of raw materials.

18 And then again, indicators have
19 historically looked at insanitary indicators that
20 might show the process is out of control and that
21 really we're not trying to test in safety. I think,
22 you know, in years past, before we had refined food

1 processing systems, many times we tried to test in
2 safety, we in the food safety community at large.
3 That's not our goal, but our goal is to find
4 microbial testing concepts and procedures and
5 identify those that may be helpful for looking at
6 the whole system, to find out when the system is out
7 of kilter, something out of control, and then using
8 indicators that might raise our level of concern
9 maybe, and it could be tied to audit frequency. It
10 could be tied to additional testing. It could be
11 tied to a lot of different follow-on actions but
12 using an indicator to look at process control and
13 give us some increased level of suspicion. Or, in
14 the other case, when things are good, that it would
15 indicate good control along the food processing
16 parameters.

17 And again, the second bullet, trying to
18 harmonize what maybe has been published by a lot of
19 private organizations, federal agencies,
20 international standards, looking at some of those to
21 harmonize those in some regard.

22 And so, again that takes us back to our

1 plea to ask for the brain power and wealth of
2 experience in this room to help us refine our
3 policies and give us some advice and guidance on the
4 most applicable uses of microbial criteria.

5 So we've got one slide per question and the
6 charge, and there are five questions.

7 The first one sort of points to and asks
8 for a little bit of a roadmap. The DoD really is
9 not in the process, the Department of Defense, of
10 designing a microbiological criteria, but this could
11 be a standard that we point our auditors to and we
12 point our companies to that provide us. Like I say,
13 some of these microbial standards that are applied
14 and put into practice in different companies, and
15 you look at it and you're like, well, where in the
16 world did that come from, what plant did that come
17 from?

18 And so this roadmap that we're asking for
19 would be a way to codify maybe a more scientific
20 approach to developing standards for different
21 commodities. And so we've asked that sort of an
22 overarching premise on how one would develop a

1 microbiological criteria, maybe for different points
2 along the food processing chain and continuum.

3 Let me just go ahead and ask if there are
4 questions on question 1. We've got five questions
5 total. I think it would be fine to address
6 questions as we go along rather than at the end, at
7 this point of the presentation. Any questions on
8 question 1, sort of the roadmap? How would one
9 establish microbiological criterion?

10 (No response.)

11 COL. STEVENSON: Okay. Question 2 looks at
12 various indicator organisms that have been used in
13 the past, primarily to indicate insanitary
14 conditions or poor process control, in looking at
15 those, and again some of these are thrown out for
16 the group's rigorous debate during the next times
17 that the Committee gets together, the Subcommittee
18 works on these. These have been used for various
19 commodities. And so we'd like you to look at these
20 at least as examples and say maybe they tend to
21 apply or maybe they wouldn't apply as appropriately
22 for certain commodities. So we'd like for you to

1 provide us some advice in those regards.

2 And then how might the level change between
3 different commodities? So if one designed a process
4 in a microbiological criterion, and we've asked for
5 that advice in question 1, if one were designed
6 along those lines, would something designed for
7 poultry, would it also apply maybe to ground beef,
8 or do you have to start over at ground zero? Would
9 tuna salad be typical to ham salad? And so provide
10 some advice there on different commodities and how
11 these indicators might apply.

12 Questions on question 2? Yes, sir.

13 DR. KNABEL: Steve Knabel from Penn State
14 University. One of the problems in using
15 microorganisms as process control indicators is that
16 they're so heterogeneously distributed in foods.

17 COL. STEVENSON: Yes.

18 DR. KNABEL: Is there any thought to
19 actually adding some type of biomarker to foods
20 before the process and then measure the decrease in
21 that biomarker with time so that you can get
22 something that's more uniformly distributed in the

1 food and actually gives you a better indication of
2 the process control itself?

3 COL. STEVENSON: See, there's already been
4 value from this group. Those are the kinds of ideas
5 that we would like the Subcommittee to work through,
6 and we've put these down as some examples, but the
7 last column I think in the chart that is offered to
8 you, are there other types of indicators that we
9 should be considering? As technology develops, as
10 our food processing systems develop, are there
11 things like that, or phages and things like that,
12 that maybe should be considered in the food
13 industry? So I would welcome those types of
14 thoughts and review from the Committee. Yes, ma'am.

15 DR. WESLEY: Irene Wesley, Ames, Iowa. I
16 just had a question. This is assuming that if there
17 is a company that you're looking potentially as a
18 provider, that your standards would be equivalent to
19 those in the prevailing country? Are you trying to
20 look for harmonization?

21 COL. STEVENSON: We're in a unique
22 situation as a buyer of products, not as a

1 regulatory agency. As a buyer, we can establish
2 contracts and say these are the standards that we
3 require, and so those standards, it may be some of
4 these indicators often don't lend themselves
5 directly to a purchasing standard, so to speak,
6 especially when you're talking about indicator
7 organisms, but what we have done in the past and
8 what the group could discuss is, well, but looking
9 at how does that affect our audit system? And give
10 us some ideas about the confidence that we could
11 have in the food processing system. So if some of
12 these indicators were used and they're above a
13 standard or we show a wide variety of results with
14 some of these indicators, that would maybe increase
15 our index of suspicion and we might do audits more
16 frequently. Some of these products might not have
17 exemption. We might go back and do more audits, a
18 more thorough audit, things of this nature.

19 So we could have standards, and many times
20 we have those type of standards in purchasing
21 documents for pathogens, no *Salmonella* or
22 *Salmonella's* absent in a 25 gram sample, *E. coli*

1 0157, for those pathogens, those tend to be easier
2 to put into contracting language that says we're
3 going to exclude that type of thing, but we can have
4 a standard of indicator organisms that ties in with
5 our audit system. And if we go in to some countries
6 and this happens, Dr. Wesley, where we go into
7 countries and their standards are two or three logs
8 higher than ours, and so then we work with the
9 company, we look at what's actually in their
10 process, and many times they may have a standard
11 that's written in that they're going to have, for
12 example, 6 log or 7 log, but actually what they're
13 producing is 3 or 4 log. And so we would ask them
14 to consider, if they want to do business with the
15 Department of Defense, to lower their standard to
16 what we consider an acceptable level, sort of
17 tighten their processing conditions.

18 Sir, Dr. Zink.

19 DR. ZINK: Don Zink, FDA. I do think
20 you're in kind of a unique situation, and as you
21 usually think about micro criteria, you have some
22 criteria above that, it's not acceptable. Below

1 that, it's acceptable, and you may have a three
2 class plan wherein the middle you're uncertain, and
3 accept or reject decisions have been made that way.
4 There's some peril to that, and there's some
5 difficulty with that, but in your situation, I think
6 if we approached developing criteria for certain
7 products produced by certain processes, that that
8 could be an additional arrow in your quiver --

9 COL. STEVENSON: Yes.

10 DR. ZINK: -- in deciding whether or not
11 you've got a potentially problem producer or not. I
12 hate to try to get into the area where we write
13 criteria that the world might interpret as accept or
14 reject criteria.

15 COL. STEVENSON: Uh-huh.

16 DR. ZINK: But I have some pretty good
17 insight into what some of your inspectors have to
18 deal with in various countries and, you know, I
19 think there's probably some good we could do with
20 this.

21 COL. STEVENSON: Great.

22 DR. ZINK: And particularly since, correct

1 me if I'm wrong, you have a very large dataset where
2 you've been doing this and collecting data for some
3 time. I don't know how accessible or searchable it
4 is, but --

5 COL. STEVENSON: Yes. Yes, sir. And we
6 would try to make those available to the Committee
7 as best we can; any way we see those data can help.

8 We have two primary laboratories, one in
9 Europe and one in San Antonio, that has done
10 extensive testing through the years. A lot of that
11 again is focused on validating the system process.
12 We do some pathogen testing as well, but focused on
13 validating the system and raising our index of
14 suspicion when we see indicators that the process is
15 out of control.

16 Okay. All right. Question 3 gets into our
17 ready-to-eat products, meat and poultry, and again
18 looking at how different processes may vary between
19 products and, in particular, ground beef, trimmings,
20 ground beef products, how would it differ certainly
21 between the ready-to-eat product. We may be looking
22 at totally different indicators than we would in a

1 raw product or at different parts of the process.
2 Again back to maybe certificates of conformance,
3 initial product inspection, what would be prudent
4 for companies, and as DoD works with companies
5 around the world, what would be prudent if we could
6 point them to a document like this that says for raw
7 materials, you might want to consider this and again
8 provide them some guidance. In many cases, what we
9 see is maybe the parameters they're looking at
10 aren't as scientifically valid as they could be, and
11 as appropriate, as applicable, to provide them the
12 best bang for the buck. Okay. Question 3. Okay.
13 Ma'am.

14 DR. GLASS: Kathy Glass, UW Madison. I
15 notice that most of these things are refrigerated
16 type foods. Are there any other kinds of things
17 that you need to take into consideration for some of
18 the shelf stable items, particularly in light of
19 some of our recent issues with shelf stable items?

20 COL. STEVENSON: We certainly wouldn't
21 paint the Committee into a corner if there were some
22 advice on some of these low moisture foods and

1 things such as what we're dealing with now with
2 peanut products and things like that. The things
3 that we've added, we wanted to be sure that we got
4 some feedback on these particular commodities.
5 That's where we've worked the most especially with
6 microbial standards, but this wouldn't be a totally
7 exclusive list. So if there's some guidance from
8 the Committee, based on current issues or issues
9 that may surface before the Committee is through
10 with this work, we would welcome that as well.

11 Question 4, potential indicators, various
12 points along the process, and again produce is one
13 of those products, and bagged produce would be a
14 refrigerated product as was mentioned in the last
15 question, but are there some other parameters that
16 we should consider at various points along the
17 process? Again, primarily refrigerated, potentially
18 hazardous foods, but it could also be low moisture
19 foods or other areas of concern.

20 And this sort of opens it up, the question
21 of are there other potential indicators such as
22 Dr. Knabel asked earlier, phages, other types of

1 things that the Committee could recommend that we
2 pursue in the future?

3 Last question, question number 5, looks at
4 the old statistical issues. So that seems to be the
5 question du jour of the day, and something that
6 seems to be an area ripe for the picking, so to
7 speak, and for consensus by the group, but as we
8 develop these criteria, what type of sampling plans
9 might go along with them, and what are the
10 shortcomings of some of those sampling programs? So
11 again not trying to test safety into a product, but
12 looking at process control, indicators of process
13 control, and the importance of statistical analysis
14 as that ties in. Okay. Yes, ma'am.

15 MS. KOWALCYK: Barb Kowalcyk, CFI. I'm
16 very impressed with the work charge, and I'm glad to
17 see that question 5 is included. I just wanted to
18 clarify that you're talking about statistical
19 sampling plans, and this would not just include
20 sampling in a laboratory setting but also sampling
21 in the field.

22 COL. STEVENSON: Correct.

1 MS. KOWALCYK: I just wanted to clarify
2 that.

3 COL. STEVENSON: Yes, yes. Samples taken
4 from various points along the process.

5 MS. KOWALCYK: Okay. I also had a more
6 general comment.

7 COL. STEVENSON: Yes.

8 MS. KOWALCYK: I can see that this charge
9 would be applicable outside of DoD, also with USDA
10 or FSIS and FDA, and I was wondering was that
11 considered when bringing this charge before the
12 Committee?

13 COL. STEVENSON: Sir.

14 DR. ENGELJOHN: This is Engeljohn with
15 FSIS. I would say at least from the perspective of
16 FSIS, we definitely saw an opportunity here for it
17 to inform FSIS about the programs that we have. So
18 we certainly were looking at this charge in terms of
19 a broader perspective as well, specific to what's
20 being asked, but also in light of how it could
21 actually expand to that use that we actually have.

22 COL. STEVENSON: Spencer.

1 MR. GARRETT: Spencer Garrett, NOAA
2 Fisheries. Yes, there are broader applications, but
3 you have to remember, as Don Zink just indicated
4 earlier, that this is a new twist for this Committee
5 because most of the time we're looking at, you know,
6 the science supporting regulatory actions, okay, and
7 then there's a whole lot of scientific questions
8 that you ask about that, and certainly statistical
9 sampling plans and the performance characteristics
10 and so forth are very important in that, very
11 important in this as well.

12 But the point is here, the U.S. military or
13 the Department of Defense is probably the third or
14 fourth largest institutional purchaser of foods in
15 the United States for food service, and because of
16 that, one of their major difficulties is just buying
17 food. I mean anybody that's ever been involved with
18 this, and I have, years ago, I mean it's hard to get
19 the supplier, the select supplier programs, dah,
20 dah, dah, dah, dah, but again I want to point out
21 that what you're asking is all going to be included,
22 but this is a different type twist because now we're

1 dealing with the purchasing of food, trying to have
2 microbiological criteria and other criteria. I mean
3 we might develop a risk potential index for around
4 the world or something, who knows.

5 COL. STEVENSON: Uh-huh.

6 MR. GARRETT: But it's a different twist,
7 but it certainly has broad applicability for
8 everybody.

9 COL. STEVENSON: Yes. Great. My last
10 slide -- oh, a little bit for the Committee, a
11 little bit on how it was recommended that the
12 Subcommittee approach this. I worked very closely,
13 and I'll go ahead and thank Spencer Garrett from
14 NOAA Fisheries. He has graciously accepted to be
15 the Chair of the Subcommittee, and the Board has
16 recommended him for that, and we really look forward
17 to his fantastic leadership and experience that he
18 has in this area, and again working with the
19 National Advisory Committee for many years,
20 extremely well suited for this.

21 And these are some of the comments that
22 we've worked with him on ways that he'd like to

1 approach this as a Subcommittee, first taking some
2 of the work that's already been done by numerous
3 agencies and organizations as you see listed, not an
4 exhaustive list but certainly a starting point that
5 the Committee would look at, look at some of the
6 good work that's already been done and see where
7 some of those guidances may have diverged, look at
8 different areas and find the areas, maybe the gaps
9 that are missing between those various agencies.

10 And then review and lay aside to compare
11 the current DoD standards, which again have been
12 gleaned from many of these agencies, and look at the
13 appropriateness of those, good, bad, indifferent,
14 give us some advice on how maybe the ones we're
15 using now could best be used for various commodities
16 and maybe ones that are maybe not as appropriate for
17 this particular commodity. So give us some of your
18 expertise in that area.

19 And then lastly, just looking at the charge
20 questions, because again we did want specific
21 feedback on those indicators that we've put forward
22 in the charge, but we've not limited you to that, so

1 you can certainly add other criteria, indicator
2 organisms as based on the wisdom and experience of
3 the group.

4 So my last slide brings us to questions.
5 Are there some questions about the overall nature of
6 the charge that you would like to ask? Sir.

7 DR. TAUXE: Bob Tauxe at CDC. It's a very
8 interesting charge, and I guess a question that I
9 had was the extent to which DoD has in-house
10 laboratory capacity or who would have perhaps
11 contract audits with laboratory capacity and that
12 laboratory approaches would be integral to this, not
13 just a paper, you know, a paper limit or a paper
14 description of a criterion.

15 COL. STEVENSON: Okay. Was there a
16 particular question? I'm sorry.

17 DR. TAUXE: Well, you know, for example,
18 if, you know, in the process of developing a
19 criterion, is there the capacity within DoD if we
20 were to suggest a certain number of laboratory steps
21 be involved in the development of a criterion, is
22 there a laboratory capacity to do that?

1 COL. STEVENSON: Some of that could be done
2 by DoD. It just depends on the agencies. Most of
3 our food, as I say, is procured off the
4 installation. There's some food preparation on the
5 installation, and so in that regard, DoD may be
6 developing our own testing parameters based on the
7 guidance of this group, but in most respects, it's
8 done off the installation. The company, as we look
9 at the quality history record of the company, as we
10 do the audits, the advice of this Committee, our
11 auditors could share with the processors that we're
12 working with, especially in foreign countries where
13 we see some microbiological criteria that maybe
14 aren't as appropriate and scientifically based we
15 we'd like them to be, and so they could be working
16 with the company.

17 We do a significant amount of testing
18 within our laboratories. Our processors that we
19 bought food from also have testing programs. So it
20 would be a combination really of those, and based on
21 the guidance of this group, the most applicable
22 indicator organisms for particular commodities,

1 that's probably where our limited laboratory testing
2 -- because it's not an inexhaustible resource as,
3 you know, where would we best focus our efforts.

4 DR. TAUXE: Thank you. I had one other
5 question --

6 COL. STEVENSON: Yes, sir.

7 DR. TAUXE: -- which there is obvious
8 interest in the application or consideration in some
9 of our regulatory agencies to this, but it strikes
10 me that many of our large private food companies
11 confront this issue on a daily basis in their
12 operations around the world, and I would hope it
13 would be of interest and value to them as well.

14 COL. STEVENSON: I agree. I think the
15 applicability to this charge is very broad in the
16 value indeed.

17 Are there other questions? I know that
18 there will be a lot of questions and debate when
19 this goes to Subcommittee, but this at least gives
20 you an overview, and again, I want to thank Spencer
21 Garrett for agreeing to be the Chairman for this
22 Subcommittee, full faith and confidence, and again,

1 thank you all for your service and being willing to
2 share your expertise and wisdom.

3 I don't know if everybody saw our little
4 friend here on the slide. So then we've got the
5 question, you know, with this protein source. I
6 don't know if this is a USDA product or FDA product,
7 but I'll leave you with that thought and turn it
8 back to the chair.

9 (Laughter.)

10 DR. GOLDMAN: Does everyone see the frog?
11 Well, thank you, Dr. Stevenson. Thank you for
12 leading us through that proposed charge. It sounds
13 like there's a lot for this Committee to think about
14 and wrestle with, and I think the point about the
15 applicability beyond DoD is going to be a very
16 important consideration for the Committee as well.

17 We're at the point now where I am to ask if
18 there is anyone from the public who would like to
19 make a comment?

20 (No response.)

21 DR. GOLDMAN: All right. I don't think we
22 had anybody who signed up outside.

1 So I'm going to turn this back to Gerri for
2 just a minute to kind of wrap up any administrative
3 issues, and then we'll be ready to close I think.

4 MS. RANSOM: Okay. The first thing I'm
5 going to do is pass the floor to my boss, Uday, who
6 is going to briefly make a statement, and he just
7 remembered what it was.

8 DR. DESSAI: This is to particularly
9 appreciate and acknowledge the support in managing
10 this NTSC effort of many people, especially Evelyne
11 Mbandi who is being heroic in this effort which
12 really made it possible for us to get to this point.

13 (Applause.)

14 DR. DESSAI: Thank you, Gerri.

15 MS. RANSOM: Thank you, Uday. I wanted to
16 just say a word about the next NACMCF Committee, the
17 2009 - 2011 Committee. When members are appointed
18 by the Secretary, we will have a press release out,
19 getting the word out, and I also, I will be phoning
20 each new appointed member to let them know they're
21 on the Committee. So we'll keep our fingers crossed
22 for June, and we'll go with that.

1 And I wanted to congratulate this Committee
2 for your success. You do now have four adopted
3 documents, four major pieces of work. So thank you
4 for that. And I want to say you've been a fantastic
5 bunch to work with.

6 Good luck to you in your future food safety
7 endeavors, and I'm glad some of you may be coming
8 back to us to serve another term. So thank you.

9 (Applause.)

10 DR. GOLDMAN: Any last comments from anyone
11 for the good of the whole?

12 (No response.)

13 DR. GOLDMAN: Okay. I want to add my
14 thanks and especially to the departing members. As
15 I said earlier, we recognized them last night and
16 added Lee-Ann's recognition today. It really takes
17 a lot of time, as you all know very well, and we
18 appreciate, the sponsoring agencies really
19 appreciate this. So with that, I now call the
20 meeting adjourned. (Whereupon, the meeting was
21 concluded.)