

UNITED STATES DEPARTMENT OF AGRICULTURE

USDA)
NATIONAL ADVISORY)
COMMITTEE ON)
MICROBIOLOGICAL CRITERIA)
FOR FOODS)
PUBLIC MEETING)

Pages: 1 through 177

Place: Washington, D.C.

Date: May 7, 2001

HERITAGE REPORTING CORPORATION

Official Reporters

1220 L Street, N.W., Suite 600

Washington, D.C. 20005-4018

(202) 628-4888

hrc@concentric.net

THE UNITED STATES DEPARTMENT OF AGRICULTURE
FOOD SAFETY AND INSPECTION SERVICE

NATIONAL ADVISORY COMMITTEE
ON
MICROBIOLOGICAL CRITERIA FOR FOODS

PUBLIC MEETING

Federal Room	The
Washington Plaza Hotel	
Thomas Circle, N.W.	10
Washington, D.C. 20005	
Monday,	
7, 2000	May
a.m. - 4 p.m.	9:05

PARTICIPANTS

Presenters

Phil Derfler
Tom Van Gilder

FROM FSIS and NACMCF :

KAYE WACHSMUTH, Moderator
JANICE OLIVER, Substitute Moderator
ARTHUR P. LIANG
LEEANNE JACKSON
E. SPENCER GARRETT
LTC ROBERT WEBB
BRENDA HALBROOK
KAREN THOMAS

Heritage Reporting Corporation
(202) 628-4888

DR. DAVID ACHESON
DR. DANE BERNARD
DR. LARRY BEUCHAT
DR. ROBERT BUCHANAN
DR. CATHERINE DONNELLY
DR. STEPHANIE DOORES
DR. FRANCES DOWNES
DR. DANIEL ENGELJOHN
DR. JEFF FARRAR

PARTICIPANTS (Continued)

From FSIS and NACMCF:

DR. SPENCER GARRETT
DR. TSEGAYE HABTEMARIAM
DR. MICHAEL JAHNCKE
DR. JOHN KOBAYASHI
DR. MAHIPAL KUNDURU
DR. JOHN KVENBERG
DR. ANNA LAMMERDING
DR. JOHN LUCHANSKY
DR. CAROL MADDOX
DR. ROBERTA MORALES
DR. MARGUERITE (PEGGY) NEILL
DR. ALISON O'BRIEN
DR. ANGELA RUPLE
DR. ROBERT (SKIP) SEWARD
DR. WILLIAM SPERBER
DR. BALASUBRAMANIAN SWAMINATHAN
DR. KATHERINE (KATIE) SWANSON
DR. DAVID THENO
DR. ROBERT (BRUCE) TOMPKIN

From Industry and other Interested Organizations:

DR. JEAN KAMANZI, Government of Canada, Canadian
Food Inspection Agency
Caroline Smith DeWaal, Center for Science in the
Public Interest

P R O C E E D I N G S

(9:05 a.m.)

MS. WACHSMUTH: Okay. Welcome. Welcome to all the new committee members and to others who have come for today's meeting. I'm Kaye Wachsmuth, the Deputy Administrator for the Office of Public Health and Science and the Food Safety and Inspection Service. And I serve as the appointed chair of the committee.

And what I'd like to do as an opening for this meeting is just to explain a little bit about orientation we had for the committee this morning. Since it is a new committee, reconstituted, we had an informal briefing. And as far as orientation purposes, what we did was have the committee introduce themselves, which will begin shortly and also the Steering Committee and the Executive Committee members of those agencies that support this committee to introduce themselves. They will tell you a little bit about what their agencies expect of the committee, describe the purpose of this committee which is to advise the members, the agencies to focus on the science, not the policy, although we all have policy opinions. Also the relationship of this committee which is science to another policy committee for USDA, and that is the Meat and Poultry Inspection Advisory Committee. And that committee very often refers matters of science to this committee for

1 consideration. And I suppose if we had a matter of policy,
2 we would then refer it to that committee.

3 The appointment process was covered in the new
4 charter, which became effective in September of 2000 and
5 expired September 2002, and calls for a maximum number of 30
6 members. We do have 28.

7 We have nine representatives from academia, eight
8 from industry, eight from federal government service, and
9 three -- I'm going to have it so you have three from state
10 this year. The selections were made, number one, on the
11 area of expertise. Then you have an added emphasis now on
12 the microbiology and risk assessment.

13 These are new sciences that we believe will take
14 us into this new paradigm of food safety as an integral part
15 of public health. It puts the focus on the consumer and on
16 human health considerations for safe food. And we're very
17 happy to have two or four experts from a very small pool of
18 experts since these are new sciences. And I'm happy to have
19 them.

20 Selections were also made of affiliation in that
21 respect in diversity, geographical diversity, and all
22 diversity. We wanted to see different perceptions and
23 representations. And this is as much of this country's view
24 and a new agenda as we do now. And we do have a limitation
25 on services of six years.

1 The charter calls for approximately three meetings
2 a year. But we will have subcommittees which meet between
3 sessions. And all of their decisions and business that
4 occurs in the subcommittee will come again in front of the
5 session, so that this entire committee is involved in the
6 decision and comes -- in paper or any product of this
7 committee.

8 Now, we have housekeeping information. We'd like
9 to thank our new acting executive secretary, Brenda
10 Halbbrook, for bringing this meeting together, and also Karen
11 Thomas, our Advisory Committee specialist, here on the far
12 end of the table, Brenda and Karen.

13 For today's agenda, I think it's pretty much as
14 you have it. On the initial agenda, we had one change, and
15 that's Tom Van Gilder, who's joining us from CDC, and also
16 Janice Oliver will talk to us about how we initially handle
17 the -- for salmonella Enteritidis. Now, I'd like to turn it
18 over to our co-chair, Janice Oliver for a few minutes.

19 MS. OLIVER: Thank you and good morning. It's a
20 pleasure to be with you once again. And I, too, would like
21 to welcome you. It's nice to see so many of our former
22 members have agreed to accept their appointment to the
23 committee. And as for the new members, I appreciate the
24 opportunity to get to know and to work with you personally.

25 It's no secret that FDA relies on your scientific

1 expertise, seeing the things from the Public Health
2 Protection Program. For those of you who participated in
3 the last meeting on the safety of fresh juice, I want to
4 thank you very much for your thoughtful considerations and
5 your recommendations.

6 I realize the ground we had to plow was arduous.
7 And it took a great deal of effort. But as a result, we
8 have a new regulation in place. And I think it's much more
9 public-health protective. And I personally have spoken of
10 the new rules with the mother of a child who had become
11 seriously ill. And she, too, greatly appreciates the
12 efforts that you have put in the recommendations.

13 I could sit here and do a litany of issues that
14 you've taken before the committee, including -- , fresh
15 produce, seafood, HACCP, -- , listeria, and many more to
16 come, but much more work lies ahead.

17 We had originally planned on taking some issues
18 and methodology of the environmental testing for salmonella
19 Enteritidis -- to the committee today. But upon rethinking
20 and looking over the issues, I realized that we had to do a
21 little more digging into the science before we brought it to
22 the committee. So we're doing that at the present moment.
23 And it just means we want to dig a little deeper before we
24 brought it to the committee's attention.

25 But having said this, as co-chair, you have my

1 word that I'll work very hard to provide you with the tools
2 and resources you need to do your job as committee members.

3 And if FDA fails to live up to that, I wish you would tell
4 me, and I'll take it as a personal favor to tell me, because
5 I think part of my job as co-chair is to really help the
6 committee move forward and do its job.

7 I know you all have a lot of other jobs in taking
8 this on, along with your other duties, and you're all very
9 busy. I, once again, would like to thank you for your
10 commitment, but will add one thing before I turn it back to
11 Kaye; and that is, you have a copy of the transcript from
12 the December '99 meeting on three diskettes in your folders.

13 And the minutes were taken by a court reporter and
14 certified by that reporter as an accurate accounting of the
15 proceedings of the meeting. And they will serve as the
16 minutes. Kaye?

17 MS. WACHSMUTH: Okay. I'd like to go around the
18 table now and have each member introduce themselves and
19 state your affiliation. We'll start with David.

20 MR. ACHESON: David Acheson from Tufts University,
21 New England Medical Center.

22 MR. BERNARD: Dane Bernard, Keystone Foods.

23 MR. BEUCHAT: Larry Beuchat, Center for Food
24 Safety, University of Georgia.

25 MR. BUCHANAN: Robert Buchanan, Food and Drug

1 Administration.

2 MS. DONNELLY: Cathy Donnelly, University of
3 Vermont.

4 MS. DOORES: Stephanie Doores, Penn State
5 University.

6 MS. DOWNES: Frances Downes, Administrator,
7 Michigan Department of Community Health.

8 MR. ENGELJOHN: Dan Engeljohn with USDA's Food
9 Safety and Inspection Service.

10 MR. FARRAR: Jeff Farrar, California Department of
11 Health Services.

12 MR. HABTEMARIAM: Tsegaye Habtemariam from the
13 Department of Internal Medicine at Tuskegee University.

14 MR. KOBAYASHI: John Kobayashi with Washington
15 State Health Department.

16 MR. KUNDURU: Michael Kunduru from Dole Fresh
17 Vegetables.

18 MR. KVENBERG: I'm John Kvenberg, Food and Drug
19 Administration.

20 MS. LAMMERDING: Anna Lammerding, Population and
21 Public Health Branch, Health Canada.

22 MR. LUCHANSKY: John Luchansky, USDA Agricultural
23 Research Service, Wyndmoor, Pennsylvania.

24 MR. WEBB: Bob Webb, Department of Defense.

25 MR. LIANG: Arthur Liang, Centers for Disease

1 Control and Prevention.

2 MS. JACKSON: LeeAnne Jackson, Food and Drug
3 Administration.

4 MS. MADDOX: Carol Maddox, University of Illinois.

5 MS. O'BRIEN: Alison O'Brien, Uniformed Services
6 University of the Health Sciences.

7 MS. RUPLE: Angela Ruple, U.S. Department of
8 Commerce, National Inspection Service.

9 MR. SEWARD: Skip Seward, McDonald's Corporation.

10 MR. SPERBER: Bill Sperber, Cargill.

11 MR. SWAMINATHAN: Bala Swaminathan, Centers for
12 Disease Control and Prevention.

13 MS. SWANSON: Katie Swanson, the Pillsbury
14 Company.

15 MR. THENO: David Theno, Jack in the Box.

16 MR. TOMPKIN: Bruce Tompkin, ConAgra Foods.

17 MS. HALBROOK: Brenda Halbrook, Food Safety
18 Inspection Service and -- .

19 MS. THOMAS: Karen Thomas, Food Safety Inspection
20 Service, Advisory Committee Specialist.

21 MS. WACHSMUTH: Okay. Thank you. One other thing
22 that we need to talk about this morning was to -- the agenda
23 for today. And I did mention to the committee that there is
24 a conference report for USDA, the House Appropriations Bill
25 for FY 2001, that directs the Secretaries of Agriculture and

1 HHS to take matters of performance standards and
2 microbiological criteria to this committee.

3 And as part of that, we will be talking about
4 salmonella performance standards today. And we'd like to
5 start with that topic and start by giving you some
6 background. And one of our first speakers will be the
7 administrator for FSIS, Tom Billy.

8 And we talked a little bit this morning, too, at
9 least I mentioned that food safety internationally has taken
10 a slight turn, if not a shift, in becoming integrated into
11 the public health community through the World Health
12 Organization and other activities. And risk assessment has
13 played a key role in how we're doing that internationally.

14 But Tom also serves as the chairman of the Codex
15 Alimentarius Committee which is the big food safety agency
16 for the world, I guess, where you try to set international
17 food safety standards that protect the public. And once I
18 introduce Tom in a certain way -- I was thinking about it
19 right before he called, and I think it's something that I'd
20 like to share with you.

21 My perception is that Tom is truly a visionary.
22 And everyone talks about Tom pushing them and he does. But
23 he also is a manager and a leader who has implemented those
24 changes and implemented that vision. And I think he's done
25 so very successfully. And it's a real privilege to

1 introduce Tom Billy.

2 MR. BILLY: Thank you very much, Kaye. Good
3 morning everyone. I really look forward to this opportunity
4 to talk to you about salmonella performance standards.
5 Before I start off, though, I thought I'd share with you an
6 experience I had last week.

7 I was down in Brazil at a meeting that the
8 chairman of the Pan American Health Organization was holding
9 of the Ministers of Health and Resource Agriculture for all
10 the countries of the Americas.

11 And during the course of the meeting last week
12 after a couple of years of preparation, the Ministers of
13 Health and Agriculture decided to establish a new Food
14 Safety Commission for the Americas and developed a draft
15 charter to be finalized at the next meeting of the
16 commission. And it's very important stuff, I think, in
17 terms of this international arena to see agriculture and
18 health throughout the Americas working together to address
19 the problems of food safety. So I'm really pleased with the
20 results. I'm sure you'll hear a lot more about that in the
21 future.

22 Perhaps, the best place for me to start is to
23 thank you ahead of time for the efforts of this committee in
24 helping veteran agencies continually improve their food
25 safety programs. This committee, in fact, has a long

1 history of having both FSIS and FDA to modernize programs.

2 Since 1988, this committee has prepared a series
3 of reports on the development and implementation of HACCP.
4 And they have been extremely helpful to both of our
5 agencies. Thus, I think it's very fitting that we are here
6 today to ask for your expert advice once again. This time,
7 performance standards are the topic for discussion.

8 Performance standards have been extremely
9 important to FSIS's food safety strategy. And I believe
10 they'll remain so for years to come. Performance standards
11 for pathogens have been in existence for some time, the
12 processed ready to eat meat and poultry products, as well as
13 other food products. However, the development of such
14 standards for raw products occurred much more recently.

15 In 1996, the agency issued its landmark final rule
16 on pathogen reduction in HACCP. We set pathogen reduction
17 foreign standards for salmonella for various raw product
18 passage. These performance standards are extremely
19 important to achieving the public health goal established in
20 the Preamble to that final rule.

21 I encourage all of you who haven't taken the
22 opportunity to read the Preamble to that rule to do so as a
23 part of your community process. As such, the framework, the
24 science, the kinds of standards, public health and all that
25 we are working to achieve as a result of that type of

1 regulatory strategy.

2 These performance standards in salmonella
3 performance standards play another important role in
4 providing industry with objective, measurable standards that
5 can be used to calibrate their HACCP plans. They also
6 function as a yardstick for FSIS to measure the
7 effectiveness of industry HACCP controls in plants where
8 they apply.

9 With all such standards, I believe HACCP's systems
10 could be much less effective in improving food safety. We
11 believe the two must go hand in hand. I've often referred
12 to this combination as the Gold Standard for food safety.

13 Now, in fact, many other countries around the
14 world are following our footsteps in using the combination
15 of HACCP and pathogen reduction standards. We are pleased
16 with what our performance standards for salmonella in
17 concert with the other provisions of the pathogen reduction
18 and HACCP rule have been able to achieve so far.

19 Industry has worked hard, very hard, busting in
20 the new technology and new procedures to accomplish real
21 improvements. Our latest progress report on the results of
22 our salmonella testing for raw meat and poultry products
23 show that the program for salmonella for raw meat and
24 poultry has decreased significantly since the implementation
25 of HACCP in 1998.

1 This report was the first aggregate data on all
2 sizes of plants, including data from various small plants
3 which came under HACCP in January of 2000. The complete
4 report on this testing is in your packets and in your
5 material.

6 Well, let me give you just a few of the details.
7 Figures I am quoting are aggregate data for 1998 to 2000.
8 Those averaged a salmonella prevalence of 10.2 percent under
9 HACCP during this period compared to 20 percent baseline
10 before we implemented the new regulation.

11 -- averaged 7 percent over HACCP compared to 8.7
12 percent baseline. Cows and bulls averaged 2.1 percent
13 compared to 2.7 percent before. Steers and heifers averaged
14 .3 percent near compared to 1 percent. Ground beef averaged
15 3.7 percent compared to 7.5 percent baseline. Ground
16 chicken averaged 14.4 percent compared to 44.6 percent. And
17 ground turkey averaged 29.7 percent compared to 49.9 percent
18 baseline.

19 However, these numbers and other tables and
20 information are in that report. But I think the point I
21 want to make here is that we've seen significant progress
22 under HACCP in combination with these performance standards
23 across the entire meat and poultry industry.

24 Now, in addition to these data, of the current
25 prevalence of salmonella involving raw meat and poultry

1 products, we are seeing reductions in the incidence of food
2 borne illness. Now, we believe our performance standards,
3 working in concert with HACCP, are one of the factors
4 contributing to this decline.

5 Dr. Mendover (phonetic) from the Centers for
6 Disease Control and Prevention will be discussing their
7 latest data available through FoodNet shortly. Now, while I
8 believe that all of this is good news, we must continue to
9 move forward. And we know that there's room for further
10 food safety improvement.

11 We indicated in the Preamble of the final rule in
12 1996, the Preamble I mentioned earlier, that the performance
13 standards, initial set for salmonella, were not intended to
14 be static. We based the standards on the best science
15 available to us at that time, knowing that they would have
16 to evolve as new data, research, and technology became
17 available.

18 That is why we welcome the two concurrent
19 activities underway to review our salmonella performance
20 standards and what they have accomplished. The language
21 accompanying the Fiscal 2001 Agriculture Appropriations Act,
22 as Kaye mentioned, directed FSIS to ask both this committee
23 and the National Research Council for an evaluation of the
24 role of scientifically determined criteria, including
25 microbiological criteria in the production and regulation of

1 meat and poultry products.

2 We expect the National Academy of Sciences study
3 to be underway soon. This committee is requested to review
4 the role of microbiological performance standards generally
5 as a means of improving and ensuring meat and poultry
6 product safety. Further, you are requested to review and
7 evaluate our salmonella performance standards for
8 specifically and what they have accomplished to date.

9 You are encouraged to factor in other
10 considerations as the committee may feel is appropriate. In
11 your packets is a list of questions FSIS has for this
12 committee. And these will be reviewed in more detail later
13 today. I just wanted to mention a few examples. We wanted
14 your technical input on the use of indicator organisms in
15 lieu of a specific pathogen, like salmonella. We want to
16 know whether it is both scientifically appropriate and wise
17 from a public health standpoint to incorporate regional and
18 seasonal variations into performance standards.

19 We want your technical input on how quantitative,
20 baseline performance data should best be used to develop or
21 modify performance standards. An example would be the
22 massive amount of data we've now collected in our sample
23 testing of the new HACCP.

24 We want to know what the key considerations are we
25 should factor in when using risk assessments to develop

1 performance standards. Although our current standards for
2 salmonella are not based on those former risk assessments,
3 it is our hope in the future to use risk assessments as a
4 means of establishing risk-based performance standards for
5 pathogens of public health concern.

6 We welcome both your review and the one from the
7 National Academy of Sciences for a number of reasons.

8 First, regulatory agencies must be sure their policies and
9 procedures are based on the best science available. Second,
10 such reviews help maintain consumer confidence in the
11 efforts of regulatory agencies to protect them. And third,
12 our performance standards for salmonella for raw products
13 have come under some criticism.

14 And it is important that such criticism be
15 addressed, especially considering the important role, we
16 believe, such standards will continue to play in the future.

17 I'll look forward to hearing the discussions today. And,
18 again, thank you ahead of time for the hard work I'm sure
19 you're going to put in on this -- subject. Thank you.

20 MS. WACHSMUTH: Does anyone have a question?
21 Right now, I'd like to introduce Dr. Tom Van Gilder.

22 Tom is a physician, a medical epidemiologist at
23 the Centers for Disease Control, where he's been for nine
24 years. And for the last three years, he's been deputy
25 director of FoodNet. And he's going to describe those

1 activities to us a little bit today. And this is sort of
2 our -- approach in terms of the real goals of the food
3 safety regulatory agencies in terms of reducing foodborne
4 diseases. Tom?

5 MR. VAN GILDER: Thanks, Kaye. I want to begin by
6 thanking LeeAnne Jackson for making this electronic
7 transmission possible. I'd like this morning to just give
8 you a brief overview of FoodNet, and then talk about some of
9 the findings we've had, focusing on the findings that we've
10 published in the MMWR last month.

11 FoodNet is the foodborne diseases active
12 surveillance network. It really came about as a response to
13 the sense of changing epidemiology in foodborne illness.
14 And by that, we mean that there's been changes in the agent,
15 the environment, and the host as a form that comes to food
16 safety. We've seen food pathogens -- transmission,
17 pandemics really are foodborne pathogens, infections. We've
18 seen changes in the environments of the globalization of the
19 food supply, changes in food processing and an emergence of
20 larger producers. And we've seen changes and continue to
21 see changes in the host of the people who are affected with
22 these agents, with these pathogens, with an increasing age
23 of the population or the increasing population we've talked
24 about, for instance, as well as new eating habits and
25 international travel and migration.

1 Based on the existing surveillance system of
2 foodborne outbreaks, laboratory based surveillance, and
3 epidemic investigations; foodborne -- is seen as a way of
4 uniting and taking a more active role in finding each and
5 every case of foodborne illness possible.

6 It came about as a part of the Emerging Infections
7 Program at CDC. It's the principal foodborne disease
8 component of that program. It was established initially at
9 four sites plus Georgia, so five sites since 1995 occurring
10 in nine EIP sites with 33 million persons under
11 surveillance. This represents a collaborative effort among
12 state health departments -- the USDA, FDA, and CDC.

13 FoodNet's primary objectives are to determine
14 precisely and how to better the burden of foodborne
15 diseases, as well as to determine the proportion of
16 foodborne diseases attributed to specific foods. We also
17 look to develop a network to respond to emerging foodborne
18 diseases and also to improve outbreak response.

19 Just to attest for you again, the size of the
20 population has gone from 14.3 million in 1996 to now 33.1
21 million in 2001. And then I'll briefly show you where in
22 the United States we currently have sites. This is the
23 original five sites. And some of the sites have grown over
24 time and we've certainly added three sites. We'll get into
25 today's nine sites shown across the country.

1 But I emphasize here, too, that although we do
2 have a decent representation from coast to coast,
3 demographically our sites are similar to the nation. These
4 are not chosen to be randomly representative of the United
5 States, in general.

6 What FoodNet really helps to do is to fill in what
7 we call the Surveillance Pyramid. The cases that we get at
8 CDC really represent just a small portion of the cases that
9 occur in the country. And underlying each reported case
10 there are additional cases that were not reported to us
11 either because they were not tested properly in the
12 laboratory or perhaps they did not have a specimen tested at
13 all, or perhaps never sought care and so was not able to get
14 into the reporting system primarily.

15 FoodNet helps to fill in this pyramid through a
16 variety of activities. First of all, with active
17 surveillance as I mentioned as way of finding out how many
18 cases are occurring, how many laboratory-confirmed cases
19 occur of these various pathogens in the United States, at
20 least in the FoodNet capturing area. We also hope to seek
21 to understand what happens at the laboratory level to
22 understand what kind of testing occurs, how often tests for
23 these pathogens go on, and below that how often physicians
24 actually order stool cultures on their patients with
25 diarrheal illnesses.

1 And then at the base of the pyramid is our effort
2 to understand in the population that FoodNet surveys, what
3 are their food group experiences, what are their exposures
4 to various risky behaviors or risky food-handling practices.

5 There is tremendous need at CDC to see the experiences of
6 our care-seeking population.

7 Just to give you some examples of what we found in
8 active surveillance -- again, this is seven bacterial and
9 two parasitic organisms that we surveyed for and three
10 syndromes which are related to foodborne illnesses. --
11 syndrome, -- syndrome, and congenital toxoplasmosis. We
12 also survey, as does the rest of CDC's Foodborne Illness
13 branch, foodborne disease outbreaks.

14 As part of this project, what we do is survey the
15 clinical laboratories every month within our sites. And we
16 fill out case report forms for each of the infections that
17 we find. And those case report forms are, then, transferred
18 in to us at CDC. Then we, in turn, audit that data so we
19 have a strong level of confidence in the data that we
20 receive.

21 Our laboratory surveys we've done in the pyramid
22 have helped us understand what happens in the laboratories.

23 So how many cases do we not hear about because they are not
24 being tested? And what we found in each of our years is
25 that salmonella, shigella, and for the most part

1 Camylobacter, are routinely tested for in each stool sample
2 that makes it into the laboratories.

3 E. coli 0157 is a different story. We learned
4 that about half the laboratories will routinely test for
5 0157 in stool cultures they get. -- test that gets the
6 numbers up to about 80 percent or so. And then there was an
7 increase somewhere over the three years or in the three
8 surveys that we've done, but has not risen dramatically.

9 Our physicians' survey helps understand what
10 physicians are doing with patients who come in with
11 potential foodborne illnesses. And we found that about 44
12 percent ordered stool cultures on patients that they see
13 with diarrhea.

14 We have a second survey that actually starts to
15 look at behavior of physicians in regard to what you do with
16 the patients who come in with foodborne illnesses. The
17 population survey, as I mentioned, is a way of looking at
18 the population as a whole. We've done this three times so
19 far, and the third cycle recently completed, the first cycle
20 about to begin.

21 And what we found in the second cycle, for
22 example, is that about 10 percent of the population reported
23 a diarrheal episode in the preceding month and that
24 translates to about 72 diarrheal illnesses per person, per
25 year in the foodborne illnesses area. And we found that

1 about 20 to 21 percent of the people who reported diarrhea
2 illness go on to see a physician. And about 16 percent of
3 those people provide a stool specimen at that time.

4 In addition to the elements that I mentioned in
5 the pyramid in helping to figure out the number of
6 illnesses, FoodNet also seeks to understand what are the
7 specific foods that, or specific exposures that caused
8 illness. And we've done a series of case control studies.

9 We've studied the case control study of salmonella
10 of specific food groups. We've recently completed a survey
11 or a case control study of Campylobacter infections. It's a
12 case control study of listeria infections -- , and we've
13 recently finished a second E. coli 0157 case control study,
14 as well as a Cryptosporidium study.

15 In the next year, we hope to launch a study of
16 infant illness case control study. So to get back to some
17 of the data. Here is preliminary data that we discovered in
18 the year 2000. Listed in alphabetical order are the
19 pathogens that are under surveillance. And you can see that
20 Campylobacter is the number one cause of bacteria, foodborne
21 illness within FoodNet, followed by salmonella.

22 While some of these general numbers are a number
23 of trends or a number of subpopulations, which can be
24 impacted in various ways. Here we have it looked at
25 seasonally. And we see for the big four: Campylobacter,

1 salmonella, shigella, E.coli 0157. We have a strong
2 seasonal trend. Not all pathogens, follow around the same
3 trajectory there. We see that the type of illnesses that we
4 study here are more predominant in the summer months. This
5 goes on to show some geographic variation that we have in
6 our data. So those numbers that I mentioned are really
7 composed of various trends and various break-downs
8 regionally.

9 So we see, for example, that California,
10 Connecticut, Minnesota, New York, and Oregon all have
11 Campylobacter as their leading cause of bacterial foodborne
12 illness and that salmonella is the number one cause in
13 Georgia, Florida, and Tennessee. This goes on to show some
14 of our lower incidence pathogens: listeria, -- infections
15 and their variation across the country within FoodNet. And
16 it puts the numbers on that.

17 Again, Campylobacter is less of a problem in
18 Tennessee, it seems, than in California. salmonella is less
19 prominent in Oregon than it is in Georgia and so on. And
20 there's really tremendous regional variation. And this
21 offers us the opportunity and look at why these variations
22 occur.

23 Again, another source of variation and one that
24 will spawn an infant case control study this year is the
25 finding that infants are at high risk for Campylobacter and

1 salmonella, but that risk decreases over time.

2 In the case of food illness, I was also able to
3 do, in addition to assessing the -- and assessing what
4 specific foods or exposure are causing these illnesses is
5 how much is the economic burden or how much is the personal
6 burden of these pathogens, infections with these pathogens
7 on the population. And this we do by assessing
8 hospitalization and deaths caused by these agents. And we
9 see here that listeria is far and away the leading cause of
10 hospitalization for frequent illnesses in this country.

11 Another element that FoodNet is able to add to the
12 population-based surveillance for foodborne illness is
13 looking at more specific infections. salmonella it is
14 said, is not really an "it," but a "they." It is composed
15 of really a number of serotypes that play important roles in
16 foodborne illness. But at FoodNet, we see that Typhimurium
17 are the top three salmonella serotypes listed in the year
18 2000. And this is related to our FoodNet study over the
19 last few years.

20 We can similarly do this for two other foodborne
21 illnesses, shigella and vibrio. Shigella, of course is not
22 always foodborne but we see in FoodNet that most of the
23 infections are -- infections. And we see a couple thousand
24 of those a year. And with Vibrio not as many, but we're
25 able to break that down into -- , non-toxigenic -- as we see

1 the breakdown of those infections there.

2 Summarizing just the incidence data, just the
3 numbers of cases we see that again Campylobacter,
4 salmonella, shigella, E.coli 0157; numbers one through four,
5 overall, that shows substantial variation among the various
6 sites for the pathogens, although Campylobacter had the
7 highest incidence in five of the eight sites. So there's
8 some consistency there. And salmonella had the highest
9 incidence than the other three sites.

10 Looking at trends, another thing that FoodNet is
11 able to do and one of the things that it brought to the
12 existing surveillance system, is a way of looking at what is
13 happening over time, as well as things that occur in the
14 food safety environment. We've seen that, for example, in
15 Campylobacter, in all five sites, when you compare baseline
16 1996 with our most recent 2000; that the five sites that
17 began in '96 all experienced decline when you compare those
18 two years if you combine those data together. If you look
19 at each site specifically, we see that four of the five
20 sites had a decline in Campylobacter. The magnitude and the
21 pattern of these declines suggested change to a very --
22 site. salmonella infections declined in all five sites both
23 in a combined fashion and individually. So each site
24 experienced a decline in salmonella infections.

25 salmonella Enteritidis and Typhimurium declined

1 also in all five sites combined. Shigella infection varied
2 tremendously from year to year and from site to site and a
3 lower outbreak which I mentioned -- . Listeria infection
4 declined again in all sites, individually and combined.
5 Cryptosporidium and Cyclospora are two parasites that we
6 monitored. They've declined since the -- began for those in
7 1997; O157 increased, if you looked at all sites combined
8 compared '96 to 2000, an increase in four to five sites,
9 individually. There was marked variation from year to year
10 and from site to site. And this makes it difficult to get
11 an overall trend for O157.

12 This table is just a summary of what we've seen
13 over the years. This is reproduced from our MMWR articles
14 over the years. This summarizes the changes that we see in
15 the evaluation. Campylobacter, listeria, salmonella,
16 yersinia, each showed declines, comparing 1996 to 2000.
17 Some other pathogens showed increases of O157, shigella and
18 vibrio.

19 Overall, we saw about 7 percent decline in the
20 foodborne illnesses that we have under surveillance,
21 comparing 1996 to 2000. Typhimurium and Enteritidis are the
22 two leading causes of salmonella infections within FoodNet.

23 As you can see, each declined, as did salmonella, overall.
24 It was about 30 percent decline comparing '96 with 2000.

25 This next series of slides is just to give you an

1 impression of how complex these changes have been. This is
2 to look at the changes over time in all the original five
3 sites. You can see that Campylobacter did, indeed, have a
4 sense of decline over time. But it had very different
5 shapes in each of the five original sites.

6 So when we see E. coli 0157, although if you
7 compare '96 with 2000, there's an increase. If you'll look
8 at each of the sites individually over time, it's very
9 difficult to discern a silent trend through these various
10 sites over the last five years. Some of them for
11 salmonella, although there is a stronger component of a
12 downward trend here, it is not tremendous, not dramatic.
13 Shigella again, not always foodborne, although declining in
14 the initial years, has shown a tremendous upswing in at
15 least two of the sites: California and Minnesota with these
16 sorts of outbreaks.

17 Listeria, on the other hand, showed pronounced
18 declines in several sites and an upswing in another, leading
19 to an overall decline in listeria, but again, difficult to
20 really have a silent trend out of these various figures.

21 In general, however, the overall magnitude of
22 incidents in the rise of all of the pathogens has remained
23 the same over time within FoodNet. In all the years of our
24 surveillance, Campylobacter is number one, salmonella is
25 number two, shigella and E. coli 0157 were numbers three and

1 four.

2 The incidence of laboratory diverse salmonella and
3 Campylobacter infections seemed to decline over time,
4 although the overall trends were difficult to measure with
5 the positions and reasons that I mentioned. We have
6 geographic variation over time and these are hard to
7 understand but they provide opportunities for further
8 research.

9 The infamous trend for E. coli 0157, in
10 particular, is very difficult to discern. We saw a
11 substantial increase overall of shigella infections that
12 were driven primarily by two large outbreaks, one in
13 Centerville, Minnesota, which is actually a series of
14 outbreaks in daycare centers; and one in California which
15 was a couple of outbreaks from foodborne -- .

16 In the year 2000, with regards to the listeria
17 infections measured by FoodNet; we noticed that we've seen
18 in the precursor surveillance system that FoodNet identified
19 that in 1989 and 1983 showed somewhat higher in 1989 than in
20 1993, higher incidents of listeria infections. That it fell
21 three -- per one hundred thousands -- that FoodNet --
22 precursor has measured.

23 One of the things that FoodNet has been able to
24 do, again, is to pull together all the various data sources
25 and generate estimates of the -- . And here's one of the

1 fruits of FoodNet's efforts. In other words, the major
2 infectious diseases are -- . Paul -- gave us estimates of
3 foodborne illness in this country. And we estimated that
4 there are 76 million incidents across the country that can
5 be related to foodborne mechanisms and pathogens and 323,000
6 hospitalizations and 5,000 deaths. And, again, it's the
7 first comprehensive set of estimates that CDC's done in over
8 a decade. And it's been used for cost estimates, risk
9 assessments, as well as modeling for other disease
10 estimates.

11 Another program that has benefitted from FoodNet's
12 experience and FoodNet's data is the Healthy People 2010
13 Objectives that HHS puts out every decade. And for four of
14 the illnesses under surveillance, we have targets based on
15 1997 and 1998 baselines, and they go to 2010, eventually
16 cutting those in half.

17 And we see that in the year 2000, although I don't
18 show the baseline, but we see progress towards those goals.

19 They are still quite a ways from achieving the 2010 goals.

20 So there's a lot more work to be done in preventing these
21 and also in understanding what is happening from population
22 exposure to our hearing about them.

23 Now, as you can probably tell from some of the
24 qualifications I've put on some of the data I've presented,
25 there are a number of limitations to this data. It's not

1 perfect. There are some social, local variations which,
2 again, offer opportunities for study but makes it difficult
3 to provide summary statistics and to provide some of the
4 numbers that help us really see the impact of various
5 changes over time.

6 As I mentioned earlier, also this is not a
7 national representative sample. So although we do make
8 estimates occasionally to the nation as a whole, those
9 estimates are difficult to make and require a lot of
10 circumspection. But the numbers that we do get from
11 FoodNet, we feel, do represent accurately what's happening
12 in that large population area.

13 We also have data, as I mentioned on laboratory
14 confirmed illnesses. We don't hear about the people who
15 don't seek care, people whose physicians don't order
16 cultures or laboratories that don't do things properly. And
17 we do try to estimate where that data has occurred and how
18 much does occur.

19 Two of the things that we plan to concentrate over
20 the next few years present challenges to us and -- the
21 involvement of the food supply which means a potential range
22 of pathogens and a potential range of published -- accounts
23 of these illnesses.

24 The information technologies which can be
25 solutions, as well as problems, as we see in some of the

1 outbreaks of food processes that were intended to alleviate
2 some of the -- early outbreak and other problems occurred.
3 We also see a lot of change in -- continuing changes,
4 continuing new technologies, new and better ways to detect
5 pathogens to linking together to identify outbreaks.

6 And this provides us with opportunities for
7 finding more cases and challenges for people like us who try
8 to follow trends over time to try to incorporate those
9 rules, surveillance systems, and new tools for surveillance
10 into our trends and into an understanding in trying to find
11 foodborne illness.

12 We also have, as mentioned in the paper that I
13 alluded to earlier, there are a large proportion of
14 foodborne illnesses that we detect of outbreaks in other
15 circumstances that are caused by agents that we mentioned;
16 to discover what to do and to test for efficiently.

17 Our projects in the current year, I think I've
18 mentioned these already -- but is to conduct the population
19 survey again, to complete and analyze our *Cryptosporidium*
20 case control study and to continue the *Listeria* case control
21 study into a separate but perhaps a third year. And we also
22 have launched an Environmental Health Specialist Network,
23 the intensity which we hope within FoodNet will help us to
24 look more closely at environmental and -- inspections at
25 restaurants and other locations with -- investigations with

1 outbreaks and with other cases. We're also in the planning
2 stages for an infant illness case control study.

3 And in FoodNet in 2002, we have to continue
4 projects that I mentioned. We have to integrate FoodNet
5 more fully into some of the laboratory-based or more
6 complete laboratory-based surveillance systems, such as the
7 National -- Assistance Monitoring System -- , as well as
8 PulseNet. We also hope to launch that infant illness case
9 control study that we're planning currently and we hope to
10 embark on a more substantial retail food safety project.

11 We want also to do a better job of focusing on
12 some of the high-risk groups and focus on prevention and
13 education, rather than counting the number of illnesses.

14 All of this information that I've given you, plus
15 some additional background information, and updates are
16 available on our web site, which is listed here:
17 www.CDC.gov/FoodNet. And this will, again, give you an
18 example of our background and copies of our reports and then
19 any updates and new information that we have.

20 And all the data that I've asserted here we found
21 on the March 23, 2000, edition of the MMWR which is our
22 survey online at the CDC's web site. That's all the
23 information I have today on FoodNet and 2000 data. I'd be
24 happy to discuss and answer any questions about that data or
25 other pathogens that perhaps FoodNet doesn't cover, things

1 beyond FoodNet. CDC covers more than just what is on
2 FoodNet.

3 MS. WACHSMUTH: Thank you, Tom. Thank you for the
4 numbers. We will have a copy of your -- and the Mead
5 article. Questions for Tom? Okay. We'll start at this end
6 and move down.

7 MS. NEILL: Very nice seeing you, Tom. Peggy from
8 Brown University. First question is to the very striking
9 difference clearly sustained over time for -- and salmonella
10 with respect to the virtual differences. First pass at the
11 data, we would seem to try to look at basic ethnic
12 demographics which is age, race, et cetera. I'm assuming
13 that you do have other variables relating to health status,
14 immunocompromised in particular. Do you have any hints at
15 that yet?

16 And my second and totally unrelated question for
17 you, are there efforts to try to drive that 50 percent that
18 the laboratory's testing of 0157 higher?

19 MR. VAN GILDER: The first question regarding the
20 regional differences is particularly striking with
21 campylobacter. We are actually actively engaged in looking
22 at that -- have looked at the data that we have to look for
23 demographic variables that would be different from the rest
24 of the others. We looked at food exposures which we have,
25 not on an individual level, but as we analyze each case of

1 foodborne illness.

2 The questions about that exposure that may be the
3 circumstance we do for that population. So for the
4 California site, for example, which has the high
5 Campylobacter incidence, we have general information about
6 what the eating habits are. And we have compared that to
7 some of the other, especially low-incidence areas and
8 haven't really found differences.

9 We've looked at things, like, for instance, we do
10 a laboratory survey of regional differences in testing and
11 for some evidence of 0157, some of that regional difference
12 is due to differences in laboratory testing that exactly
13 why, for example, again, Campylobacter is high in California
14 and low, say, in Maryland. We haven't been able to
15 determine, but we're looking actively at that.

16 MS. WACHSMUTH: I think basically, like age of the
17 case, age and sex of all the cases --

18 MR. VAN GILDER: It is very possible.

19 MS. WACHSMUTH: That, in and of itself, is very
20 interesting.

21 MR. VAN GILDER: Right. In fact, there are an
22 assembly of the demographic differences but there are other
23 things going on, as well. Regarding 0157 testing, at
24 FoodNet we don't have an active program to encourage testing
25 for 0157.

1 And I think one of the really nice things and one
2 of our principal objectives, as I mentioned, within FoodNet,
3 is to create a network. And FoodNet, as far as I've seen,
4 is really drawing together all the various components,
5 especially at the local level, of foodborne illness
6 surveillance so that we have food test contact with
7 laboratories to understand what they are going through in
8 terms of testing they are doing and how to monitor that
9 understanding when changes are happening. And we don't have
10 a real strong encouragement to -- for them to test. We do
11 give them data and try to help them understand the
12 importance to us of what they do. So I think there is some
13 encouragement in that.

14 MS. WACHSMUTH: Before we get to our next advisory
15 committee member, on both sides of me I have this fresh
16 versus frozen culture in California. I think that FoodNet
17 looked into that a little bit. Can you tell us a little
18 about that?

19 MR. VAN GILDER: We did. And actually, the data
20 -- . And that is a very intriguing hypothesis that has not
21 yet panned out. The difference is not, there doesn't seem
22 to be a difference in fresh poultry in California versus
23 frozen in Maryland. We haven't been able to determine that,
24 that there's a difference, that would cause the difference
25 that we saw.

1 MS. WACHSMUTH: Thank you. Alison?

2 MS. O'BRIEN: Yes. I'd like a follow-up on --
3 question regarding the host. And I know that you gave the
4 data. But what is striking to me is 1996 was the year of
5 the -- continuing with that and treatment of patients with
6 HIV. And it's likely to have an impact on the host.
7 And so we did have an impact on the host. Since the
8 population in California where they have a fairly
9 substantial number of potential immunosuppressed people, I
10 was thinking it's reasonable to hypothesize that the host
11 became healthier, as well, during the period from 1996 to
12 now. And maybe, that had an impact on the incidents of
13 Campylobacter. Do you have any data on incidents of
14 diarrheal disease on HIV-positive people during that time in
15 California?

16 MR. VAN GILDER: Within FoodNet, we don't have
17 specific information on HIV-positive individuals and their
18 experiences of diarrhea. We do have a immunocompromised
19 question. We have it listed specifically but the numbers
20 are fairly small of any given site of persons with
21 immunocompromised conditions who may have been exposed to
22 diarrhea. So we don't have that within FoodNet.

23 Through the general literature we've done, the
24 incidence of diarrhea, in general, among HIV-infected
25 persons, particularly caused by Cryptosporidium, dramatic

1 ways are mentioned -- so surely there's a component that we
2 don't have a lot of data on. We have different ways of
3 looking at it. We've looked at the highest population
4 demographically and then some view towards their exposures.
5 That's something that, as an issue, we have to look at
6 carefully in the future.

7 MS. O'BRIEN: I live in Maryland -- and the number
8 of labs that actually test for 0157:H7 is not the majority,
9 in Maryland, including the U.S. Navy, does not routinely --
10 their Class A hospital does not routinely test for 0157. So
11 I do think that's a critical component of a very low
12 incidence of 0157.

13 MR. VAN GILDER: In 0157, recently, we -- and we
14 compared them with other sites and did find that they do
15 test more routinely than other sites do for 0157. But it
16 doesn't make up the major difference between Minnesota and
17 some of the other sites.

18 MS. O'BRIEN: Thank you.

19 MS. WACHSMUTH: Bill?

20 MR. SPERBER: In one of your slides, you showed
21 that the highest percentage of hospitalizations were caused
22 by *Listeria monocytogenes*. And by far, you have 80 percent.
23 Do you think that in itself in isolation is a very
24 deceptive figure, because there's so few cases of
25 listeriosis compared to salmonella and some of the others on

1 your chart? So I was thinking that there might be a more
2 useful way of checking this data. And I wonder, in effect,
3 if you'll do this. Do you do that?

4 MR. VAN GILDER: Yes. That is something that we
5 do. There is a project that I didn't mention that is
6 underway where we are looking at -- of salmonella, shigella
7 and Campylobacter. -- complication of Campylobacter
8 infection. So we try to do balance that, and the slide
9 showing listeria infections, possibly a great percentage of
10 hospitalizations tends to be more severe incidence of --
11 manifestation.

12 MS. WACHSMUTH: Katie?

13 MS. SWANSON: I think that having data on the
14 incidence of disease is something that's very useful as we
15 try to evaluate different intervention strategies, somewhat
16 can be useful, but I'm wondering if in addition to looking
17 at how many cases there are; is there an effort to track the
18 very cause of disease that's out there?

19 For example, inadequate heating and time
20 temperature control, cross contamination and even at another
21 level; are the diseases being caused by preparation in the
22 home versus restaurant versus processed foods?

23 MR. VAN GILDER: We approach that in two ways.
24 One, we do have a population survey that we've done three
25 times, and are about to do a fourth time. The questions are

1 -- use of various things that have been recommended for
2 consumer education; separation, things that sort of support
3 -- .

4 So we do have estimates of what the populations
5 are doing on an individual level to protect themselves
6 against foodborne illness and a way of looking at anyway in
7 a general sense of how largely the messages of food safety
8 have been taken up. That's very, you know, very general and
9 it's difficult to assess how reliable some of that
10 information is.

11 The second way of approaching that, and looking at
12 what is going on particularly outside the home is
13 this -- specialist network attached to Foodnet. That we
14 hope will allow us to get a better idea of what's happening
15 in the restaurants and in other commercial food
16 establishments to help us learn what are the risky
17 practices, and if we know what the risky practices are; how
18 prevalent are they?

19 In making that determination, how often can you
20 eat out? How often they have various foods that tend to be
21 risky outside the home? We hope that those two bits of data
22 will help us understand better what the actual risk factors
23 are and then design intervention strategies --

24 MS. WACHSMUTH: Okay. I just want to remind each
25 person to state your name so the transcript can pick up

1 various questions. That was Katie Swanson.

2 MR. THENO: Dave Theno, Jack in the Box. Tom, I
3 want to commend you and CDC for the work with Foodnet. Just
4 being on the committee some years ago, having this data was
5 a huge help as to how things go, so it's going nicely and we
6 would encourage you to continue your efforts.

7 Working in the meat -- , it's hard to ignore the
8 amount of illnesses that are of environment origin, and I
9 wondered if Foodnet was contemplating some surveillance of
10 viral organisms in addition to bacterial pathogens?

11 MR. VAN GILDER: We do not have active
12 surveillance for viral illness in part because the
13 diagnostic capabilities for viral illnesses and the
14 detection in environmental food surfaces and stuff like
15 this, are somewhat the bacterial components. In addition,
16 the illnesses themselves tend to be shorter in duration so
17 it's more difficult to get accurate specimens.

18 However, with the advance of certain technologies,
19 PCR, for example, for the detection in both the human stool
20 and environmental, and food samples and with advances in
21 genetic -- and so forth, we have been able to identify more
22 rapidly and more sensitively viral causes of foodborne
23 illness. For example, a recent paper out of Foodnet looked
24 at one hundred previously unknown foodborne outbreaks. That
25 is outbreaks that we have detected, discovered, investigated

1 and no positive -- have been found, so no particular cause.

2 Well over half of those were found to be caused by
3 -- virus which is a virus causing foodborne illness. Part
4 of the reason that was able to be found is through FoodNet
5 and other mechanisms; kits for testing stools and other
6 samples, have allowed for more rapid and more accurate
7 diagnosis.

8 So although we don't have and don't anticipate
9 formal surveillance for viral illnesses the way we have it
10 for the bacterial illnesses, I think -- detection operates
11 and then through some additional networking will allow us to
12 monitor better and describe more accurately the microbial
13 viral illness, as well.

14 So we do anticipate we will get better at
15 detecting viral illnesses, able to get a better idea of what
16 the -- but will probably not translate to formal
17 surveillance within FoodNet for these agents.

18 MS. WACHSMUTH: Bruce?

19 MR. TOMPKIN: Bob Tompkin from Conagra. I've been
20 following your MMWR reports every year, and follow them
21 closely, but what you presented today is much more complete
22 and I do hope that all the slides will be available through
23 the Foodnet site within CDC, or no?

24 MR. VAN GILDER: We had made them available.
25 They're not currently available on the website. We are

1 looking to return them. We have previous slide sets that
2 we've had up on the web --

3 MR. TOMPKIN: For future purposes, and for
4 transferring this information within the industry,
5 throughout the industry anyway in terms of helping us
6 understand the issues and where to focus our energies. It's
7 very helpful and the more that we can learn from you and the
8 CDC, the better we can communicate that information.

9 Have one simple question and that was that in the
10 year 2000, in looking at all the sites the way the disease
11 is tracked, only one was greater than the rest. The one
12 for salmonella had a higher number case rate when all sites
13 were combined, as opposed to the year 2000 based on the
14 original five sites.

15 All of the rest were equal to or lower than so it
16 looks like as you expand the population base, we're getting
17 a lower number, equal or lower number. Is that expected?

18 MR. VAN GILDER: Let me answer the question. I'm
19 not sure if I understand the question. As we added new
20 sites, we didn't expect each site to have -- for instance
21 but I think we were interested to see how different, for
22 example, Tennessee was from the rest of FoodNet, with its
23 dramatic rates of Campylobacter infections, not dramatically
24 but somewhat higher rates of salmonella infections.

25 So trying to understand Foodnet 2000 as we combine

1 all of the sites versus ninety-nine of the previous years
2 with fewer sites, we do have to come to grips with how to
3 integrate those data. So if we add Tennessee to the
4 Campylobacter rates, overall decline; is that because we
5 have in Tennessee, just sort of surprising low level of
6 Campylobacter, or is it because Campylobacter is declining?

7 How we wrestle with the fact from a sort of
8 statistical point of view is not yet been fully -- . We
9 need to talk about this to help us understand the data.
10 That's one of the reasons why we look at things over time
11 just to look at the five sites, even though the population
12 of the five sites is less than half of the total FoodNet
13 experience. But we have not yet been able to really
14 integrate those geographic differences, those regional
15 differences in incidents, in such a way that we feel
16 confident we're following trends over time.

17 But that's something that we are looking into and
18 hoping to understand and again hope that by having different
19 sites that are doing comparable looks at detecting these
20 illnesses, than we can understand whether a difference in
21 Tennessee and California in Campylobacter, whether that's a
22 difference in looking at a difference in population or a
23 difference in something else that happens in those
24 respective environments. It presents a great opportunity to
25 look at that illness.

1 MS. WACHSMUTH: Okay. Dane?

2 MR. BERNARD: Thank you, Chair. Dane Bernard. A
3 procedural question first. Have you had an opportunity to
4 revisit the salmonella data that the previous speaker
5 introduced?

6 MS. WACHSMUTH: You have in our deliberations, did
7 you consider any of the information that you had in the
8 packet that would include the information that was
9 introduced previously.

10 MR. BERNARD: The reason I ask is during our
11 deliberations I'm sure there were, but there are some
12 questions that I have on the derivation that David and
13 others, I'm sure that there are people on the committee and
14 I just really wanted to make sure that they have an
15 opportunity to revisit that with the appropriate people.

16 MS. WACHSMUTH: I'm not sure if you want to ask a
17 question at this point in the data or --

18 A PARTICIPANT: -- I just wanted to get that out
19 now --

20 MS. WACHSMUTH: Yes.

21 MR. BERNARD: -- so that we don't lose --

22 MS. WACHSMUTH: Yes.

23 MR. BERNARD: -- the opportunity to --

24 MS. WACHSMUTH: No. As I mentioned this morning,
25 we didn't have to have a committee report, at least on

1 performance standards for salmonella and then addressing
2 that, if you want to analyze any of the data that we have,
3 you would probably have the opportunity through subcommittee
4 then back to the full committee in August.

5 MR. BERNARD: Okay.

6 MS. WACHSMUTH: Okay.

7 MR. BERNARD: Well, let me ask my question.

8 MS. WACHSMUTH: Okay.

9 MR. BERNARD: First of all, my compliments to
10 both the speakers and their contributions. The salmonella
11 data that was presented showed us some very good and
12 interesting results relative to Typhimurium and Enteritidis,
13 in terms of the downward trends. But overall, the data from
14 all sites 2000 doesn't show much change.

15 Could you enlighten us a bit as to what those may
16 mean, if there is an answer to that? I'm sure part of what
17 you just discussed regarding looking at all sites versus the
18 original five sites -- but I'll assume a different mix of
19 salmonella serotypes showing up today and if we did, are we,
20 in fact, seeing a definite trend downward or based on today,
21 what we have today, can we see at all?

22 A PARTICIPANT: All right. Within FoodNet, coming
23 from a larger public health -- information system, there
24 appears to be an overall downward trend in salmonella that
25 has been going on for a few years. It's again regional. It

1 differs regionally. It differs year to year. Part of that
2 sort of touches on our earlier discussion to answer your
3 last question.

4 Part of the difficulty for us is understanding as
5 we add sites that are relatively high in salmonella
6 infections to the overall picture, what that means for long
7 term trends? It's encouraging that again, that overall --
8 the number of type 2 serotypes -- . That number really just
9 seems to be fairly steadily declining. -- an overall
10 downward trend.

11 We can see there have not been dramatic changes in
12 the top five to seven serotypes, although if you look at
13 salmonella, shigella -- there have been some turnovers in
14 some serotypes moving up and some serotypes moving down.
15 There is a paper in press looking at that, looking at the
16 salmonella serotype experience enteritidis in the United
17 States. I don't know when it's coming out but it should be
18 coming out soon.

19 But to sort of summarize, the top serotypes have
20 not been changed dramatically over the last few years,
21 particularly from FoodNet. Enteritidis and Typhimurium have
22 declined, probably at a sharper angle than overall.
23 Salmonella -- . There's not one or two serotypes that seem
24 to be making up that difference.

25 MR. BERNARD: What it tells me is that while the

1 trend appears to be in the right direction, it's too early
2 to be specific but the steepness of the decline of changing
3 serotypes if that characterizes what you said.

4 MR. VAN GILDER: I think it's a fair summary and I
5 think although we have alot of confidence in Foodnet's
6 ability to determine how much foodborne illness there is out
7 there, I think it's the best information available. Five
8 years isn't alot of time on which to hang trends or to --
9 specifically. But we do have alot of confidence in our data
10 and I think it's the best. We also continue to assure you
11 of better answers to the questions you have.

12 MS. WACHSMUTH: Okay. Bob? Oh, Cathy. I'm sorry.

13 MS. DONNELLY: I just wanted to follow-up on
14 something. Within salmonella serotypes, looking at DT-104
15 strands of Salmonella typhimurium and now I understand that
16 -- has acquired this set, is that the same data set with
17 respect to -- possessed in salmonella serotypes on -- and
18 does this have an impact on hospitalizations or illness?

19 MR. VAN GILDER: Well, you summarize neatly what
20 we've seen in salmonella -- over the last few years. There
21 seems to be an increase. There seems to be an increase in
22 the multi-drug resistant strains as well. -- are chief
23 examples of that.

24 We're looking at it very carefully especially
25 within the antibiotic resistance -- which is apparent

1 within FoodNet but has more states participating. But we
2 have not really seen a change in hospitalization of severe
3 illness, but part of that is for lack of sufficient numbers
4 of cases to be able to make that comparison to what is an
5 active area of investigation now. So we haven't seen
6 changes in hospitalizations driven by anocyclovir resistant
7 agents, but we have seen more multi-drug resistant
8 salmonella in particular.

9 MS. WACHSMUTH: Stephanie.

10 MS. DOORES: Stephanie Doores, Penn State
11 University. How do you apportion the number of foodborne
12 illnesses, I should say the number of illnesses associated
13 with food? Who makes that decision as to whether it's
14 foodborne or not? Are the organisms ever isolated from the
15 food or are these truly confirmed cases?

16 MR. VAN GILDER: Well, FoodNet itself, the active
17 surveillance portion, only counts laboratory confirmed cases
18 of food illness. The information about what caused it --
19 what the exposures were, come from other sources, so alot
20 of information that we get is simply basic demographic
21 information.

22 -- what caused the illness is outside of case
23 control study. We try to do through sort of -- associations
24 to find out what the exposures are in the general population
25 and then through case control studies about operating cases,

1 try to understand what risk factors cases have that are
2 similar, the same type of reaction. We always seem to
3 operate investigations to look at -- to see whether
4 something is foodborne or not.

5 Globally, for example, in Paul Mead's paper of how
6 we determine what portion of all the illnesses that we hear
7 about are foodborne or not are based on certain data that I
8 mentioned but in general our sort of aggregate, sort of --
9 analysis, if you will, of experiments over a decade or more
10 of examining foodborne disease outbreaks and -- maybe
11 overall.

12 But if your question is do we know for each
13 specific case of -- whether or not that particular infection
14 was foodborne, then we don't know that. But we do know from
15 the behavior of organisms that we do know from different
16 outbreaks, and case control studies in non-outbreak
17 settings, generally what the nature of the exposures are
18 that caused these illnesses.

19 MS. DOORES: How do you treat something like a
20 bacilliferous diarrheogenic form when it's not one of the
21 organisms that you would be testing for but the person might
22 be presenting with diarrhea? Does that fall into your
23 statistics at all?

24 MR. VAN GILDER: Well, it wouldn't fall into our
25 numbers in terms of the active surveillance for foodborne

1 illness numbers that are in the various tables. It would
2 show up, for example, in population surveys when we ask
3 people whom we found out had diarrhea over the last X number
4 of days. So outside of FoodNet, particularly at the state
5 and local level, and at the Federal level, we do an
6 investigation of these serious outbreaks and attempt to
7 understand the ecology of the organism and how it would be
8 through the food supply or not, in any one circumstance. So
9 specifically, no, we don't have information on, for example,
10 the these serious -- foodborne pathogens but we do have
11 other sources of information from within FoodNet and outside
12 of FoodNet.

13 MS. DOORES: And my last question is if a person
14 presents with diarrhea and it's not considered of foodborne
15 origin, what would be the most likely source of acquisition
16 of that disease into another category, non-foodborne
17 category?

18 MS. VAN GILDER: It would be difficult because
19 there's so many different ways for us to slice that up. I
20 don't know if I want to hazard a guess. There are so many
21 different ways of declaring diarrheal illnesses. Some of
22 them are encryptedly foodborne, others are not.

23 But there's certainly a lot of, for example,
24 daycare associated diarrhea in among day care and food
25 staff, and family members. If that's the result of one

1 child picking up salmonella or she got salmonella from a
2 foodborne illness at home and brought it to day care, that
3 would allow -- foodborne in a sense -- from person to
4 person. So it would be really difficult to hazard a guess.
5 There's so many different ways of getting diarrhea.

6 MS. WACHSMUTH: Okay.

7 MS. DOWNES: Frances Downes from Michigan
8 Department of Community Health. Considering the outbreaks
9 in containing health care costs, particularly with managed
10 care, are you seeing any differences regionally or over time
11 on changes in the physician's likelihood to order a stool
12 culture?

13 MR. VAN GILDER: We see them only access that one
14 time. We've tried to get anecdotes about that. We don't
15 have any systematic way of detecting whether physicians are
16 changing their culture practices. Physicians are
17 notoriously difficult to survey, and it's hard to understand
18 how the different forces -- have either discouraged or
19 encouraged cultures.

20 Overall in general, they feel stool cultures don't
21 yield a lot of information, at least alot of useful,
22 thorough information and therefore probably some are used in
23 some circumstances and others are used in others. The
24 numbers of times is sort of difficult to know. There is
25 sort of a little more complexity in addition to more rapid,

1 more sensitive aspects for some of these organisms that
2 there seem to be more of. Does that represent an increase
3 in physicians or is that rather a phase -- . Are they doing
4 more stool cultures now, than five or 10 years ago. I don't
5 think anybody can really say.

6 We are trying mostly at the laboratory level to
7 understand how that might be changing, and we haven't seen
8 dramatic differences at least in the number of stool
9 cultures, the number of stools tested, or the types of
10 things we were testing for.

11 So while we're not -- the physicians, we are
12 looking at the numbers of stools that are making it into the
13 laboratory. That doesn't seem to be changing. I don't
14 think it can be emphasized enough how different the
15 environment within the laboratory is changing. The pressure
16 on laboratories is tremendous. I'm sure it's going to have
17 an effect on surveillance.

18 MS. WACHSMUTH: Okay.

19 MR. FARRAR: My name is Jeff Farrar. Excellent
20 presentation Tom. You mentioned some of the goals, the year
21 2010 goals. It is important to have those goals, short-term
22 as well, but is there any part of the discussion given to
23 long-term goals in terms of -- long-term, what those numbers
24 should be or ultimately should be?

25 MR. VAN GILDER: I think the general answer is

1 yes, that that kind of discussion goes on all on the time.
2 We focus ourselves within FoodNet and CDC seeing so much on
3 the immediate things and what the problem at hand is and
4 what can be done to prevent the problem from happening a
5 second time. I wouldn't say there's a formal plan that
6 looks globally. There's certainly an effort within our
7 branches to reduce these illnesses as much as possible.
8 What that translates into for a specific number, I wouldn't
9 know.

10 But I think that Stanley (phonetic) -- and
11 Horizons (phonetic) -- publishes with other groups, who do
12 risk assessment and economic analysis and so forth. I think
13 we are able to take what we do best and whether if what
14 other people do best which is looking at interim reductions
15 or looking at -- determining acceptable risk.

16 Again, specifically, we don't embark on those
17 kinds of thoughts or those kinds of efforts. But you've got
18 to think of us at FoodNet in other places as we have closer
19 relationships with non-traditional public health folks, if
20 you will, where those kinds of issues are being addressed.

21 MR. HABTEMARIAM: Thank you Madam Chairwoman. My
22 name is Tsegaye Habtemariam from Tuskegee University. I
23 also want to congratulate the presenters. I think there was
24 some significant things about HACCP. It was really, really
25 impressive, very significant to see these changes. I will

1 definitely look at it in greater detail.

2 But I have a couple of questions. First, the --
3 for FoodNet that you referred to. I know you have some very
4 good reasons for doing that. And you can also see that
5 FoodNet is a very powerful resource. There are a lot of --
6 but you had also indicated that it is not representative.
7 That is a very important issue. Do you have plans to
8 address that issue so that in fact, there will also be sound
9 information that will be applicable for the nation as a
10 whole. That's one question.

11 In your -- or incidents, you were indicating that
12 the results are going down in many of the areas. I can
13 understand that when incidents were referred to in lab data
14 as well as physician's records. But I was curious, when you
15 do the population survey are you actually asking incidence
16 information or what exists at the point of time you're doing
17 the survey, just for prevalence? I need some clarification.
18 Could you speak to those issues?

19 MR. VAN GILDER: Sure. I'll take the second
20 question first. I actually think we're probably getting a
21 mixture of incidence and prevalence data in our population
22 survey and asking people whether they have diarrhea in the
23 last month. We try to eliminate some of the -- cases by
24 excluding people out who have ongoing reasons for having
25 diarrhea.

1 We also try by comparing our findings with the
2 findings of similar and -- efforts in the U.K. and other
3 places, particularly Western Europe to understand how
4 different ways of asking that to get different results. But
5 I think it's probably not a clean incidence of diarrhea that
6 we're getting. It's a pretty good number of results and it
7 does compare well with other efforts -- we feel like we're -
8 - same magnitude of problems and so I think that we're
9 getting a pretty good idea of how much time we have on our
10 hands.

11 Your first question regarding the
12 representativeness of FoodNet, the site we've chosen by
13 competitive process so the sites represent those sites of --
14 application, a committee that chose them over time. So
15 they're not explicitly nationally represented. They're
16 chosen at random, for example.

17 However, in looking at the demographics of the
18 FoodNet population versus the rest of the nation in age
19 structure and sex and race and so forth, insofar as we have
20 that information, it's comparable to the U.S. population in
21 most respects. We actually have a doctoral student who is
22 looking more explicitly at statistical issues in making
23 inferences from the FoodNet to the nation as a whole. So
24 we're addressing them in that sense. We are not addressing
25 in the sense of attempting to enlist other sites or somehow

1 randomize the sites that we have. And that would make them
2 nationally representative,

3 But it's certainly something that we are
4 interesting in hearing more about and are taking steps to do
5 so. Whether in the end, for one level to be the
6 statistically national representative, I doubt, but a good
7 idea of what FoodNet is doing relative to the rest of the
8 country. At least be able to, when we make national
9 estimates, know what the hazards are and what the
10 limitations would be on those national estimates.

11 MS. WACHSMUTH: Bob.

12 MR. BUCHANAN: Bob Buchanan, FDA. I'd like to
13 express my appreciation for a good presentation. In the
14 question that came out, you did mention the NARMS program,
15 which is the other half of FoodNet activities. It's my
16 understanding that in addition to direct analysis great deal
17 of time selecting samples that FSIS collects in terms of
18 salmonella, including I understand -- serotyping on the
19 organism in addition to -- resistance patterns.

20 It would seem to me that this would tell us a
21 great deal about the role of foods and which foods were
22 associated with this by comparing the serotypes coming out
23 of the NARMS program in conjunction with the
24 -- . Is there any claim, I did not notice any included in
25 the 2001 or 2002 budget that actually compared serotypes

1 coming from the different products versus what you're seeing
2 in the population.

3 MR. VAN GILDER: Right. What that is, is an
4 active ongoing effort. Instead of going from the Foodnet
5 side is to link the patient information that we have with
6 the isolate information we have with the other isolates that
7 we get through NARMS, though PulseNet and through other
8 means including some lab work isolates so that we can get a
9 better idea of what the epidemiology is in humans and
10 concurring at least ecologically with the information that
11 we're getting from our private sector.

12 Also, just our ongoing efforts within Foodnet to
13 try to understand how our trends or how our numbers are
14 lining up with the numbers that we've gotten from -- and
15 from retail establishments. Basically, these discussions
16 are ongoing with projects -- trying to look at regional
17 differences in Campylobacter is a step in that direction.
18 And try to look at product sampling data whether it's ours
19 or someone else's --

20 MS. WACHSMUTH: Thank you. Okay. Larry?

21 MR. BEUCHAT: My question is also on the
22 conciseness of your presentation, Tom. My question deals
23 with NARMS also. Did the data that is being generated by
24 that component of the Foodnet allow you to attempt to
25 correlate numbers and types of antibiotic resistant

1 salmonellas with foods or foods containing ingredients that
2 were imported versus domestic?

3 The second part of that question would be is there
4 a mechanism or are you pursuing mechanisms to interact with
5 surveillance groups in other countries and other continents,
6 the European Union, for example?

7 MR. VAN GILDER: Let me take the second question
8 first. Yes, we are both in FoodNet generally and NARMS in
9 particular. There is a large collaboration with food
10 sampling in the Public Health Laboratory in the U.K. and the
11 British Culinary Services Laboratory in Denmark.

12 There is also through a separate program with the
13 same cast of characters that worked on the salmonella
14 surveillance, an effort to make salmonella serotyping
15 globally, at least through the sharing of serotype
16 information and then if multi-drug resistant salmonella is
17 found then with a network set up you can ask other
18 countries.

19 It's not quite as seamless as that but there are a
20 number of efforts to try to make both general foodborne
21 information but also pathogen-specific information with
22 countries in the developed and in the developing world.

23 Now your first question regarding efforts to
24 determine whether -- in foods. I'd have to say I don't know
25 specifically what efforts in that direction outside the

1 Outbreak Center. Certainly there are a number of outbreaks
2 that developed that in other agencies efforts to trace back
3 things to their origin, has taken us to other countries. So
4 we have that element. There may be others that I'm not
5 aware of but specifically with a -- international versus
6 domestic component --

7 MS. WACHSMUTH: Swami?

8 MR. SWAMINATHAN: Bala Swaminathan, CDC. FoodNet
9 counts culture confirmed cases. We have seen that -- non
10 culture identification of E. coli including E. coli 0157:H7.
11 I have two questions. One is are we going to see an
12 increase in the E. coli 0157 numbers in FoodNet although
13 there is no decline in E.coli 0157 and, (2) are there plans
14 to modify your laboratory questionnaires to ask the question
15 (1) do you culture for E. coli 0157; (2) do you use non-
16 cultural methods for detecting S. tec, or (3) do you look
17 for S. tec at all?

18 MR. VAN GILDER: Yes. It's hard to know with the
19 advent of probably more sensitive non-culture methods
20 whether we'll see more or less S. tec, I guess in some ways
21 perhaps 0157 specifically because we identified essentially
22 -- it would be identified as -- toxin producing.

23 We have modified somewhat our case for -- so that
24 we ascertain -- toxin producing E. coli whatever reference
25 has been identified subsequent to getting serotype

1 information so that we know that it is 0157 or one of the
2 other S types. So we have modified it somewhat to capture
3 some of those changes.

4 So we hope that we would be able to get a true
5 estimate of what's happening in the world of S. tec
6 difficulty tracking 0157 specifically. However, we're
7 working both in this effort, since we are at FoodNet, but
8 certainly we are making efforts to understand who would be
9 involved in these decisions but also to move forward to have
10 states request or perhaps require laboratories to send them
11 positive isolates, so if they detect S. tec or non-culture
12 method, they can send that out to the state for serotyping.

13 So we hope that if you're not, you can use that serotype
14 information.

15 The second question regarding surveying the
16 laboratories for information about 0157 testing, rather
17 S. tec testing. We have done that actually in two of our
18 lab surveys, the '97 and the 2000. We did ask whether they
19 test for 0157 or not, whether they use culture methods or
20 non-culture methods. We have seen certain amounts of -- we
21 have seen an increase in lab culture method testing for S.
22 tec.

23 I think that's probably too strong a term. There
24 were a few labs who did in 1997, and there were quite a few
25 more who did in 2000. But still, just a nominal percentage

1 of the laboratories have -- some of the laboratories that
2 are quite large. So in terms of the number of stools being
3 tested in that way, it's probably certainly not the
4 majority, but there certainly are a number of laboratories
5 who say they are testing for it.

6 So again, it's a potential complication and also a
7 potential opportunity and we're hoping that -- is such an
8 active method that we're able to begin to understand, if not
9 have an impact on these trends.

10 MS. WACHSMUTH: One more question before we break.

11 MR. LUCHANSKY: John Luchansky, ARS. I'll try to
12 be quick. A follow-up I think to Sperber's question. Am I
13 correct in assuming that the data do not reflect for
14 listeriosis atypical cases? If so, do you have a sense for
15 what that value or level might be?

16 MR. VAN GILDER: Basically, are you specifically
17 referring to the -- manifestation of listeriosis versus
18 others, or do you have something else in mind.

19 MR. LUCHANSKY: That's correct, yes.

20 MR. VAN GILDER: Foodnet collects, specifically
21 collects data on serosite (phonetic) listeria isolations.
22 So a stool listeria would be recognized but not encountered
23 as a case of listeriosis in Foodnet. And in some of our
24 case control studies, we choose out some of those types of
25 issues more specifically. So far in the year that the case

1 control studies have been going on, we've only had one sort
2 of atypical case come up, some kind of bizarre wound
3 isolation. But in general the case definition for FoodNet
4 listeriosis is an isolate for serosite, -- and CSF are sort
5 of examples.

6 MR. LUCHANSKY: With that being said, do you have
7 a feel for whether or not atypical cases might be something
8 to consider or not consider?

9 MR. VAN GILDER: I don't know how to answer
10 exactly except that we certainly, in our branch in general,
11 look at those and certainly look them up when they come to
12 our attention. I don't know if anybody has looked at how
13 often they occur.

14 -- outbreak in Wisconsin back in '95 or so but
15 specifically within FoodNet counting or trying to keep track
16 of them, we don't have the funds to do so. But in general,
17 we do hear about those kinds of things. We discuss these
18 cases as they come up in general is interested in knowing
19 about them as they occur. And then there are times when, in
20 fact, we go out and try to identify them.

21 MS. WACHSMUTH: Tom, a splendid job of answering
22 all the questions. I apologize to Phil Derfler. We're
23 going to move him to right after the break. So 15 minutes.

24 (Break at 10:45 a.m.)

25 (Meeting resumed at 11:10 a.m.)

1 MS. WACHSMUTH: Okay. You can start. Our next
2 speaking has the privilege of doing what I've said that we
3 can't do, he does policy. This is Phil Derfler, who is the
4 Deputy Administrator for the Office of Policy, Program
5 Development and Evaluation known at FSIS as OPPDE. And
6 Phil's office does create the policies and tries to use the
7 science that our Office of Public Health and Science
8 collects together and analyzes.

9 He's going to talk to us now about the policy
10 aspects of the salmonella performance standard. He is a
11 lawyer, so he's very well credentialed. Phil? Don't hold
12 it against him.

13 (Laughter.)

14 MR. DERFLER: Actually, I was going to say that
15 it's a privilege to be here and that it's quite intimidating
16 as I'm not a scientist, in speaking before this group. I'll
17 do the best that I can.

18 I'm going to talk about the salmonella performance
19 standard for raw product, for carcasses and for ground beef.

20 I passed out, in addition to the materials that you have in
21 your book, the current standards that we have in place. I'm
22 going to discuss three aspects of the procurement standards.

23 First, why FSIS chose salmonella as the target
24 organism for pathogen reduction. Second, how FSIS arrived
25 at the levels in the performance standards and, third, how

1 FSIS ensures compliance in the performance standards.

2 Please keep in mind that FSIS also has in place
3 salmonella performance standards for other types of
4 products, in particular for cooked, ready-to-eat meat
5 products. These performance standards were arrived at in a
6 different way and serve a different purpose than the
7 salmonella performance standards for raw product.

8 We're not going to talk about those and any
9 questions that you've got that deal with those performance
10 standards, we want to take those off the table. My talk is
11 going to focus on the raw product performance standards.

12 So why was salmonella chosen as the target
13 organism? As Mr. Billy stated earlier, HACCP and
14 performance standards are intertwined in the Agency's
15 regulatory strategy for improving food safety.

16 In the pathogen reduction HACCP final rule that
17 FSIS promulgated in 1996, the Agency gave four reasons for
18 why it considered salmonella to be the appropriate organism
19 to use as the measure of performance in pathogen reduction.

20 First, salmonella is a problem pathogen. As the
21 previous speaker pointed out, it's among the most common
22 causes of foodborne illness associated with meat and poultry
23 products.

24 Second, salmonella is relatively easy to find.
25 Current testing methodologies can recover salmonella from a

1 variety of meat and poultry products.

2 Third, salmonella is a useful indicator.

3 Interventions that end up reducing salmonella are likely to
4 be beneficial in reducing contamination of other --
5 pathogens.

6 Finally, it's role in determining what's happening
7 with salmonella, as it occurs at frequencies that permit
8 changes in its occurrence to be detected in and monitored.
9 These four factors make clear that FSIS chose salmonella as
10 its target because it would provide ready indication as to
11 whether SSOP's, sanitation standard operating procedures, in
12 HACCP were succeeding in controlling and reducing pathogens.

13 How was the level of salmonella in the performance
14 standards determined? The pathogen reduction HACCP system
15 final rule set pathogen reduction performance standards for
16 salmonella that must be met by all slaughter plants and all
17 plants that produce raw, ground products.

18 There are separate performance standards for
19 carcasses of cattle, one for steers and heifers and one for
20 cows and bulls, for market hog carcasses and for young
21 chickens. There are also pathogen reduction performance
22 standards for ground beef, ground chicken and ground turkey.

23 The pathogen reduction performance standards for
24 salmonella are based on FSIS data collection which are
25 referred to as baseline studies. There was a separate

1 baseline study for each product category. The Agency used
2 the results of these baseline studies to provide a national
3 estimate for each product category of the percentage of
4 product that contained salmonella.

5 In the final rule FSIS concluded that these
6 national estimates for salmonella prevalence was the best
7 available data on which to establish salmonella performance
8 standards. So the performance standards have been set based
9 on national estimates of salmonella prevalence. For
10 example, the standard for ground beef is 7.5 percent because
11 the results in the baseline study support a national
12 estimate of 7.5 percent of ground beef contains salmonella,
13 or at least they did based on the studies that were
14 conducted.

15 The performance standards, however, are not
16 directly translatable into an enforceable measure. After
17 developing the standards the Agency set out to design a
18 sampling approach for determining whether an establishment
19 is meeting the applicable standard. The Agency decided to
20 measure individual plant performance using a series of
21 sample sets.

22 FSIS defines sample sets based on two parameters:
23 the number of test results in the set and the maximum number
24 of positives that can occur and there still be compliance.

25 As for the former factor, FSIS decided that the

1 set size should be greater than 50, so that in doing
2 sampling, FSIS would be measuring process control over a
3 period of time.

4 As for the number of positives, FSIS set these
5 numbers so that establishments that are operating at the
6 performance standard, that is at the national prevalence
7 level, would have an 80 percent probability of passing the
8 set.

9 The Preamble to the final rule was when FSIS
10 decided to choose the 80 percent level based on the
11 balancing of three factors: the need to prevent
12 establishments from failing to meet the standard based on
13 chance results, the need to ensure that plants that were not
14 meeting the standard would be readily detected and the need
15 to give plants an incentive to perform beyond what would be
16 minimally required.

17 Given the decision to use the set size over 50 and
18 an 80 percent probability of passing the standard a number
19 of samples and the number of positives to achieve the
20 standard in a set, were determined using binomial
21 probability distribution.

22 Now to explain how that works from a prior
23 statistician which I am -- but to give you an example, for
24 ground beef, the performance standard is 7.5 percent. A
25 plant would be considered to meet the standard if out of 53

1 samples, five or less were positive.

2 The approach that FSIS used in setting the
3 performance standards results in the levels of performance
4 that establishments must achieve varying from product class
5 to product class. This led some to complain about
6 inequities in the standards. For example, that boilers can
7 have a 20 percent positive for salmonella to pass, while
8 steers and heifers can only be one percent positive to pass.

9 The standards are consistent however, because what
10 is required is the same for all establishments. They must
11 achieve at least the baseline level of performance for the
12 product classes that they produce.

13 It is important to note that the salmonella
14 performance standards are not based on quantitative
15 assessment of the risk posed by any particular incidence of
16 contamination, more on the determination of a safe incidence
17 level. In other words, the levels are not based on how much
18 salmonella it takes to make a person sick. There's not an
19 adequate scientific basis for making such an assessment.

20 The salmonella performance standards are based
21 instead on the public health judgment that reducing the
22 percentage of product with salmonella will reduce the risk
23 of foodborne illness. And that it is important for an
24 establishment to demonstrate that it is able to control the
25 occurrence of pathogens in its product. That is, that it is

1 able to consistently produce product that meets the
2 performance standard.

3 Data collected in 2000, and Mr. Billy mentioned
4 before, indicate that salmonella prevalence in each of the
5 product categories subject to performance standards have
6 dropped since HACCP implementation and that overall, 90
7 percent of all plants tested are meeting the standards.

8 Despite these encouraging results there has been
9 some concern expressed by people in the industry that the
10 standards are too stringent. The Agency's response to these
11 concerns is this, it is feasible for all establishments to
12 meet or exceed the baseline prevalence of contamination of
13 salmonella particularly if the plant maintains sanitary
14 conditions, meets the sanitation standard operating
15 conditions and operate in accordance with an adequate
16 validated HACCP system.

17 These fact are strongly supported by the results
18 of the testing that FSIS has done which, as I stated, show
19 that most establishments are meeting the standard. Now --
20 how does FSIS enforce the performance standards?

21 It is important to point out that establishments
22 must meet the salmonella performance standard not on a lot
23 by lot basis, but consistently over a period of time. In
24 other words, the standards for raw product are not used to
25 judge whether specific lots of product are adulterated or

1 not, rather the standards are intended to be a basis on
2 which to evaluate the adequacy of an establishment's HACCP
3 system in controlling and reducing hazards including
4 pathogenic bacteria in the product.

5 FSIS determines an establishment's compliance with
6 the salmonella performance standard by taking the
7 appropriate number of samples, generally at a rate of one
8 per day, testing each sample for salmonella and determining
9 whether the number of positives is above the maximum for
10 permitted for that product.

11 The Agency's goal is to achieve pathogen reduction
12 by ensuring that all slaughter and raw ground establishments
13 meet the performance standards established by FSIS.
14 Enforcement is based on a two part testing program. Ongoing
15 testing which includes all establishments at regular
16 intervals, irrespective of performance, and targeted testing
17 that's focused on establishments that have been unable to
18 meet the performance standard.

19 If I'm going with targeted testing, and an
20 establishment evidences that the performance standard is not
21 being met, then FSIS will decide whether to conduct follow-
22 up testing on the basis of several factors.

23 FSIS initiates another set of tests immediately at
24 all establishments, with test results that significantly
25 exceed the standard. If an establishment has salmonella

1 test results marginally above the limit, and takes
2 corrective action, FSIS may decide that immediate follow-up
3 testing is not necessary. If on the other hand, that
4 establishment were not to take -- corrective action or if it
5 took no action at all, then FSIS would institute another
6 series of tests despite the fact that the results were only
7 marginally above the standard.

8 If an establishment fails the second targeted
9 series of tests, then it is required to reassess its HACCP
10 plan for the tested product and to modify its claim as
11 necessary to achieve the salmonella performance standard.

12 If the establishment fails to reassess its HACCP
13 plan and make the modifications in its plan that the
14 reassessment suggests or if it fails a third series of
15 tests, FSIS will give the establishment notice that it
16 intends to suspend inspection services.

17 The suspension will remain in effect until the
18 establishment comes forward with a credible plan through
19 modification of its HACCP plan, that will likely enable it
20 to meet the performance standard.

21 In closing, I'd like to reiterate what I think are
22 some of the more important points to remember about the
23 salmonella performance standard. First, the standards are
24 based on what FSIS concluded were the best available data on
25 the prevalence of salmonella in raw products. The standards

1 were established based on FSIS' public health judgment that
2 reducing the percentage of carcasses in ground product of
3 salmonella will reduce the risk of foodborne illnesses.

4 Second, we know that the salmonella performance
5 standards are achievable because they are based on
6 nationwide baseline surveys of what establishments were
7 achieving prior to HACCP implementation. In fact, an
8 overwhelming majority of plants have been able to meet those
9 -- standards since they were implemented.

10 Third, the salmonella standards are not for use
11 for judging specific product, but instead are used to
12 evaluate the performance of an establishment overtime, and
13 finally, as Mr. Billy said, there's reason to believe that
14 the salmonella standards are working. Salmonella numbers
15 are down in the products for which standards have been set
16 and there is at least the suggestion in the CDC FoodNet
17 data, that foodborne illness is down, as well.

18 MS. WACHSMUTH: Thanks, Phil. Any questions from
19 the members? Swami?

20 MR. SWAMINATHAN: Bala Swaminathan CDC. You
21 mentioned in the beginning that there should be an incentive
22 to the plants to exceed the salmonella performance standard,
23 but you never discussed what the incentive would be.

24 MR. DERFLER: Well, I didn't say that there should
25 be an incentive. I said that we established the 80 percent

1 as an incentive. That was one, in fact, incentive that we
2 set in arriving at the 80 percent level. We wanted to
3 provide some incentive to the plants.

4 MS. WACHSMUTH: Katie?

5 MS. SWANSON: Katie Swanson, Pillsbury. Was
6 E. coli testing implemented at the same time that the
7 salmonella performance standards were implemented by the
8 facilities and could those data also contribute to the
9 reduction in salmonella levels because they've got a
10 quantitative measure to collect against?

11 MR. DERFLER: The generic E. coli standards in the
12 regulations are voluntary that are done by -- they were not
13 done by FSIS.

14 MS. SWANSON: Right.

15 MR. DERFLER: You know, I'm not going to judge
16 what those measures -- it was intended as a measure of
17 process control. That's how we describe it in the final
18 rule, not as a measure of pathogen reduction.

19 MS. SWANSON: Yes. I only bring it up because one
20 of the questions that is posed is related to are there
21 indicators that could be used in lieu of salmonella testing?

22 I just am wondering since the E. coli indicator
23 was implemented at the same time that salmonella testing
24 was, the reduction might be a combination of both results.

25 MR. DERFLER: All I can say is the Agency's intent

1 at the time --

2 MS. SWANSON: Thank you.

3 MS. WACHSMUTH: If I can recall correctly, E. coli
4 testing was implemented six months before the salmonella
5 testing. It's done by plants. I don't know if there will
6 be comparability. Bruce?

7 MR. TOMPKIN: Bruce Tompkin from ConAgra. To what
8 degree does the Agency provide information to those plants
9 that do not meet the performance standards, information that
10 could help them meet the standard? Is there any guidance
11 provided for these establishments?

12 MR. DERFLER: One of the things that we do for
13 plants that have failed two sets in a row -- the first set
14 there is no particular consequence. But after the second set
15 is failed, we do an in-depth verification at the plant.

16 Now a lot of plants view that as a threatening
17 gesture. It's really not intended to be threatening. It's
18 intended for us to go through the plant, through the company
19 and try and suggest where we see problems, as a way to help
20 the plants come into compliance.

21 We've learned that small and very small plants
22 view the HACCP implementation process as part of the next
23 steps initiative. We intend to continue and to renew those
24 efforts. We've put out guidance to brand new plants for E.
25 coli 0147, but that guidance could have some turnover to

1 salmonella levels.

2 We intend to provide additional guidance sometime
3 in the future -- E. coli 0147 but probably would have some
4 carryover. So we have made some measure of efforts to try
5 and provide information to small plants.

6 MS. WACHSMUTH: David?

7 MR. THENO: David Theno with Jack in the Box. In
8 the ground beef operations, specifically ground beef
9 operations where it's not a -- operation. Clearly these
10 people are taking what I'm sure doesn't convert into ground
11 beef and they don't have an intervention -- purposes. Has
12 anything been done to -- grinding operations back to the
13 slaughter plants. Because that's, you know, where
14 originally the -- are coming from, although it could be SOP
15 issues or sanitation issues within the grinding plant?

16 MR. DERFLER: I'm not aware of -- but I trace it
17 back to the -- the Preamble to the final rule. Some of the
18 things -- make clear that we believe that the appropriate --
19 for a -- plant is intake. It may be necessary to establish
20 ground standards, standards for the incoming product so that
21 we are able to control salmonella levels and other pathogen
22 levels.

23 MS. WACHSMUTH: Okay. Dane?

24 MR. BERNARD: Thank you. Dane Bernard. This is
25 getting a little confusing.

1 (Laughter.)

2 Thank you for your presentation. Are we going to
3 have copies of Mr. Derfler's, and the other speakers'
4 presentations? There were a number of items in there that
5 I'd like to have a chance to look at and become more
6 familiar with.

7 The question I had asked earlier about revisiting
8 the -- information referred to in the first talk, I'd still
9 like to come back to that. A lot of what we just heard
10 about I think would be informed by going back and looking at
11 the derivation of the performance standards. The comparison
12 that we're asked to make with today's performance versus
13 those performance standards, I don't want to do right now.
14 I'd like to resume the opportunity later.

15 What I'm going to do is ask a question regarding
16 linking things back through the supply chain in terms of
17 working from the ground beef producers back through the
18 supply chain. I know that the information that was referred
19 to earlier, the very last table we were -- refers to the
20 number of sample sets taken and passed.

21 If my quick math serves me at all, we've got about
22 70 sample sets this is all -- represents several
23 establishments for cows, bulls, steers and heifers. Ground
24 beef is going to come from one of those, versus 653 samples
25 from ground beef. If the interventions really can be

1 applied at slaughter, are we a little out of balance here?

2 MR. DERFLER: -- salmonella, the Agency said at
3 that time that it would look at slaughter, particularly in
4 plants that -- slaughter -- and would look at the grinding
5 level, at the grinding product, the ground product. In part
6 because it was closer to the consumer, and in part because
7 it was happening in the plant. Simply that judgment is one
8 that this committee formed, but that is what they were
9 saying in the Preamble to the final rule.

10 MS. WACHSMUTH: I think the fact that product was
11 manipulated further, it was closer to the consumer. Also,
12 the E. coli testing that Katie mentioned in terms of process
13 control was not applied to the grinders. It applies to the
14 slaughterers because it is a surrogate for fecal
15 contamination. So we have a lesson to mention to the
16 grinders as well. Dave?

17 MR. ACHESON: Dave Acheson. To come back to a
18 third point on why salmonella was chosen. It's a useful
19 indicator of other enteric pathogens. How good was the data
20 was salmonella really telling you what's going on with 0157
21 and Campylobacter, two other major enteric pathogens?

22 MR. DERFLER: I'm not sure that I am equipped to
23 answer that question. But I can tell you that in the
24 Preamble to the final -- proposal and the final rule the
25 Agency made the point. I don't know that the Agency ever

1 said that there was a specific correlation between
2 salmonella and 0157. But what I think we said was that
3 generally salmonella -- and other pathogens as well. I
4 think that's what the agency said.

5 MS. OLIVER: Control efforts for one might --
6 control.

7 MS. WACHSMUTH: I was trying to keep things in
8 order. John, your reaction?

9 MR. KOBAYASHI: John Kobayashi. Regarding those
10 plants that fail to meet the standards, is there any effort
11 to determine whether plants that fail to meet standards to
12 reduce foodborne illnesses, either by sharing the identities
13 of the plants with the Centers for Disease Control or submit
14 human isolates to the PulseNet system see if there's any
15 relationship to human illness?

16 MR. DERFLER: I don't think that happens as a
17 matter of course. I think that's happened on occasion, but
18 I don't believe that occurs as a matter of course.

19 MS. OLIVER: Swaminathan may be able to help, but
20 to date we don't have a regular program for subtype within
21 FSIS. The regular program for subtyping by -- the
22 salmonella isolates. We do that with listeria and 0157.
23 That information goes into PulseNet but not routinely with
24 salmonella. Swami would you --

25 MR. SWAMINATHAN: Bala Swaminathan, CDC. That is

1 correct. We get mostly 0157 and listeria from the
2 USDA/FSIS, and from the -- laboratory, special projects and
3 -- support laboratory FSIS --

4 The pathogens that we get usually do not have much
5 information associated with it other than an OB designation
6 the outbreak, and then a number which matches the human
7 isolates. Then we contact the appropriate people at the
8 USDA/FSIS office to ask for the names and then later on
9 some information comes through. I understand there's a
10 problem, a regulatory problem that the USDA has that they
11 cannot share additional information with us.

12 MS. OLIVER: Tsegaye?

13 MR. HABTEMARIAM: Thank you. Policy is being
14 driven by science. When you were referring to the estimate,
15 the 80 percent estimate, you indicated that there wasn't
16 enough scientific information and I can appreciate that.

17 Were there a broad representative of experts other
18 than FSIS involved in the decisionmaking for that cut off
19 point. Because that kind of a number comes to really affect
20 alot and comes to the bottom line without any flexibility?

21 The second question is I know small and very small
22 processors, especially in the South that -- . So to
23 follow up on the previous questions, are there some plans or
24 mechanisms to assist these processors who are already out
25 but are now interested in coming back in business? Do you

1 assist them to somehow get back into the system?

2 MR. DERFLER: In answer to your first question,
3 the 80 percent level that we ultimately came up with was the
4 result of a public meetings and comment process. So our
5 decision was ultimately made in the Agency. It wasn't a
6 common process. Let me just sort of point out more
7 definitively.

8 For a plant ultimately to be suspended they have
9 to be failed three sets in a row. So we're very concerned
10 that it not be a chance occurrence. So we -- one set of 50
11 something that they failed -- they failed a second sampling,
12 they failed a third sampling. You know, there's plenty of
13 opportunity during that time -- if they're operating at the
14 current national prevalence level, it's going to come out.
15 If they're not, then there's a significant problem with the
16 process. They have two opportunities during that time to
17 correct and improve their process.

18 As far as the small and very small plants; as part
19 of HACCP implementation, we've created a small and very
20 small plant -- that remains in effect -- and we've been
21 putting renewed emphasis on small and very small plants as
22 part of HACCP Next Steps so there will plenty of opportunity
23 for them to get assistance when they need it.

24 MS. OLIVER: Bob?

25 MR. BUCHANAN: Bob Buchanan, FDA. I just wanted

1 to make one comment and a question in regard to what we
2 heard in relation to the charge that we were provided.

3 The first comment is just one on state statistics.

4 I take it that someone being in the plants wouldn't and
5 that three times in a row just by chance is less than .008 -
6 - .

7 The second comment relates to the ground beef
8 versus -- control standards. I just want to clarify that a
9 separate baseline study was done to identify the
10 technological -- for ground beef; and (2) evaluating what
11 questions you asked about -- . In slaughter in the past, --
12 ground beef.

13 Can we assume that that product will be held under
14 conditions between the chiller and the slaughter house, and
15 subsequently under refrigerated conditions throughout the
16 rest of its stay in making ground beef products -- . Do I
17 know these are the differences that they're making
18 between -- chilling of the original -- ? Should we make
19 that assumption when we're looking for the technological --
20 defect rate of -- plants?

21 MR. DERFLER: The answer to your first question is
22 that --

23 MR. ENGELJOHN: This is Dan Engeljohn with FSIS.
24 I can answer the second part of that question about
25 assumptions made. I would say you should assume that --

1 distribution of the product around the country is not --

2 MR. DERFLER: Our assumption would be that the
3 plants would control that in your HACCP plans.

4 MS. OLIVER: Okay. Peggy?

5 MS. NEILL: Peggy Neill from Brown University.
6 Now that you've got two years worth of data, I'm wondering
7 if you have interest or experience in trying to look at
8 comparing the various aspects of suppliers who are
9 consistently meeting their target versus those who
10 sporadically meet their target versus those who consistently
11 don't meet the target.

12 MR. DERFLER: I think when we look at the data --
13 our resources allow us to do. We don't have a particular
14 plan, if that's what you're suggesting. But we do tend to
15 look at the data as -- and it is our intention to meet the
16 standards over time so that they -- that will certainly be
17 part of our consideration in reassessing the standards.

18 Only one way, at least in -- for all size plans
19 under HACCP, that only occurred in January. So what we do
20 in looking at the data that we have, we're now starting to
21 consider that at part of --

22 MS. OLIVER: Bill?

23 MR. SPERBER: Thank you, Madame Chair. I'm Bill
24 Sperber from Cargill. I'd like to follow-up on the point
25 that Katie Swanson raised. It was a very good question.

1 Since generic E. coli monitoring is included in the pathogen
2 reduction regulation. And it started about the same time as
3 enforcement of the salmonella performance standards. How do
4 we really know if the subsequent reductions now being
5 recorded on listeria are because of the generic E. coli
6 monitoring. Kaye stated that generic E. coli monitoring had
7 been done six months earlier.

8 So a minor point of clarification I'd like to
9 make, and I assume this is accurate is that when -- did
10 generic E. coli monitoring begin immediately and very
11 quickly in 1996, and the salmonella performance standard
12 wasn't implemented in large plants until January of '97.

13 My point here is if you wanted to compare the
14 effects of these two standards in the industry, the baseline
15 data that was collected to develop the salmonella
16 performance standards was collected -- before 1996. So I
17 think it's accurate to say that generic E. coli monitoring
18 and E. coli testing, salmonella performance standards
19 occurred at the same time relative to the collection of the
20 baseline data. They both occurred after. By that logical
21 order then --

22 MS. OLIVER: One set of data are Agency data, the
23 other data belongs to plants and are generated by the
24 plants. We set national averages to help a plant compare
25 themselves to the nation, but it's for the plant. It's for

1 the internal use of the plant, to make sure that the process
2 is under control. Alison?

3 MS. O'BRIEN: I'd like to follow-up on the
4 previous question with remarks and then get to my original
5 question. If a plant has data, internal data they generate
6 from generic E.coli testing, and that plant fails the
7 salmonella standards, now let's say for the second time; do
8 they go back and look to see if they mixed something up on
9 generic E. coli testing? Is that question addressed?

10 MR. DERFLER: It's not addressed as part of the
11 regs. We would hope that they would do that as part of the
12 assessment that they do.

13 MS. O'BRIEN: That would, in fact -- the question
14 -- each assessment is saying the same thing. You would hope
15 that if they had picked up generic E. coli and found a
16 problem, they would have done something about it
17 immediately.

18 My original question is what percent of plants
19 fail twice for performance standards or three times?

20 MR. DERFLER: Three times, I believe there's been
21 four plants. Three -- and one slaughter plant failed. I
22 don't know the percentage that have failed two sets. I can
23 tell you that of the four plants that failed three sets,
24 three of the four were back up and running very quickly.
25 Only one of them failed a fourth set and was suspended for

1 an extended period of time.

2 MS. OLIVER: And the ones that were back up and
3 running, was their problem properly taken care of and not
4 come again.

5 MR. DERFLER: One of them is in bankruptcy and no
6 longer operating. One of them is -- and the third, I'm not
7 positive as to whether or not they finished their first set.
8 But they came back fairly quickly because they did change
9 their HACCP plan and have been operating since.

10 MS. OLIVER: Thank you. The other part of the
11 generic E. coli testing is that it does not apply to the
12 grinding. John?

13 MR. KVENBERG: John Kvenberg. Phil, my question
14 just goes to the data we were looking at on these tables as
15 it relates to large plants. I don't know if any conclusions
16 can be drawn by these percentages. Extracting large plant
17 data from total data, there appears that, well, considering
18 ground beef 6.4 the first year, -- and 3.3, a decline over
19 all, yet large plants were going from 4.9 percent and 6.7 to
20 5.4.

21 And on the second table presented is the number of
22 plants mean, and look at the averages for the whole industry
23 of ground beef, 88 percent, 87 percent and 91 percent, and
24 we're achieving at really large plants starting at 88
25 percent, then 85 to 81 percent.

1 Is there something wrong in looking at this data
2 relative to these percentages as they relate to large
3 plants, that indicate a point where they're not making
4 progress, or why do these numbers look the way they do in
5 large plants?

6 MS. OLIVER: Bruce?

7 MR. TOMPKIN: Bruce Tompkin from ConAgra.
8 Actually I was supposed to give a summary from '98 through
9 2000. Knowing what's going on in this industry, the various
10 industries, I -- anticipated the large firms having spent
11 the money up front for all the interventions and so on. But
12 many of the smaller plants Just how the very small plants
13 with fewer employees ultimately perform this is not certain
14 because the numbers of samples is so few. But I think that
15 in a way it points out perhaps if you track the difference
16 between large and small which is really the break of 500
17 employees. Ours is five hundred and one. Small is between
18 ten and five hundred. So the question then really is a
19 question of what have we learned from that experience and
20 how can that information be transferred to other operators.

21 In the very small though, it states that ground
22 beef, in that time period, 10,460 collected. The very small
23 plants, for those three years. So 10,460 was collected from
24 facilities in which there were ten or fewer employees.
25 Where are these? Are these grocery stores? That's a big

1 number. It surprised me.

2 MR. DERFLER: We wouldn't be taking samples from
3 grocery stores for salmonella, unless we had some sort of a
4 --

5 MR. TOMPKIN: But for -- '98 through 2000, is
6 10,400 samples for -- percent positives. That's the fourth
7 page of the Progress Report. We don't have to have an
8 answer to that now, but it's a question for you. That only
9 came out just a few weeks ago, and I was trying to figure
10 out, well, does this make sense? And then something didn't.

11 MS. OLIVER: I think one thing may have been the
12 requirement by the school lunch program that suppliers have
13 a HACCP plan in place. So they came in early and the school
14 lunch program suppliers are very small, small businesses.
15 So that could explain it. Skip?

16 MR. SEWARD: Skip Seward from McDonald's. Just a
17 couple of points I wanted to follow up on about the emphasis
18 on slaughter plants as compared to ground beef facilities
19 just from the standpoint that it's been the experience I
20 think through our business that the people who grind the
21 beef really are dealing with what comes in the back door and
22 don't add significant contamination if any, to the ground
23 product. Therefore, the more emphasis that could be placed
24 on the upstream, I think would benefit the overall program
25 tremendously. And I think that that's a good move to

1 decrease the risk.

2 I know that in the case where excellent plants
3 have come up against a possible problem with performance
4 standards they usually at that point adjust their -- go out
5 and look at their raw material sources and do sort of a pick
6 and choose based on the history of the raw materials sources
7 to make sure that then they limit their opportunity for any
8 sort of failure.

9 So again, I think it emphasizes the importance of
10 the raw material control in meeting the performance
11 standards in a large grinding operations and, if you will,
12 I'm not sure that's a -- of the system but I think it speaks
13 to the importance of the raw material supply in choosing a
14 standard.

15 Most suppliers, most buyers do have E. coli target
16 guidelines for generic E. coli and in general the large
17 grinders for sure in many cases have a very difficult time
18 ever finding E. coli contamination in the ground meat
19 products or the levels are just so low nowadays, you'll get
20 spikes sporadically, but very low. So it's a relatively, I
21 think, good indicator of high quality beef and raw materials
22 that are used to produce, at least, ground beef.

23 Then the last comment I have is just I'd like to
24 ask whether or not there's been some consideration to
25 enumerating some of the positive samples with the emphasis

1 today on quantitative risk assessments in trying to
2 establish potential risk from this organism and others. It
3 seems prudent to take this opportunity to begin to -- I know
4 it's a huge effort both monetarily and staff-wise, and so
5 forth, to do that. But it seems like it would be prudent to
6 do some enumeration of these samples so they would begin to
7 better understand the level of contamination that is showing
8 up in some of these products. And if that information is
9 available on some level, I think the more you can get that
10 out to people, that that will add a lot of value to what
11 you're accomplishing here. Thank you.

12 MS. WACHSMUTH: Bob?

13 MR. BUCHANAN: Bob Buchanan.

14 MR. DERFLER: I don't know the answer to that
15 question. I mean if you look at, with respect to ground
16 beef subsequent --

17 MS. WACHSMUTH: maybe you can --

18 (Laughter.)

19 MR. DERFLER: I'm not sure -- the question. I'm
20 going to take Bob's -- questions and answer yes to all of
21 them.

22 (Laughter.)

23 MS. WACHSMUTH: That's a good strategy. And so
24 we've requested that material. I'll come over and try and
25 get it. There are also some other documents that were used

1 in some statistical documents in the development of the
2 regulation that we are going to get copies of for people
3 before the subcommittee meeting but we'll get the copies to
4 all of the members of the committee who then will have that
5 beforehand. Okay. Any other questions? Oh, Swami?

6 MR. SWAMINATHAN: Swaminathan, CDC. Are these
7 isolates from these studies -- or is any serotyping done
8 with these?

9 MR. DERFLER: I think the answer is no until --

10 MS. WACHSMUTH: We do serotype. We send all of
11 the isolates -- at first we made decisions at 10 percent
12 just because of the numbers, but I think we've done much
13 better than that. I think they send most -- I'll get a
14 number for serotyping because -- well, we have an
15 arrangement for the serotyping but then those isolates can
16 also be -- and screened for resistance. We try to do it as
17 soon as possible. So does anyone have any other
18 information?

19 MS. WACHSMUTH: Ok.

20 MR. KAMANZI: Jean Kamanzi. Canadian Food
21 Inspection Agency. My question pertains to the methodology,
22 the method used when you do your FSIS set of testing for
23 salmonella performance. There are many labs that do
24 testing. Do these labs use the same method? The second
25 question is this method the same as it was when you were

1 working on the baseline survey two years ago?

2 MR. DERFLER: There's three labs that are similar.
3 The answer to both questions is yes.

4 MR. KAMANZI: Okay. This is an interesting issue
5 because when the FSIS baseline survey was published --
6 balance of salmonella, for example poultry -- 20 percent.
7 So in Canada when we looked at the survey which was done in
8 1994 and the balance at that time was 60 percent. So when
9 we looked at that baseline -- . So what we did was develop
10 a new baseline survey in 1998 for the poultry industry.

11 We had to get permission from the -- . We used
12 that different method -- and we did a survey. So using that
13 method we came up with 20 percent in Canada. In using the
14 Canadian method we come up with 40 percent. So you need to
15 use the same method if you do performance standards?
16 Otherwise, you may get differences in the results. Thank
17 you.

18 MS. WACHSMUTH: Thank you. One reason that I
19 mentioned to Katie that we don't look at the data that we
20 don't generate is because there can be such differences even
21 when the same methods are applied in different laboratories.
22 But the data for the baselines and the data for the testing
23 is as controlled as possible.

24 Hopefully, by the end of this year all of FSIS
25 labs will be iso-certified which is not necessarily that the

1 quality will change, but the documentation and the
2 harmonization of data between labs should be as identical as
3 they can be.

4 Okay. I think since we're getting close to lunch
5 the best strategy would be to ask Dr. Engeljohn to read the
6 charge to the committee and that will give you lunch time to
7 think about it a little bit and then maybe he'll read it for
8 us again and we'll start discussions following lunch. Dan?

9 MR. ENGELJOHN: Thank you. This is Dan Engeljohn.
10 I'd like to read from the materials that you should have in
11 the green tab in your folders which identify the FSIS
12 questions to this committee regarding performance standards.

13 FSIS designed the salmonella performance standards
14 to measure prevalence. FSIS uses data collected through
15 testing that measures compliance with the standard to verify
16 the adequacy of HACCP systems. FSIS proposes that advising
17 the salmonella performance standards to make them more
18 reflective of current salmonella prevalence in the various
19 raw ground product classes may be appropriate.

20 FSIS seeks from this committee guidance on what
21 might meet the scientific decision points for such revisions
22 of the existing standards. FSIS also seeks information on
23 alternate methods to make improvements to the current
24 system. To address these questions FSIS requests this
25 committee to consider the following:

1 1. What constitutes scientific sufficiency to
2 support use of an indicator organism in lieu of a specific
3 pathogen for measurement against a performance standard?

4 2. What constitutes scientifically appropriate
5 methods for incorporating regional variations when
6 developing performance standards? Seasonal variations?

7 3. Qualitative standards appear to have more
8 technical challenges associated with them than do
9 quantitative standards. What special considerations need to
10 be attended to in the development of quantitative baseline
11 data? What special considerations need to be attended to in
12 using quantitative baseline data for the development of
13 quantitative performance standards?

14 4. What are key scientific considerations that
15 need to be attended to when developing risk assessments for
16 application to development of performance standards? What
17 are key scientific considerations that need to be attended
18 to when using risk assessments in the development of
19 performance standards?

20 Within that package you have a number of
21 background materials which we felt would be helpful to you
22 as you would identify -- together. But you have --
23 evaluation of the current role and criteria to ensure the
24 safety of meat and poultry products. This is the charge
25 that FSIS is giving to the National Academy of Sciences to

1 examine the role of criteria in the current HACCP rules in
2 ensuring the safety of meat and poultry products.

3 This is more specific in the charge that this
4 committee has. When you have an opportunity to read through
5 that document I would ask you to go to page four. We're
6 asking specific questions of the committee and they relate
7 to performance standards in general as to the specific raw
8 salmonella performance standards that you will be asked for.

9 Number B is the progress report on the salmonella
10 testing of raw meat and poultry products -- the year 2000.
11 This is an FSIS report that we had some discussion on this
12 morning.

13 Item number C is the Mobility (phonetic) and
14 Mortality Report from CDC. Item number four is the Mead
15 study on food-related illness and death in the United
16 States. Item number E is just specifically that which was
17 contained in the Congressional language that gave us the
18 specific charge that we have today.

19 Then Item No. F is a section of the Preamble to
20 the final rule for the HACCP pathogen reduction -- which
21 deals with microbial performance standards. So we pulled
22 that section out and gave that to you. If someone needs the
23 full HACCP regulation, please let us know and we'll make
24 sure that you have access to that information.

25 Then this morning you were given a copy of the

1 actual regulations, it should be a copy that has an
2 introduction with black around the edges. That deals with
3 specifically the salmonella performance standards for meat
4 and on the second page you have the salmonella performance
5 standards for poultry.

6 MR. TOMPKIN: Several of us did not receive
7 them --

8 MR. ENGELJOHN: Okay. We'll make sure it's
9 available out front. This is what it looks like.

10 (Pause.)

11 MS. WACHSMUTH: We'll make sure there's some out
12 front, but in the meanwhile you can borrow this one.

13 MR. ENGELJOHN: It should have been sitting on the
14 table in front of you when you walked in this morning.

15 MS. WACHSMUTH: We have a couple coming around.
16 So think about this over lunch. We'll review the questions
17 again right after lunch and then discuss them, knowing that
18 we'll take these into consideration and that's in a
19 subcommittee which would prepare a strawman report that
20 comes back to this committee hopefully in advance of the
21 next meeting of this committee so that the committee can
22 then finalize that report in August. That's the hope.
23 That's the plan. Okay. Now Brenda has a few words before
24 lunch.

25 MS. HALBROOK: For those of you who are new to

1 this neighborhood, I just wanted to give you some ideas as
2 to where you can get some lunch. There is a restaurant in
3 this hotel. Also, there are a number of eateries in this
4 neighborhood. As you go out of these glass doors here and
5 take a left, you'll find quite a selection down either on
6 Vermont Avenue or 14th Street. If you continue on down a
7 couple of blocks to K Street you can go up one block or so
8 on either side of the intersection and find things to eat.
9 So we'll convene here in an hour.

10 MS. WACHSMUTH: Okay. See you then.

11 (Lunch break at 12:05 p.m.)

12 (Hearing resumed at 1:15 p.m.)

13 MS. WACHSMUTH: Looks like almost everybody's
14 back.

15 (Pause.)

16 Okay. We'll get Dan to lead us through the
17 questions again. I guess you can tell from the questions we
18 definitely want to analyze where we are in terms of our
19 performance standards but we're also, more importantly,
20 wanting to look forward to if what we've done is not the
21 best way to do it, then what is the best way to do it? So
22 that's, hopefully, going to be part of the focus.

23 Do you want to run through the questions one more
24 time, Dan, and then we'll open it up for general discussion?
25 Oh, David. Sorry.

1 MR. THENO: David Theno with Jack in the Box.
2 Just listening to the discussion this morning this is a
3 question to the Agency. Could we not take a look at the
4 data that we've gotten and a ton of data collected, both
5 from the FSIS side in terms of the, if you will, refereed
6 sample or whatever we call them, the official samples?

7 We could run the statistics on the official
8 samples, which I think you may have already done, and also
9 run the statistics on the plant samples acknowledging that
10 we have, you know, some variability and stuff. But I think
11 you probably going to get a pretty good look at what goes on
12 because you've got a ton of samples out there to review.

13 MR. ENGELJOHN: Just for clarification -- this is
14 Dan Engeljohn. When you say -- or do you mean the --

15 MR. THENO: Actually both is what I was thinking.
16 I mean you've got all of this base data. If you could take
17 a look at the statistics behind them to see where all this
18 sorts out. If you've got a -- and I don't know how your
19 data tables are set up, you might even be able to look at
20 some of the geographic questions and seasonality issues.

21 MS. WACHSMUTH: Well, I think you may be a little
22 step ahead of us, because I think that's exactly the kind of
23 advice that we like. But let's go backwards for a minute
24 and start with the questions again. That's precisely I
25 think, what we're going to come out of this with, advice for

1 the Agency and the best way to do this. We do more and more
2 under HACCP, reviews of data and the plants should have at
3 least -- .

4 MR. ENGELJOHN: I'm going to start with the
5 questions. Question number one, what constitutes scientific
6 sufficiency to support use of an indicator organism in lieu
7 of a specific pathogen for measurement against a performance
8 standard?

9 Question number two, what constitutes
10 scientifically appropriate methods for incorporating
11 regional variations when developing performance standards?
12 Seasonal variations?

13 Question number three, take a look at what you
14 have. We switched some words around. It should say
15 "Quantitative standards appear to have more technical
16 challenges associated with them than do qualitative
17 standards." It's written in the opposite way.

18 Number three should say "Quantitative standards
19 appear to have more technical challenges associated with
20 them than do qualitative standards." What special
21 considerations need to be attended to in the development of
22 quantitative baseline data? What special considerations
23 need to be attended to in using quantitative baseline data
24 for the development of quantitative performance standards?
25 Just so you remember, we have qualitative standards -- .

1 Question number four, what are key scientific
2 considerations that need to be attended to when developing
3 risk assessments for application to development of
4 performance standards? What are the key scientific
5 considerations that need to be attended to when using risk
6 assessments in the development of performance standards?

7 (Pause.)

8 MS. WACHSMUTH: Katie?

9 MS. SWANSON: Katie Swanson, Pillsbury. Just a
10 point of clarification. Are we supposed to be directing our
11 discussions specifically at performance standards for raw
12 product or for raw ground product?

13 MS. WACHSMUTH: This, as Phil mentioned in the
14 beginning of his talk, we will eventually look at all of the
15 performance standards, a micro-criteria that FSIS is using.

16 But the focus right now is on the salmonella testing and
17 the HACCP which is only for raw product.

18 MR. ENGELJOHN: But in the opening paragraph where
19 it describes the background which specifically says, "Raw,
20 ground product classes." I think the Agency's goal was to
21 first look at that because we believe that there is a
22 concern primarily with the raw ground salmonella performance
23 standards.

24 Ultimately we will work towards addressing all of
25 the performance standards for raw product, but I think

1 initially, the emphasis needs to be on raw ground.

2 MS. SWANSON: Thank's Dan. I appreciated that,
3 but there is more criticism also about performance standards
4 for ground meat.

5 MS. WACHSMUTH: Dane?

6 MR. BERNARD: Thank you. Dane Bernard. The first
7 question, I find a little confusing because looking at
8 supporting "use of an indicator organism in lieu of a
9 specific pathogen for measurement against a performance
10 standard." I'm not sure exactly what measurement against a
11 performance standard has to do with the objective we're
12 striving for because the performance standard itself, as it
13 says in the second line of the introduction is to verify the
14 adequacy of HACCP systems. So shouldn't question one refer
15 to "support use of an indicator organism in lieu of a
16 specific pathogen" for verifying the accuracy of HACCP
17 systems?

18 It seems to me that to -- if you don't change
19 that -- . Okay. So you will be using an indicator
20 organism against a performance standard which I assume would
21 still be salmonella. That doesn't make any sense.

22 MS. WACHSMUTH: Dan?

23 MR. ENGELJOHN: This is Engeljohn from FSIS. I
24 would say that we as an Agency are looking at all issues
25 related to the performance standards. We know that we have

1 interest by the industry in using indicator organisms where
2 possible, if a pathogen is there at low numbers and, the
3 utility of using a pathogen may not always be what is
4 necessary.

5 I think that we are open to the issue of is there
6 another way of dealing with reduction in foodborne illness
7 related to meat products by looking at something other than
8 just a pathogen? Is there a way to have an indicator
9 organism to do that? That could in fact be the case. We're
10 not saying the issue is squarely on does there have to be a
11 pathogenic -- . We're opening that up to comment.

12 MR. BERNARD: So we're recognizing that it's open
13 for discussion. I'm still confused about whether the
14 question really is having -- into the Agency's desires --

15 MS. WACHSMUTH: Okay. The author of the question?
16 Would you like to clarify that.

17 MS. HULEBAK: This is Karen Hulebak from FSIS.
18 What I might suggest, Dane, is that the Committee, to the
19 extent that anyone else shares your confusion, and you might
20 undertake to do it yourself, would be to come up with some
21 alternative constructions. In the course of that
22 discussion, you might flesh out other issues that the
23 Committee thinks needs to be addressed.

24 But I think it's kind of an evasive answer to your
25 question. But I think maybe you put your finger on some

1 other concerns, some other issues, that might need to be
2 addressed here. I think Dan's answer was a fair answer
3 and -- .

4 MS. WACHSMUTH: I think the concern is about
5 salmonella performance standards and the evaluation of that.
6 If we were to use another performance standard or indicator
7 I would think we would want to address how that performs, if
8 you will, against the standard that we were discussing.

9 MR. BERNARD: Dane Bernard again. I was confused
10 but --

11 MS. WACHSMUTH: John Kvenberg?

12 MR. KVENBERG: Thank you, Madame Chairman. I have
13 a Center Director who says if you're not confused you simply
14 don't understand the problem --

15 However, I was taking notes at Phil Derfler's
16 presentation, it was an excellent presentation. My notes
17 say that the performance standards -- not specific --
18 determination. So maybe for clarification purposes -- close
19 to what I assume you're really asking here; the performance
20 standard will be measured against performance over time and
21 not specific lot information that's helpful. To me that
22 would mean HACCP systems were a subset of the SSOP's.
23 That's initially what I thought I heard expressed. Thank
24 you.

25 MS. WACHSMUTH: Okay. -- was introducing

1 salmonella performance standards. A lot of discussion around
2 question one. I think at this point, Karen had a good idea.

3 We'll take any other constructs that anyone wants to
4 suggest and consider those back at the Agency before we get
5 to the subcommittee level? David?

6 MR. ACHESON: I think -- this is Dave Acheson. I
7 think Dane's consternations rubbed off slightly in my
8 direction.

9 (Laughter.)

10 MS. WACHSMUTH: We have enough of them.

11 MR. ACHESON: I do feel negative vibes from my
12 area.

13 (Laughter.)

14 My understanding is in what the Agency is looking
15 for is to address the question of whether the indicator
16 organism acts as a surrogate in salmonella. But if it does
17 it needs to at least meet the performance standards, the
18 standards currently contained by salmonella. Is that
19 correct?

20 MS. WACHSMUTH: That's also my interpretation,
21 yes. Back to a little bit of procedure, I have a note that
22 maybe not everyone on the Advisory Committee realizes that
23 we're talking today to set the ground work for what happened
24 in the subcommittee. We're here to specifically answer the
25 questions that you have and state other -- anything else

1 that they think is appropriate that will come back to this
2 full committee.

3 So right now we're just setting the ground work
4 for what is to come. It's hopefully something black and
5 white that you can react to and react more specifically for
6 the next meeting. Swami?

7 MR. SWAMINATHAN: Yes. My question is does USDA
8 have the generic E. coli data -- single samples that have
9 shown in -- .

10 MS. WACHSMUTH: No. The companies collect generic
11 E, coli data as a surrogate for fecal contamination during
12 processing and slaughter only. So companies have slaughter
13 generic E. coli data.

14 We do have generic E. coli data generated in the
15 baseline studies that was one of the microcriteria that we
16 measured in those baselines.

17 MR. SWAMINATHAN: Salmonella -- samples on the
18 baseline studies?

19 MS. WACHSMUTH: Quite. Those were the samples
20 that we used to establish the -- , the committees that Phil
21 mentioned. The slaughter -- we're talking about the
22 grinding. The slaughter baselines were a full year. The
23 grinding baselines were set at different intervals, months.

24 MR. SWAMINATHAN: Could the committee have access
25 to those data?

1 MS. WACHSMUTH: Each of the baselines -- in fact,
2 we can supply the committee with that, we should. We have a
3 summary for each baseline.

4 MR. SWAMINATHAN: Another point of confusion is --
5 the last speaker's presentation, he mentioned about using
6 salmonella itself as an indicator organism. So we've got
7 two levels of indicator organisms.

8 MS. WACHSMUTH: You are absolutely right. I think
9 it was stated in a way that control measures that would
10 address or control salmonella would be expected to control
11 other indicator organisms. So that's an accurate
12 implication. Bob?

13 MR. BUCHANAN: Bob Buchanan, FDA. One question I
14 have is, is FSIS considering modifying the performance
15 standards with regard to raw ground products, in
16 particularly. Does that mean that we should focus our
17 efforts into -- . Are we to -- products -- the use of the
18 salmonella performance standards for all products? With
19 ground products, there were some assumptions that we should
20 be looking at -- operations?

21 MS. WACHSMUTH: Dan?

22 MR. ENGELJOHN: This is Dan Engeljohn with FSIS.
23 I would say the Agency's initial interest is, in fact,
24 looking at the ground product performance standards first
25 before we start looking at modifying the slaughter

1 performance standards.

2 But there may be situations where in order to, as
3 you say, there are so many assumptions made, we should be
4 looking it. Because, as an example, the Agency does not
5 test the slaughter operations which also -- . So that there
6 is one assumption that may need clarification.

7 Our initial focus in terms of how we want to move
8 forward would be to start on the ground products -- to
9 start.

10 MS. WACHSMUTH: You know there's another point of
11 clarification for those who are not familiar with the
12 regulation. When an establishment both slaughters and then
13 grinds, they have ground product and not carcasses. Bob?

14 MR. BUCHANAN: It would be very helpful if you
15 could review the regulations as far as ground product versus
16 slaughter operations.

17 MS. WACHSMUTH: We provided the Preamble and the
18 discussion was on the performance standards. I think we
19 could certainly provide information on E. coli testing but
20 that's a different issue altogether, and it's not something
21 that FSIS is doing. Since there is an interest we could
22 supply that though so that you'll have something that
23 addresses that.

24 MR. BUCHANAN: I think it would be very helpful,
25 at least for me, to get something for comparison of

1 slaughter operations versus grinding. It doesn't have to be
2 extensive, just identifying both of those areas.

3 MR. ENGELJOHN: I would -- this is Dan Engeljohn
4 again -- I would point out that the reg doesn't have many
5 differences in terms of the criteria that but there are some
6 policy decisions as to when you need to sample and when you
7 don't. And if those are the questions you're asking about,
8 I'm sure we can come up --

9 MS. WACHSMUTH: Okay. Swami?

10 MR. SWAMINATHAN: Bala Swaminathan from CDC. I
11 don't want to say that I'm also confused because that's not
12 really it. What I understood this morning was salmonella is
13 an indicator organism as well as the criteria was that
14 salmonella was the indicator of sanitation problems and
15 food-safety conditions in general. Therefore, the focus was
16 salmonella -- well on salmonella so close to the other
17 possible --?

18 MS. WACHSMUTH: Salmonella is, as Phil Derfler
19 described, is the performance standard under HACCP for an
20 indication that pathogens are being controlled in the --

21 MR. SWAMINATHAN: Right.

22 MS. WACHSMUTH: Pathogen reduction, correct.

23 MR. SWAMINATHAN: Yes.

24 MS. WACHSMUTH: That's the organism. I think Phil
25 outlined the reasons that we selected salmonella, the

1 methodology, the fact that it was at that time thought and
2 close linked to be the number one cause of foodborne illness
3 and it's on all the species, as well. So that is the
4 particular performance standard that we are going to start
5 with in the analysis by this advisory committee.

6 MR. SWAMINATHAN: -- we started to make an
7 assumption, now we will further refine the fact that if
8 maybe not salmonella, we may have another indicator. For
9 example, a known pathogen, because salmonella is not causing
10 the problem. So it might be better.

11 MS. WACHSMUTH: I think you understand perfectly
12 because that in my estimation is what the first sentence is
13 about.

14 MR. SWAMINATHAN: Now just for clarification, the
15 first sentence of -- it ends with "Standards to measure
16 prevalence." What prevalence? Agents or some prevalence
17 for salmonella? In that first sentence, may have prevalence
18 of what?

19 MR. DERFLER: Prevalence of salmonella in the
20 species that we're --

21 MR. SWAMINATHAN: So it refers to reducing
22 salmonella's prevalence but not --

23 MS. WACHSMUTH: In the first sentence on the page?

24 MR. SWAMINATHAN: Yes. "FSIS has designed the
25 salmonella performance standard to measure prevalence."

1 MS. WACHSMUTH: Currently it's a plus/minus test.
2 It's a qualitative test.

3 MR. SWAMINATHAN: It's own prevalence. Salmonella
4 prevalence?

5 MS. WACHSMUTH: Correct. Correct.

6 MR. SWAMINATHAN: To me sufficiency is a loaded
7 word. It's a very important word. When you're dealing with
8 causality from salmonella, especially if you're saying
9 something is causing something else.

10 It seems to me that we've got to clearly
11 understand exactly what the sufficiency of what the criteria
12 would be to accept sufficiency in lieu of salmonella. We
13 have to include that criteria. So we've got to clear this
14 up if sufficiency is going to be acceptable.

15 MS. WACHSMUTH: I think this August, Bonnie
16 (phonetic) will -- what is the scientific sufficiency for
17 this decision. Swami?

18 MR. SWAMINATHAN: Just for clarification
19 purposes and just to clear up things in my mind I would like
20 to go back to the definition of indicator organisms to make
21 sure that all of us are speaking the same language.

22 In my mind an indicator organism is normally used
23 as an indicator of fecal -- and indicator organisms were
24 never meant to, and can never -- it doesn't sound specific
25 enough to be included in salmonella. Does that -- ?

1 MS. WACHSMUTH: I think that may be why we have a
2 salmonella performance standard. Bob?

3 MR. BUCHANAN: Swami, you are correct. There are
4 two -- classes and -- indicator organisms. An indicator
5 organism is an organism that will predict the presence of
6 another. The indicator organism is the mechanism that
7 serves to predict the condition or state that would be
8 associated with the pathogen. For example, the indicator
9 organism could either predict the presence of temperature
10 --, or fecal contamination. There are a number of different
11 things it could, but it is there to really state the --
12 process, in this case, not -- the presence or actions of
13 specific organisms.

14 MS. WACHSMUTH: Alison?

15 MS. O'BRIEN: So further clarification of question
16 one. So I understand we're being asked if we could
17 substitute, for example E. coli for salmonella as part of
18 our performance standard. Inherent in that, I assume, is
19 question three, we might have to meet a qualitative
20 standard. I don't even know why we're considering doing
21 this. What isn't working with the salmonella performance
22 standards that's causing you to ask these questions? Is it
23 qualitative? What is the reason for asking these questions?
24 Why go to indirect instead of direct?

25 MS. WACHSMUTH: I'd like someone from the

1 Committee to address that. Dave?

2 MR. ACHESON: To me the reason to do that would be
3 to determine if an indicator organism will give you guidance
4 on more than one pathogen. Indicator organism X could tell
5 what 0157, Campylobacter, and salmonella are all doing.
6 That would be a big advantage.

7 MS. WACHSMUTH: Bill?

8 MR. SPERBER: I think in this case, an indicator
9 organism could be used in the opposite mode -- . In the
10 good old days when we wanted to indicate fecal
11 contamination, we used to indicate organisms to indicate the
12 presence of contamination.

13 -- reduce that by two logs, day in and day out, we
14 could already conclude that we could reduce -- pathogens by
15 two logs, plus or minus another -- factor because not all
16 our organisms are equally sensitive to all treatments.

17 But I think in that broad sense, an indicator
18 organism would show compliance with SSOP and it would show
19 compliance with HACCP and it will in a sense predict the
20 absence of contamination of pathogens. At least it would
21 indicate a reduced level of pathogens. It wouldn't be a
22 great measure. It wouldn't say, you've got two percent
23 salmonella instead of seven percent. It would say we were
24 looking at a controlled scientific measure to try to reduce
25 the level of all the pathogens.

1 MS. WACHSMUTH: Katie.

2 MS. SWANSON: In addition to that, it can provide
3 adequate information for a processor to use if you have a
4 quantitative indicator and you're hovering around a 10 or 20
5 program maybe an E. coli would show up to that level of
6 magnitude every now and then. But if all of a sudden it was
7 at 100 per gram or 1,000 per gram then you would really have
8 a light go on saying something is drastically different here
9 today, and you need to do something to correct it.

10 Compare that to just a simple presence/absence
11 test that doesn't happen very often. But you sit there
12 wondering do you really have processing controls in place or
13 not? You never really know until you get the plus or the
14 minus and then you don't know the magnitude of
15 the -- .

16 MS. WACHSMUTH: Okay.

17 A PARTICIPANT: I come back to Alison's question.
18 How far do you want to chase this concept. Why are we
19 looking for a different indicator because as you just said,
20 Katie, we can talk presence/absence, and we can talk about
21 levels, and we can also address at some point, if it goes
22 that way, types (phonetic). -- is it more or less capable
23 of finding a host.

24 So I guess I'd throw it back to the committee as
25 to what information they're trying to address here? If it

1 is pathogens, which pathogens, and by what criteria and
2 then, of course, this is the question, the sensitivity and
3 specificity of methods.

4 So we've had a lot of what I think is excellent
5 discussion, tautologically speaking, but what is our charge
6 from a -- standpoint? What are we trying to accomplish
7 before we can discuss the approach we're going to take to
8 get there? I guess I'd like some clarification on that.

9 MS. WACHSMUTH: Janice, do you want to answer?

10 MS. OLIVER: I just want to point out that most
11 people's response to my question have actually said why --
12 E. coli or whatever, would be appropriate. They all talk
13 about the quantitation. So there are two things. It is
14 assumed if we substitute -- and one if we substitute
15 indicator something. Second, -- quantitative aspects. I
16 want to point out that that's how everybody answered.

17 MS. WACHSMUTH: Okay. Everyone on my list has
18 spoken already so I'll take it in order of -- Bob?

19 MR. BUCHANAN: -- two things of clarification.
20 One is I'm not sure what you're asking for when you say the
21 system didn't work. What do you mean by that? In terms of
22 when you're asking -- FSIS -- published -- would be hard to
23 detect the change of salmonella -- . This is just a
24 statistical sampling --

25 MS. WACHSMUTH: Again for people like Tom Billy

1 and Phil I think the -- is the same. We believe that the
2 salmonella performance standard is definitely working better
3 -- with the compliance of the plants at this point. The
4 vast majority have met the standard and are meeting it, and
5 seem to be controlling and reducing pathogens.

6 We're also optimistic that we're seeing more
7 improvement data as well. But we signaled in the regulation
8 that we would revisit, perhaps on the basis of data
9 collected on the compliance for salmonella; we could set
10 lower salmonella numbers at this point since the industry's
11 national average is now possibly lower. We are revisiting
12 the issue. And we also have made the promise that when we
13 do revisit any Micro issue, that we bring it to this
14 committee and that our Microbiology Advisory Committee
15 provide advice and report to the Agency. That's sort of to
16 recap and provide perspective on what you're hearing this
17 morning. Okay. John Kvenberg?

18 MR. KVENBERG: Thank you, Madame Chair.
19 -- statement that HACCP -- it appears to be working for some
20 -- procedure and discussion here -- result is that just --
21 industry for maybe determinations -- system. At this point
22 in time -- so I don't understand what that evaluation --
23 presence or absence of salmonella.

24 MR. ENGELJOHN: John, I guess I'd like to mention
25 just so that we are clear; in terms of how the USDA

1 operates, and slaughter establishments themselves, have
2 generic E. coli criteria that they themselves measure, and
3 it really is an indicator of fecal contamination.

4 So they collect evidence themselves. They
5 maintain their own data. FSIS does not collect E. coli
6 information from slaughter establishments. It is not a
7 reciprocal type of requirement to find numbers. -- E. coli
8 criteria.

9 MS. WACHSMUTH: Dane?

10 MR. BERNARD: Thank you. Dane Bernard. Where we
11 started this afternoon's discussions, purposely with
12 question one and the discussion has evolved -- . The answer
13 was -- test for salmonella.

14 The other part of the question was is it as the
15 rule states that salmonella is -- process control -- . So
16 that's my interpretation of -- and I'm asking for
17 clarification on that question -- .

18 When, in fact, -- the questions involving -- but
19 once again we're turning to more purposes in standardizing
20 -- as a tool and that is to judge whether the processes of
21 control discussions of whether they merit the application of
22 something like -- .

23 MS. WACHSMUTH: Okay. Cathy?

24 MS. DONNELLY: Cathy Donnelly with the University
25 of Vermont. I think the discussion has been helpful in

1 terms of clarifying where we're trying to go with this.

2 Kaye, I think your comments were particularly helpful in
3 terms of the Agency is pleased with the salmonella
4 performance standard.

5 But what I haven't heard, and generally when I
6 think in terms of indicator organisms, I'm thinking in terms
7 of providing a margin of safety that takes care of the
8 target pathogen but takes care of other things as well. I
9 haven't heard the margin of safety issue being addressed.
10 Is that part of our consideration.

11 MS. WACHSMUTH: I think that's the question that
12 the Agency is asking you, the experts. So I believe that
13 the margin of safety is certainly something that you should
14
15
16 discuss. Roberta?

17 MS. MORALES: The last two comments go to the same
18 point as my question. The question I have is because there
19 is ultimately a public health risk from foodborne pathogens
20 as an outcome of this, do we also then look at how an
21 indicator organism might really change that pathogen outcome
22 -- . Is it the only data that exists -- and is that part of
23 our charge?

24 MS. WACHSMUTH: Yes. That's part of your charge,
25 and, yes, our goal is to reduce foodborne illness. I was

1 just looking at the Preamble language and it should also be
2 helpful to all of you in understanding the Agency's
3 thinking. It is so much in line with Phil's talk this
4 morning. David Theno?

5 MR. THENO: David Theno with Jack in the Box. I
6 want to harken back to something that Dr. Buchanan said
7 earlier. He talked about the difference between -- and
8 indicator organisms. I think that's probably -- function
9 and commercially, indicator organisms are used for lots of
10 things, just as Dr. Buchanan indicated.

11 -- indicator is fecal contamination and also --
12 and to be quite honest commercially, most people use several
13 indicators. E. coli, -- forms; you may or may not use
14 salmonella or some, you know, listeria species or some other
15 pathogens as indicators. I guess the question I have is,
16 should there be a better alternative -- . You know, should
17 other indicators be included for just what they are.
18 There's another question. Salmonella -- there's not an
19 indicator, at least in my data set, for salmonella with
20 salmonella. So if you want to do it with salmonella -- .
21 If you want to do this other stuff, use the right indicator
22 organisms. We pretty much understand what those are. I
23 guess that's a statement and not a question.

24 (Laughter.)

25 MS. WACHSMUTH: Statements are permitted. I was

1 thinking about Roberta's comment about public health.
2 That's why we had the FoodNet talk as well this morning
3 because that is what the goal is. So that is where we want
4 the focus. That's why the question on risk assessment is
5 there; so that you can keep that goal. Carol?

6 MS. MADDUX: My concern is just that the kind of
7 balancing, when we're looking at salmonella being a
8 pathogen. If you use it an indicator organism you're
9 constantly battling -- what particularly comes to mind with
10 the case of ground beef most of which comes from cold
11 counts; where you might have a high prevalence of salmonella
12 because of a particular unhealthy animal that made it into
13 the batch and is reflecting your raw material, not the
14 processing.

15 So you're kind of, you're always balancing that
16 versus it just being an indicator of fecal contamination
17 which, you know, something like E. coli or botulimon will be
18 in relatively constant numbers throughout a sampling and
19 represent contamination regardless of animal health
20 standards.

21 MS. WACHSMUTH: You're correct. That's why
22 the generic E. coli is the indicator for fecal
23 contamination, and salmonella as the performance standard is
24 in relation to pathogens -- to salmonella as the pathogen.

25 I think the way that our lawyer put it in terms of

1 policy is that the control methods that we use for
2 salmonella would be expected to have an effect on other --
3 pathogens, as well. It's just not quite the same as the
4 indicator but that's the connection that's made in the
5 regulation. Okay. Oh, it's Bob? Bob?

6 MR. BUCHANAN: I just wanted to get -- Bob
7 Buchanan. I wanted to get some clarification as to -- the
8 discussion of safety measures -- so that a discussion of --
9 safety margins and standards will be possible.

10 MS. WACHSMUTH: Speaking for the Agency which they
11 have allowed me to do it seems. I think that this group
12 should consider anything related to these questions in a
13 scientific sense and my connection to the safety margins was
14 in the context of the risk assessment which might be a part
15 of the consideration number four. Not that I necessarily
16 think risk assessors should make comments on safety margins,
17 but if this committee has something to say in that regard
18 scientifically, this is up to the committee. Bill?

19 MR. SPERBER: Thank you. Bill Sperber with
20 Cargill. Several of the recent commenters have presented
21 the comments in terms of making it sound like one of the
22 outcomes of this Committee deliberation could be the
23 implementation of some performance standards around
24 indicator organisms in addition to the salmonella
25 performance standard.

1 I don't read question one that way. Question one
2 says, "The use of an indicator organism in lieu of a
3 specific pathogen." So I would read the request of the
4 Committee, based on question one anyway, that if the
5 committee decided that it would be better to have an
6 indicator organism in salmonella, than the salmonella
7 performance standards would be eliminated. How does the
8 Chair read question one?

9 MS. WACHSMUTH: The Chair likes the idea of the
10 Committee making some of these judgments. I think one of
11 the Committee's suggestions that we have both the
12 salmonella performance standard and possibly other indicator
13 organisms is something that this Committee should
14 deliberate. So that's really a dual question. I realize
15 that. Okay.

16 MS. WACHSMUTH: Larry?

17 MR. BEUCHAT: Larry Beuchat, University of
18 Georgia. The discussion on the margin of safety insofar
19 that to also include and based on an agreement of the
20 infected -- of salmonella in general or the most --
21 salmonella.

22 MS. WACHSMUTH: Any other comments from committee
23 members? David?

24 MR. THENO: David Theno with Jack in the Box.
25 Actually, what is -- about risk, is not so much that you

1 have to worry about risk for exposures. In essence,
2 performance standards is a measure of the process capability
3 or process performance -- .

4 Now the leap of faith is that someone who does the
5 best job they can controlling the pathogen reduces risk
6 downstream. But we who -- that risk, at least within the
7 context as I've ever used them, have not considered dose
8 responses and, you know, effective doses and all that kind
9 of stuff. These are raw products.

10 Consequently, at least up until now, we haven't
11 had a lot of great interventions that could -- process or
12 pathogen elimination, like they do with cooking or any other
13 kind of treatment. So this is kind of, do the best you can
14 do, if you will, you know, and push the process down. And
15 these types of processes are in control. I mean --

16 MS. WACHSMUTH: Dr. Habtemariam?

17 MR. HABTEMARIAM: Thank you, Madame Chair.

18 Terminology is very important. People go to war because of
19 terminology. And as I've listened, and realizing what Dr.
20 Buchanan said earlier, we have several different
21 difficulties. Just the word indicator, the way I see or
22 understand it, versus --

23 What I would suggest -- sufficiency. We need to
24 clearly define as we move forward as to what we really mean
25 by indicator organisms so that we can all agree to read from

1 the same page. Just a suggestion I wanted to throw out.

2 The other part of it that seems very relevant that
3 an indicator organism, an indicator system measures a
4 process, -- which is really very relevant. This morning Mr.
5 Derfler said that two very important systems in the process,
6 one was HACCP and performance standards, which are very
7 intertwined, tied together. That is a major single
8 development of what FSIS has done through regulations to
9 address foodborne diseases. And at some point we actually
10 see all four questions are interrelated because after the
11 initial risk assessment you want to know what is the
12 endpoint? What really is the endpoint of this quantitative
13 analysis, -- it's not just looking at these organisms.

14 So there's some intertwining of these systems. And
15 a very important fundamental thing is that, HACCP as a
16 system, is really what we're looking at to see if it, in
17 fact, mitigates foodborne diseases --

18 MS. WACHSMUTH: Very well said. Thank you.

19 Larry?

20 MR. BEUCHAT: Larry Beuchat, University of
21 Georgia. Just to follow up on an earlier comment. There
22 are 20, 25 different serotypes with salmonella. We see
23 maybe -- of them showing up as causing foodborne infections.
24 Certainly others are capable and have the potential of
25 causing illness.

1 But in our discussion of this entire process is
2 there any way to consider that salmonella serotypes differ -
3 -- or do you just want to talk about salmonella --

4 MS. WACHSMUTH: That's for this committee to
5 determine. Swami?

6 MR. SWAMINATHAN: My suggestion would be -- Bala
7 Swaminathan from CDC. My suggestion would be for this
8 Committee to steer clear of the question that considering
9 individual salmonella serotypes. As far as this committee
10 is concerned every single -- should be considered
11 pathogenic. How frequently we see specific salmonella is a
12 totally different issue -- issue that we don't --

13 MS. WACHSMUTH: Okay. Bruce?

14 MR. TOMPKIN: Bruce Tompkin from ConAgra. Just
15 two items. First, I am still struggling with those numbers
16 and in the case of ground beef because we're very
17 aggressively addressing ground products. Ground beef is
18 very small. There's not a risk of -- until the year 2000.
19 Seventy-five data sets concluded in that time period.

20 There are 53 samples per data set and that comes
21 off to be almost 4,000 samples and that it was reported last
22 year that 10,406. These data are very important in terms of
23 a two-phase status with regard to controlling listeria,
24 excuse me, salmonella.

25 (Laughter.)

1 MS. WACHSMUTH: Bruce, you were gone too long.

2 MR. TOMPKIN: One week is too much of that.

3 (Laughter.)

4 But it's a very important measure of our ability
5 to show progress in terms of meeting the regulatory
6 requirements and how well we're doing in terms of continuous
7 process -- continuous improvement through process control.
8 So I would like to make the request that the data be double-
9 checked, though I actually have confidence in those values.

10 The other thing is that the questions, four basic
11 questions, are finding themselves in terms of what should be
12 used as a measuring stick or tool. I would suggest that it
13 would be very helpful in terms of reducing the problems of
14 salmonella and in terms of achieving the public health goal
15 and that's where the focus is in the criteria.

16 It would be interesting to know what should be
17 done to increase compliance to use the regulatory tools
18 necessary, -- or not but to achieve the public health goal,
19 it would be helpful to know how can those who do not meet
20 the criteria, meet the criteria? What information is
21 missing and how could that information best be communicated
22 to those who are in -- ? It's that simple.

23 MS. WACHSMUTH: I think that's a good point. I
24 think we're trying to analyze this data in as many different
25 ways as the Agency can determine to do that. From that

1 analysis, hopefully identify things that -- exactly as
2 you've indicated.

3 That is a little beyond what we're asking of the
4 Committee, but if the Committee has comments to that effect,
5 we can certainly note it. Bob Buchanan? I'm sorry, Bruce?

6 MR. TOMPKIN: Excuse me. Bruce Tompkin. One
7 reason for making that suggestion is that I did not see that
8 in the National Academy of Sciences project. I don't recall
9 if they were going to test for those factors.

10 MS. WACHSMUTH: Okay. Bob?

11 MR. BUCHANAN: Bob Buchanan, Food and Drug. To go
12 back to the questions again. I've been listening to the
13 discussion and just for further clarification.

14 I'm still unclear what the Agency is looking for
15 in question number four. In questions one, two and three
16 we're dealing with technologically based performance
17 criteria. Is what you're asking in number four, for us to
18 consider what would be needed to -- develop a -- risk
19 assessment based criteria? If you can -- I'm trying to
20 think, to get a better feel for what you're asking for in
21 question number four.

22 MS. WACHSMUTH: The answer is yes. If you
23 listened to Tom this morning and Phil as well, since our
24 goal ultimately is to reduce and prevent foodborne illness,
25 we're looking at how we would identify mitigations through a

1 risk assessment or identify appropriate food standards
2 through risk assessment, might be one way of making that
3 relevant to public health outcome.

4 I don't think that either one suggested that we
5 were there, at this point, but I think it's going to be
6 interesting for this committee to comment on the level
7 that's possible, how it might be done if it is possible.
8 Because ultimately the level of protection internationally,
9 we address in terms of food safety objections.

10 Arguably, our salmonella performance standard
11 could be considered as a food safety objective -- public
12 health outcome. So I think it's up to this committee to
13 make those kinds of determinations. Obviously, the Agency
14 is interested in thinking about it, or question number four
15 wouldn't be here.

16 MR. BUCHANAN: Then the question then becomes are
17 you looking for it in a generic fashion or are you
18 specifically looking for recommendations for each -- in
19 terms of what would be needed to provide a performance risk
20 assessment for each of those -- turkey and beef?

21 MS. WACHSMUTH: I think again that the committee
22 is going to have to make that determination. If it can be
23 made generically but not specifically -- technical -- what
24 they can do.

25 The charge to the committee as it is in the first

1 paragraph does, as you said, emphasize the ground product.
2 So that is the product of most interest, not excluding the
3 others. Bill?

4 MR. SPERBER: Thank you, Madame Chair. Bill
5 Sperber with Cargill. Phil Derfler, as I said in some of
6 the discussion we had this morning about the performance
7 standard, really had nothing to do with process control on a
8 lot by lot basis. Rather it was our monitoring of the
9 overall conditions of this plant or some sort of a 53 day
10 period, are things going well or not?

11 I think the way the performance standards have
12 been implemented, have set up a basic difficulty for those
13 of you who are in charge of enforcing the food safety and
14 those who are producing food. And that is the performance
15 standards spread over 53 days, one sample a day, doesn't
16 give the processor any way to control the process to meet
17 the standard.

18 I think indicator organisms are attractive because
19 they are immediate, they're quantitative and they can be
20 used for process control. They fit perfectly into the HACCP
21 system of food safety. I would think all processors would
22 welcome the performance standard based on an indicator
23 organism over which they had some control versus the
24 pathogen performance standards.

25 I like the idea of using a pathogen performance

1 standard or some measure that you could have as verification
2 of the food safety objective, as you just said Madam Chair.
3 But I think it is wrong to apply that verification of the
4 food safety objective at the individual processor's plant.
5 This is something that should be done nationally on the food
6 system.

7 As you're doing the risk, getting more baseline
8 data for salmonella -- whether or not you collect samples at
9 individual plants the results of your analysis should not be
10 directed at any one plant. That is the results should be
11 used to guide further actions to reduce the pathogen levels
12 to -- . But I think it's been a fundamental mistake in the
13 Pathogen Reduction HACCP Act to apply these performance
14 standards at the individual processing plant.

15 MS. WACHSMUTH: Sounded a little like policy to
16 me. We'll let you get away with it once .

17 (Laughter.)

18 MR. SPERBER: Yes. Maybe it's something that
19 needs to be considered by our Executive Committee.

20 MS. WACHSMUTH: Thank you. Okay. If there are no
21 other questions I think we've set the ground work for what
22 the committee is going to attempt to do with the performance
23 standards in this go around. We need to switch gears and
24 start thinking about Escherichia coli 0157:H7 and blade
25 tenderized products.

1 Again, we're going to call on our Agency
2 representative from the Office of Policy to present the
3 questions and to give us a little background information.
4 Dan Engeljohn has been working on these policies and this
5 pathogen since the Agency began to do it in 1993 in terms of
6 standards. In a way I guess 0157:H7 may also be considered
7 a performance standard. But we're not going to talk about
8 that in that way today, so take that out of your minds. I'm
9 going to give it over to Dan now. Dan, you can take it from
10 here.

11 MR. ENGELJOHN: I'm going to walk you through
12 these slides. I have a few slides that I want to use to
13 give you some background and then I'll be happy to answer
14 any specific questions that you have.

15 For those of you not familiar with the regulatory
16 process I do think it's important to just reemphasize that
17 because this is a special meeting of the Advisory Committee;
18 it is, in fact, a public meeting. All of the transcripts
19 from this meeting as well as any materials that have been
20 made available will be made available to the public. The
21 public has an opportunity to access that information either
22 at the FSIS website or through my office since I handle the
23 docket -- .

24 So all of these materials will be made part of the
25 docket which is considered to be the announcement in the

1 Federal Register for this particular meeting of the Advisory
2 Committee. So I would encourage you to look there if you
3 need additional information or want copies of what was
4 presented here. We will be posting that information
5 hopefully within the next few days; everything that's
6 presented here today.

7 Walking you through then from where we started
8 with E. coli 0157:H7. In 1994 FSIS declared E. coli 0157:H7
9 to be an adulterant in raw ground beef products. We made
10 this determination within the Federal Meat Inspection Act
11 and determined that if raw ground beef product had E. coli
12 0157:H7 in it, it would be considered to be adulterated once
13 it was fully processed to destroy the pathogen.

14 The materials in the handout that you have
15 contained in the packet that's marked number A actually
16 contains the information from the Federal Register document
17 that summarizes the comments that I'm making about the
18 history of E. coli 0157:H7.

19 In 1997, a subcommittee of this whole committee,
20 the Meat and Poultry Subcommittee, was asked to give a
21 recommendation for cooking temperatures for raw ground beef.

22 In part, this was because of the foodborne issues related
23 to intact steaks. At that time we had concerns about --
24 products and the FDA came forward with a question to the
25 subcommittee. Specifically, they wanted recommendations as

1 to the appropriate cooking temperature for the steaks in
2 order to control vegetative enteric pathogens. At that time
3 the definition for an intact beef steak was -- with
4 wholeness or had not been injected, mechanically tenderized
5 or reconstructed.

6 On the issue of non-intact steaks, the Meat and
7 Poultry Subcommittee at that time said that there was a lack
8 of scientific epidemiologic data to identify any hazard
9 associated with these processes that may compromise the
10 integrity of the surface of the meat, and, therefore, allow
11 penetration of pathogens into the material. So at that time
12 the statement that the Subcommittee made -- to making
13 distinctions about the appropriate cooking temperatures for
14 non-intact steak products.

15 You also have in your packet, Attachment Number C,
16 a copy of the deliberations of the Subcommittee and the
17 actual statement that was adopted by the full Committee.

18 In 1999 FSIS issued a policy on beef products that
19 included non-intact beef steaks and roasts contaminated with
20 E. coli 0157:H7. This also is contained within your packet,
21 Attachment Number A. I'll just point out a few of the
22 definitions for those of you who are confused about our
23 distinction between intact versus non-intact. We define
24 intact beef cuts as being cuts of muscle which include
25 steaks, roasts and other intact cuts such as brisket, stew

1 beef and beef cubes -- as well as thin sliced strips of beef
2 that are used for stir fry in which the meat interior
3 remains protected from pathogens migrating from the exterior
4 surface.

5 So we defined by Federal Register notice, non-
6 intact meat which includes beef that has been injected with
7 solutions -- or tenderized by -- devices or reconstructed
8 into formed entrees. In addition, non-intact beef included
9 those beef products in which the pathogens may be introduced
10 on the surface by a -- process such as chopping, crumbling,
11 flaking or mincing.

12 They went on to say that intact cuts of beef that
13 are to be fully processed into non-intact cuts of beef prior
14 to distribution for consumption, had to be treated in the
15 same manner as non-intact cuts of beef since pathogens may
16 be introduced below the surface of these products when being
17 fully processed into non-intact products. -- are an example
18 of this type of a product, of the intact product, that's
19 intended to be used as a non-intact product.

20 FSIS believes that with the exception of intact
21 cut of muscles that are to be distributed that way, any
22 E. coli 0157:H7 contained in the beef product may not be
23 distributed until it is treated to destroy that pathogen.
24 Otherwise, it will be considered adulterated.

25 As a consequence of our 1999 Federal Register

1 notice that came out in January, FSIS hosted a public
2 meeting later that year and received information from Kansas
3 State University. At that time, it was preliminary findings
4 from a dissertation that was being prepared.

5 In that dissertation, KSU researchers identified
6 that the blade tenderization process would, in fact,
7 translocate surface contamination into the interior of --
8 cut of beef. Roughly, three or four percent of the surface
9 contamination could be transferred into the interior.

10 The authors also found that blade tenderization
11 did not significantly affect the safety of the beef steaks
12 when cooked to an internal temperature of 140 degrees
13 fahrenheit or 60 degrees celsius. They looked at a number
14 of different cooking methodologies such as boiling and
15 broiling.

16 We did receive a copy of that dissertation. Each
17 of you have one within the packet, along with a summary
18 sheet that gives pertinent information about the significant
19 findings of that research.

20 In 2001, this year, FSIS issued a proposed rule on
21 ready-to-eat products which include intact and non-intact
22 beef steaks and roasts. So from the standpoint of FSIS, we
23 have in place now a proposed regulation on ready-to-eat
24 products which could include blade tenderized products. It
25 has included roast beef products since we've had a

1 regulation for some time on that and then issued a final
2 regulation on performance standards in March of 1999.

3 Some of the considerations that FSIS has had
4 though is how do we distinguish non-intact roast with regard
5 to the performance standards? The alternatives that the
6 industry -- want to achieve is a six and one-half log
7 reduction for salmonella which is the target -- for ready-
8 to-eat products.

9 I'd like to point also that FSIS does not have
10 information on the cooking preferences by consumers with
11 regard to steaks and for roasts. We don't know how many of
12 them cook to an internal temperature nor do we know what the
13 number of that internal temperature is.

14 We do have information on the D-values for
15 E. coli 0157 in beef and in cured-meat products. The KSU
16 study that was presented identified new D-values for E. coli
17 0157:H7 in steak products that have been blade tenderized.
18 That information appears to be considerably different than
19 what was known for ground products that have a higher fat
20 content.

21 It also came out that the National Cattleman's
22 Beef Association has and will distribute packeted
23 information they make available about safe, proper cooking
24 practices for beef products. In that information, very rare
25 is defined as 130 degrees fahrenheit. The information in

1 the Kansas State study ranges from 120 degrees but the
2 information specifically related to the destruction of
3 E. coli 0157:H7 was specific for temperatures at 140
4 degrees.

5 So with this as background material as to how we
6 got to where we are today, FSIS is looking to move forward
7 on this policy with regard to blade tenderized beef
8 products, steaks and roasts specifically. I'll be happy to
9 answer any questions that you have at this time.

10 MS. WACHSMUTH: Any questions on the background or
11 history of where we are with 0157. Dane?

12 MR. BERNARD: Thank you Kaye. -- public health
13 history of problems related to this issue. The information
14 that is in that packet relates epidemiology associated with
15 blade tenderized beef steaks. Where are we --

16 MS. WACHSMUTH: Jeff?

17 MR. FARRAR: Jeff Farrar. Just for clarification,
18 0157 is still not considered an adulterant, is that correct?

19 MR. ENGELJOHN: At this time E. coli 0157:H7 is
20 not considered an adulterant of beef products that are going
21 to be distributed or sold to the consumer as a product. The
22 assumption by the agency there is that the consumer properly
23 handles that product and cooks it sufficiently to make it
24 safe.

25 To follow-up on that, in a retail store that does

1 their own grinding from muscle meat to ground beef, the
2 finding of an 0157 positive in that muscle meat would be
3 considered an adulterant if the intention was to fully grind
4 it.

5 MR. ENGELJOHN: That would be a piece of
6 information that would be used for that determination.
7 Presently the Agency views the best process that if, in
8 fact, that product was going to be -- or ground up, the
9 intention was that it wasn't going to be sold to stores. Our
10 expectation would be that that product would be handled
11 differently by the establishment than the product that was
12 going to be sold to stores.

13 MS. WACHSMUTH: John?

14 MR. LUCHANSKY: John Luchansky with ARS. Dan, I
15 didn't have time to go through the whole thesis and so
16 forth, but I wonder if you could address the question as to
17 was this study replicated? Does this test a single strain
18 of 0157:H7 and how representative is this strain. What was
19 the sensitivity of the recovery method unless procedures
20 test -- . Do you have any information or clarification on
21 that?

22 MR. ENGELJOHN: I'm sorry. I don't have that kind
23 of information. There is some information within the
24 dissertation about the methodology used, but I don't have
25 answers to those questions.

1 MR. LUCHANSKY: You made the statement that the
2 D-values were different compared in common with -- that has
3 to do with strain variation. So you don't know if there was
4 any attempt to move similar strains that were in the
5 literature?

6 MR. ENGELJOHN: I'm sorry. We don't know the
7 answer to that.

8 MS. WACHSMUTH: Since this will go to a
9 subcommittee to evaluate the data in the study in more
10 depth. Dan Engeljohn who is likely to be chair of that
11 subcommittee, which is probably obvious to all the members.
12 But it may be possible to have the authors of that
13 committee, or someone present with a research person to
14 interpret and provide information?

15 A PARTICIPANT: -- take the data at face value --

16 MS. WACHSMUTH: We need to have a resource for you
17 to get details about the study.

18 MR. ENGELJOHN: If any of you have specific
19 questions for which we can get answers or be sure that we
20 have the answers to those questions it would be appreciated
21 if you can have them ahead of time.

22 I do know that the researchers from Kansas City
23 University as well as from the National Cattleman's Beef
24 Association have conducted additional follow-up studies and
25 have compared this to salmonella, not just

1 E. coli 0157:H7. So they have additional information that
2 we have not yet been given so we're unable to give that to
3 you. The intention is that if it's available over the
4 course of the next few weeks to two months, that they will
5 make that available for you and possibly make that available
6 for subcommittee review. If I could have your questions
7 ahead of time, to be sure of getting answers to you, that
8 would be helpful.

9 MS. WACHSMUTH: Okay. John?

10 MR. LUCHANSKY: Thank you. This is a simple one,
11 I think, but let me ask it. Wouldn't the consumer retailer,
12 if tenderizing was conducted in another location, have
13 difficulty in discerning if the meat was -- just by
14 examining it?

15 In other words, wouldn't it be difficult to handle
16 this stuff without identifying it. Wouldn't it be difficult
17 to identify the prime rib from the tenderized cut?

18 MR. ENGELJOHN: There is an expectation that it
19 would be difficult to distinguish the intact from the non-
20 intact without some appropriate measures. One of the issues
21 contained in the food code and we do have some information
22 in your packet that includes some of the food code
23 information.

24 But we do distinguish cooking procedures
25 differently for those two products. One way that a

1 restaurateur will know if they have an intact or non-intact
2 product will be by labels. FSIS does allow labeling and
3 labels to go on to products to identify it as either intact
4 beef products or as non-intact beef products. We think it's
5 appropriate for them to be labeled.

6 Now when you go into our consumer hotline, the
7 hotline number that we have available to consumers, that the
8 blade tenderized products continue to be marketed in the
9 supermarkets. We did receive numerous calls from consumers
10 and their concerns were that they felt they did not -- steak
11 products -- there. So that was one of the first indications
12 that we have a distinction in the types of products
13 available.

14 The design is such that the steaks were blade
15 tenderized in a very specific way. The Agency doesn't have
16 any information as to whether or not that's how or which
17 products are commercial -- together. They, in fact, have
18 more than one HACCP in fact, we have two other HACCP's.

19 MS. WACHSMUTH: Marguerite?

20 MS. NEILL: Marguerite Neill. I'd like to go back
21 to something that Jeff Farrar just asked and try to clarify
22 something. Knowing that 0157's presence in ground beef is
23 considered an adulterant; are there procedures available by
24 which suppliers would be expected to determine the ultimate
25 outcome of the intact beef product, specifically whether it

1 was intended for --

2 MR. ENGELJOHN: The response I would give to you
3 on that is in the Federally inspected facilities, a
4 component of the HACCP plan developed is that the
5 establishments need to identify to the intended consumer
6 the use of that product.

7 So within the Federal establishments, if they're
8 purchasing product from a supplier, one way that they could
9 deal with that would be identifying within that mechanism
10 what the product is intended for and might continue to be or
11 to be -- in that facility.

12 MS. NEILL: Do you Federal establishment what do
13 you mean?

14 MR. ENGELJOHN: When I say Federally inspected
15 facility, I'm referring specifically to those establishments
16 where these meat products are inspected by the
17 USDA/ FSIS. I would say that in the State inspected
18 facilities that meets the same requirements as the Federal
19 program. That has to -- in terms of -- HACCP plan
20 development.

21 But for retail operators, for the most part
22 grocery stores with few exceptions, are not Federally
23 inspected by FSIS. They would not have the same kind of --
24 the HACCP plan -- .

25 So those establishments that are inspected by FSIS

1 would have that obligation. Those that are not inspected by
2 FSIS and by the state would not have that requirement.

3 MS. NEILL: Thank you.

4 MS. WACHSMUTH: For those in the Committee who
5 don't have the background, Peggy was referring in part to an
6 outbreak that occurred in a restaurant chain where whole
7 muscle meat that is normally to be cooked as a whole muscle
8 meat was ground in the establishment.

9 This occurred in Milwaukee. There were quite a
10 few illnesses and a death from it of a young child and it
11 made The Post and a lot of other national coverage. So that
12 has brought this whole policy up into another light. That's
13 not exactly the problem we're addressing, but I think the
14 question's very much on point. David?

15 MR. THENO: Thank you, Madame Chair. David Theno
16 with Jack in the Box. Just to clarify this, Dr. Neill, the
17 internal -- muscle cuts -- 0157 monitoring -- today. In
18 fact, -- 0157 -- will be done on a carcass basis -- prime
19 ribs or -- .

20 The issue that was discussed in the outbreak, in
21 the grocery stores and in places where the -- were cut up
22 and were trimmed -- into ground beef. So we really -- at
23 this stage.

24 MR. ENGELJOHN: Just to spell out what Dave just
25 said, the Agency does have its policy on E. coli 0167:H7

1 spelled out in the Federal Register notices that you have.

2 We still as an Agency are collecting samples only
3 on ground beef. We have not changed our procedures on what
4 we sample. We haven't changed our policy in this regard to
5 -- products that are going to be sold -- product. Those are
6 questions that some day we may get to this Committee but
7 today the issue would be strictly to those items that are --

8 MR. THENO: Dave Theno, Jack in the Box. Does
9 that policy apply to products that would be injected with
10 marinades? It's a different -- basically a penetrating
11 issue I'm asking?

12 MR. ENGELJOHN: I think for purposes of what we
13 would like the committee to look at we'd like to narrow the
14 focus today to the issue. The issue of marinades,
15 injections and those types of things we recognize as being
16 problematic and included them along with other products that
17 are non-intact. But I think right now we have a specific
18 need to move forward on ready-to-eat -- products.

19 MS. WACHSMUTH: Bruce.

20 MR. TOMPKIN: Bruce Tompkin from ConAgra. So then
21 one of the charges that the Committee is going to evaluate
22 this topic we need to review the study that we should have
23 when it is made available, and anything else that may come
24 forth as to the likelihood of a -- square centimeter of
25 E. coli 0157 and on the prime cut such as a roast or steak.

1 And then walk through this whole process as to how this
2 research was done, and try to relate it to what might happen
3 situation in a normal situation.

4 MS. WACHSMUTH: I think you're pretty accurate on that.
5 I think the best thing we can do right now to clarify those
6 is to go through the charge to the Committee.

7 MR. ENGELJOHN: I had them on a slide but I'm not
8 sure I -- I've lost computer control.

9 MS. WACHSMUTH: I think everyone has a copy. In
10 your folder, on your second orange tab you should have the
11 E. coli information that includes a copy of the charge.

12 MR. ENGELJOHN: Right. Now question number one,
13 is the available information on non-intact products adequate
14 to answer the following questions? That would be the
15 questions two and three below. If, not are there any other
16 reasons to conclude that the translocation of E. coli
17 0157:H7 that occurs with blade tenderization or similar
18 processes renders traditional cooking -- by that we refer to
19 very, very rare -- of these products inadequate to kill the
20 pathogen?

21 2. Do non-intact, blade tenderized beef steaks
22 present a greater risk to consumers from E. coli 0157:H7
23 compared to intact beef steaks if prepared similarly to
24 intact beef steaks? Again, looking at very rare or rare
25 product. If yes, what should be the scientifically

1 supported cooking process for safe ready-to-eat non-intact
2 blade tenderized beef steaks? If yes, then that would be
3 these products present a greater risk than intact steaks.

4 Should consumer cooking instructions differ from
5 those for the industry, meaning for retail or other
6 institutions? If no -- meaning that blade tenderized steaks
7 do not present a different risk than the intact steaks -- is
8 the cooking process for intact beef steaks sufficient for
9 non-intact, blade tenderized beef steaks? That cooking
10 process is contained within the packet and is what the
11 Advisory Committee Subcommittee presented to the Agency and
12 to FDA in 1997.

13 3. Question number three is the same question
14 posed for steaks but is specific to roasts. Do non-intact,
15 blade tenderized beef roasts present a greater risk to
16 consumers from E. coli 0157:H7 compared to intact beef
17 roasts if prepared similarly to intact beef roasts? That
18 would be very rare or rare.

19 If yes, what should be the scientifically
20 supported cooking process for safe ready-to-eat non-intact
21 blade tenderized beef roasts? If yes, should consumer
22 cooking instructions differ from those for the industry
23 versus retail or institutions? If no, -- if the cooking
24 process for intact beef roasts sufficient for non-intact,
25 blade tenderized beef roasts?

1 Those are the three questions. Do you need
2 clarification?

3 MS. WACHSMUTH: I did have a question earlier
4 about epidemiological data implicating these products in
5 illnesses. Dan implied that we had no outbreak data that
6 would indicate if we had an outbreak due to these products.
7 Would the case control study of E. coli 0157:H7 this cases?

8 MR. LIANG: The short answer is that the question
9 is --

10 (Laughter.)

11 I actually don't believe in accepting data at that
12 level of precision. I prefer to -- outbreak -- outbreak or
13 the case control data -- . Of course, the definitive answer
14 is --

15 MS. WACHSMUTH: I think in the second case control
16 study perhaps that was investigated. It's totally out of
17 order and Caroline seems to either know or have a related
18 question.

19 CAROLINE: Thank you, Kaye. There are at least
20 two outbreaks linked to E. coli 0157:H7 in what appeared to
21 be intact beef. As soon as I get to it -- the first one is
22 roast beef in July 1990. The second one is a 1995 outbreak,
23 again roast beef.

24 I also have a number of outbreaks that are linked
25 to beef as opposed to ground beef. I think CDC's pretty

1 particular in their listing whether E. coli 0157:H7 is
2 linked to beef and not ground beef. But I know that we've
3 got at least two links to roast beef.

4 MS. WACHSMUTH: Thank you. I was aware of at
5 least the one roast beef. I don't think though that the
6 case control study addressed the timing issue for his
7 questions, but we'll see. We'll get some information from
8 him.

9 MR. KOBAYASHI: Thank you. This is John Kobayashi
10 I just want some clarification on temperatures for rare and
11 very rare?

12 MR. ENGELJOHN: I'm sorry. I didn't hear.

13 MR. KOBAYASHI: The temperatures that we're
14 looking at for rare, and very rare.

15 MR. ENGELJOHN: The Agency is asking for the very
16 rare to be considered to be 130 degrees, and the rare to be
17 140 degrees. We'll get more clarification.

18 I will say that in the KSU study, it identified
19 very rare as -- so there's some differences in the Kansas
20 study versus the guidance that's available to retail, but
21 for right now 130 is considered rare.

22 MS. WACHSMUTH: Frances?

23 MS. DOWNES: Frances Downes from the Michigan
24 Department of Community Health. I just have a comment on
25 the issue of outbreaks and what this means. I think that we

1 would only become aware of these if there were an outbreak.

2 It would be almost impossible for consumers to know that
3 they have consumed blade tenderized beef. That would
4 probably never become apparent unless there were an
5 outbreak.

6 The second, it's my turn to be confused. I'm
7 going to ask for clarification because in the instructions
8 to the committee, the last sentence gives examples of
9 mechanical tenderization and penetrating marination,
10 although you said -- in our discussions. Could you clarify
11 that, please?

12 MR. ENGELJOHN: Yes. This is Engeljohn with FSIS.
13 I would say that the primary focus is to be on the blade
14 tenderization process. We recognize that the marination and
15 other tenderization such as cubing support may in fact
16 present additional risks, but I think initially --
17 tenderizing issue. Disregard that --

18 MS. WACHSMUTH: I think that that was related to
19 the charge of the conclusion in 1997. That may be where the
20 confusion is coming in. But the information, at least the
21 data that we have now is related to blade tenderizing.

22 MR. ENGELJOHN: I did want to follow-up on
23 something as well which I didn't clarify. One of the
24 reasons why the Agency is interested in this, consumer
25 cooking guidance versus industry guidance, is that we have

1 traditionally as an Agency provided consumers with
2 additional safety margins in terms of the information that
3 we give them for cooking.

4 We've traditionally just told the consumers that
5 for beef steaks -- that 145 is sufficient. We haven't gone
6 the extra step at this time to add to that. So I think the
7 question is if there's a need in part that the policy be
8 developed as to what should be given to consumers. But for
9 our consumer information now on beef steaks, traditionally
10 -- 145. The issue is we're looking for a time and
11 temperature much like -- . The question in part should be
12 from a science standard, is there a reason that should be
13 different for consumer information versus the industry which
14 has more process control, and knows more about the products.

15 MS. WACHSMUTH: Okay. We have two hands up. John
16 Kvenberg.

17 MR. KVENBERG: Just a quick comment. I appreciate
18 Dr. Engeljohn's comment. I think it would be useful, we've
19 already heard about outbreaks or -- . The problem with the
20 decision I was discussing, is whether or not those foods
21 were classified appropriately based on how they arrived.
22 What happened before they arrived at the table?

23 MS. WACHSMUTH: That's a good point. Even if
24 consumers were asked they might not be able to make that
25 determination. John Kvenberg?

1 A PARTICIPANT: -- temperature would have to be
2 timed above a certain temperature --

3 MR. ENGELJOHN: This is Engeljohn. I think you're
4 bringing up a good point. Again, for consumers, what we
5 have found, is that it is difficult to get them to use
6 thermometers, let alone waiting enough time until the
7 temperature is obtained. We would look for information from
8 this committee that may explain or give information about
9 that "come up" or "come down" time. As an example, the
10 information you have in your packet from the Subcommittee's
11 Report also dealt with the issue of culminated meat patties
12 and talked about the issue of there being an amount of time
13 that it takes to pick up the -- . All that together, then
14 resulted in us having an instantaneous temperature.
15 So I think that omission would be helpful to us. That if
16 there is an -- temperature that strived be strived for, then
17 we should work out the policy of how we communicate that to
18 the consumer. We do want to try to keep from presenting
19 different information in different formats. With regard to
20 meat and poultry products, many of them are covered by the
21 Food Code -- establishments. The goal is to have one set of
22 instructions or criteria for safety in processing for
23 Federal agencies and hopefully for the consumers if
24 necessary. So that is what the goal is, to provide the
25 appropriate type, scientifically based information that we

1 can put together.

2 MS. WACHSMUTH: Dane? Is it Dane sitting behind
3 the microphone? All I saw was a "DA." Okay.

4 MR. BERNARD: Thank you, Madame Chair.
5 Recognizing there's -- having sat through this debate and
6 looking at number five and -- I recognize that absence of
7 others is not evidence of the absence of questions as to
8 whether we have or not had not outbreaks. There have been
9 some outbreaks of roast beef. The question before us
10 regards blade tenderized and the problems there. I
11 understand that you can't really tell. If you ask the
12 consumers if they have a blade tenderized cut, you probably
13 wouldn't get an answer. And I recognize that. That having
14 been said, my first question is do we have anything in the
15 packet that go to the issue of more and more and more
16 outbreaks related specifically to this?

17 We were also issued the -- case control study. I
18 was wondering if we might have just a little bit more detail
19 on when we might see that and exactly what type of case
20 control study focuses on specific to this particular
21 question?

22 MS. WACHSMUTH: Can you answer that Mr. Liang?

23 MR. LIANG: I'm sorry, Madame Chair. I know that
24 -- phase one obviously, there's this recall case control I
25 believe they're in their second rounds. I don't know

1 if --

2 MS. WACHSMUTH: Yes. This would be the second
3 case control. We might be able to get the questionnaire for
4 members and then we can at least see which things they're
5 keying in on through the questionnaire. I've not heard
6 discussions about this. Thank you.

7 MS. NEILL: I was just going to say don't hold
8 your breath to try to clarify which case control study to
9 acknowledge. There's two completed and published case
10 control studies of sporadic cases of 0157 in the Centers for
11 Disease Control.

12 There's just no level of address to beef that is
13 pertaining to this problem. There's one case control study
14 that's from the UK that's really just addressing the issues
15 relating to ground beef and -- and this kind of stuff which
16 is not getting at this -- .

17 The last case control study which is different is
18 the one in Foodnet in which they basically look into the
19 question. I just wouldn't hold our breath that this is
20 likely to give us the answer.

21 MS. WACHSMUTH: There were two studies, the
22 Ostroff (phonetic) and McDonald, two post -- case control
23 studies. But then there has been one FoodNet case control
24 study and one on the second Foodnet case control study.

25 The first I think it's published. I know it

1 identified beef again, visits to farms. There were several
2 things but certainly not at this level.

3 MR. LIANG: The Foodnet case control study, -----
4 -- corrected, that there were no positive findings other
5 than the -- ground beef issue. You can still of course,
6 look at that questionnaire to see if they even asked that
7 question and certainly we can look at those studies -- the
8 second page through the second study.

9 MS. WACHSMUTH: David? I knew I saw that.

10 MR. ACHESON: It's still upside down. Sorry.
11 That doesn't help. David Acheson. I just want to change
12 gears a little bit. In the packet of information there was
13 some mention of hygienic removal of muscle.

14 I can see that one potential outcome of the
15 discussion here is blade tenderization can go ahead and
16 maybe there will be some industry move to hygienically
17 remove the muscle or surface treatment. Is there any data
18 out there saying whether that works? How effective it is?
19 Is that a logical solution?

20 MR. ENGELJOHN: This is Engeljohn with FSIS. All
21 I would say about that is that in the public process of the
22 Federal Register meetings that we've had on 0157:H7; we have
23 raised the issue because industry has told us that they're
24 able to remove the exterior fat layer and some of the
25 muscles on many of the roasts that are typically --

1 typically those such as the prime rib and the rib roast and
2 those -- roasts and things like that.

3 We haven't received any information or data that
4 would indicate that there is a different microbial profile
5 on these products, nor do we see that that is how many of
6 the roasts that are prepared are processed, by removal of
7 the exterior surface. But no information on what the
8 microbial profile is for those products that are
9 tenderized --

10 MR. ACHESON: And what about surface treatments?
11 -- blade tenderization?

12 MR. ENGELJOHN: Engeljohn again. I'm not aware of
13 any. The information that you have in the file on blade
14 tenderization is really all that we received on the
15 process.

16 I am expecting that the KSU researchers will be
17 providing additional information shortly, but it's a follow-
18 up to looking at some in particular in relation to 0157 in
19 blade tenderization. I don't know if they've included
20 information about surface contamination.

21 MS. WACHSMUTH: Dave?

22 A PARTICIPANT: Thank you, Madame Chairman. Dave
23 Theno, Jack in the Box. Dr. Engeljohn's right. There's a
24 number of studies going on today about this blade
25 tenderization issue.

1 At the same time, there's a whole lot of research
2 going on today that's just about to be published about
3 surface decontamination of individual cuts and trim. Now
4 this is all brand new research. I expect to see most of it
5 come out -- this evaluation today. So in the next three to
6 nine months, we should have more information on this kind of
7 stuff. It's just an update.

8 MS WACHSMUTH: Okay. Bob?

9 MR. BUCHANAN: I guess it's my turn to be
10 confused.

11 (Laughter.)

12 MS. WACHSMUTH: Don't say that again.

13 (Laughter.)

14 MR. BUCHANAN: I'll try again.

15 (Laughter.)

16 I'll just try.

17 (Laughter.)

18 MR. BUCHANAN: It's my turn to be confused. If
19 you're asking just straight this question, if a bacteria is
20 present on the surface of a meat product then you destroy
21 that surface, right through the penetrate, probably. If it
22 gets into the surface, is it going to take more cleaning to
23 kill it than it would on the surface? Yes. Probably.

24 Are you asking that question or are you asking the
25 question is the risk associated with that possibly high

1 enough to warrant concern? That's a different question. I
2 mean are you looking for just a risk associated with this --
3 definition of concern or are you asking -- especially if the
4 risk level is relatively a policy decision or are you asking
5 is it physically possible that you could get a bacteria from
6 the outside if you handle steak, when you cut a steak, or
7 whatever ? I'd say that doesn't need a whole lot of
8 scientific evaluation, at least in theory.

9 MS. WACHSMUTH: Instead of answering you, Bob, I'm
10 going to let you and Dan talk about that during our break
11 which is going to occur right now for 15 minutes and then
12 we'll resume this for another hour. Then we will end these
13 discussions. Thank you all. Good discussion.

14 (Break at 3:05 p.m.)

15 (Meeting resumed at 3:30 p.m.)

16 MS. WACHSMUTH: Okay. We have a couple of follow-
17 ups with CDC. Follow-up information.

18 MR. LIANG: Actually the first case control study
19 asked a number of questions not about ground beef, but about
20 steak and whether it was perceived as being pink or not, as
21 well as roast beef and veal. None of those risk factors in
22 that E. coli case control study is being done under Foodnet.

23 So, in fact, the second case control study asks
24 even fewer questions about food products because other than
25 ground meat, ground beef -- . So we can present some of

1 those data from the first Foodnet study as great examples
2 and, as I say, we can provide the questionnaire for the
3 second one. It's unlikely, it's going to shed more light.

4 Then I guess I also just wanted to point out that
5 usually we're -- of course, we're in the onset, at least at
6 CCR, interviewing cases of their families in control. So
7 for the likelihood that they know what went into the
8 production of the product, actually know how the product was
9 handled as well..

10 MS. WACHSMUTH: Thanks, Art. Okay. Did Bob and
11 Dan get together?

12 MR. ENGELJOHN: We did. I'm not sure what the
13 answer is.

14 (Laughter.)

15 We discussed the issue of -- we recognize that --
16 I don't think your question is really asking about the risk.
17 I mean our expectation is that we now have confirmation
18 that there is a --tation of the organism. That where we are
19 is in part we don't know what consumers' handling practices
20 are and whether or not consumers do handle these products
21 similarly to an intact product versus other non-intact
22 products such as ground beef.

23 That's part of the issue. If in fact, there is a
24 different profile for these non-intact steaks and roasts
25 then what -- should there be a minimum cooking temperature

1 and so what should that temperature be? That, in part, is
2 based on what is the expected level of an organism to be --
3 product? So I think it is a mixture of both issues.

4 MS. WACHSMUTH: Okay. Again I think that this
5 committee can help make those kinds of decisions in terms of
6 what data and what approaches we need to address the
7 problem. And you have the questions that the Agency --if
8 you see a place to do something like risk assessment. I
9 don't know that we're going to have data but the committee
10 can tell us what data they need to make a decision like
11 this. Alison?

12 MS. O'BRIEN: Alison O'Brien. I have a point of
13 clarification. I'm not entirely clear on what blade
14 tenderized meats are? I can see -- I have a vision of
15 somebody with a large knife hammering a steak. We had some
16 film that we got to view and I saw little needles being
17 poked into meat. Could you give us a quick summary of what
18 the process is?

19 MR. ENGELJOHN: Yes. I will make an effort to try
20 to get you a video or some additional information that will
21 visually show these processes. But in essence, think of it
22 as a hairbrush, not a hairbrush, but a hairbrush having
23 bristles on it and that being a piece of equipment that's
24 pushed down on to roast or onto a steak so hundreds of
25 little needles that are actually pushed into the product.

1 It's primary purpose would be to tenderize the product.
2 So it would be very thin needles being pushed in through a
3 product much like a hairbrush would be pushed in.

4 MS. O'BRIEN: I have a second point. If this is
5 done at say a retail place, a grocery store, is there -- are
6 there generally state regulations to clean that apparatus
7 from roast beef to roast beef or steak to steak?

8 MR. ENGELJOHN: I don't -- I'm not sure that it
9 actually is used at retail.

10 MS. O'BRIEN: Is this is a big piece of equipment?

11 MR. ENGELJOHN: In most cases, a very large piece.

12 MS. O'BRIEN: A very large piece of equipment.

13 MR. ENGELJOHN: But I would say that if, in fact,
14 there is a type of technology that again, I think its
15 primary purpose would be for tenderizing the product which
16 gives, obviously, advantages for using the equipment and I
17 would envision that someday if it's not used in retail, it
18 might be in the future.

19 MS. O'BRIEN: I just want to say, too, that I'm
20 sure or I hope all of you got the films that we sent around.
21 Yes, that was it. That's the one training film we were
22 able to get from our training center.

23 A PARTICIPANT: And they do clean.

24 A PARTICIPANT: Did they say --

25 A PARTICIPANT: clean.

1 A PARTICIPANT: Okay.

2 A PARTICIPANT: Is that the point?

3 A PARTICIPANT: Okay.

4 A PARTICIPANT: Is that the point?

5 A PARTICIPANT: Well, yes.

6 MR. ENGELJOHN: Alison, it would not be sanitized
7 between each use.

8 MS. O'BRIEN: Okay.

9 MS. WACHSMUTH: David, can you help us?

10 MR. THENO: Sure. Yes. This is David Theno with
11 Jack in the Box. The tenderizing marination equipment is
12 not cleaned between individual pieces of meat. In fact, in
13 a meat plant it would be cleaned between species or between
14 different marinations. I think that, or at the end of the
15 day. That's principally how it goes. It's a pretty
16 difficult piece of equipment to clean. You would have to
17 remove pretty much alot of pieces and things but it can be
18 done successfully.

19 As to the question is it done in retail at all?
20 The answer is yes, they just have scaled down versions. The
21 bigger meat markets at grocery stores typically have
22 tenderizers of some sort to deal with their tougher cuts of
23 meat to make them tender.

24 MS. WACHSMUTH: Thank you. Okay. Bruce?

25 MR. TOMPKIN: This is Bruce Tompkin from ConAgra.

1 I would like to just add that that is all done in a
2 refrigerated room, but that's not what my point was.

3 I wanted to make sure I clearly understand then
4 what this question is. If these products are being cooked
5 in Federally inspected establishments, time temperatures are
6 already required and that's not an issue. If it's cooked in
7 a food service establishment, presumably the Food Code would
8 apply.

9 So all we're really trying to do is resolve
10 whether or not the risk is sufficiently high that these
11 products should be labeled so the consumer knows, and
12 particularly there's some cooking procedure on the label
13 that would provide guidance to ensure the safety of the
14 product. If that is the conclusion. Is that correct?

15 MR. ENGELJOHN: I think John or someone from FDA
16 may be able to speak to the issue of the Food Code. The
17 issue for a non-intact steak is in the Food Code today at
18 145 degrees for 15 seconds, I believe.

19 Again, I think the issue presented to the Agency
20 is that this product may, in fact, be sufficiently prepared
21 at a lower temperature for a different period of time. So
22 that's part of the issue here is that 145 for 15 seconds
23 may, in fact, not necessarily be the safety temperature.
24 Maybe something more rigorous would be appropriate.

25 MS. WACHSMUTH: Frances?

1 MS. DOWNES: Frances Downes, Michigan Department
2 of Community Health. I also called home at the break and as
3 I recall, we did have a small cluster and I confirmed that
4 with our epidemiologist.

5 Last week we had a cluster of two to three cases
6 associated with a tenderized steak in a local restaurant
7 chain. If it would be helpful I can submit that, to support
8 that so it can be included in the documents.

9 MS. WACHSMUTH: Thank you. Catherine?

10 MS. DONNELLY: I'm going to call on my colleague
11 over there, Jean Kamanzi from the Canadian Food Inspection
12 Agency, because they also have some information in Canada.

13 MR. KAMANZI: --

14 MS. WACHSMUTH: Thank you. I think any
15 information, any data, from a study designed to look at that
16 would be extremely helpful to the group in its
17 deliberations. So if Canada would permit us to look at
18 those data, that would be great. Thank you. Okay. Bob?

19 MR. BUCHANAN: Bob Buchanan, FDA. I'm just -- as
20 I was sitting here listening to Bruce describe clarification
21 of the question being asked I'm reminded of the last session
22 that we had we were asked about surface treatment of oranges
23 in the production of fresh orange juice.

24 This session is in a similar manner where they are
25 asked to determine whether or not you could -- of the

1 pathogen within an orange, whether the treatments were
2 effective and whether it occurred as to -- the likelihood of
3 public health concern. I assume that listening to what
4 we're talking about here, the question is being asked in a
5 similar way as to what was asked about orange juice.

6 MS. WACHSMUTH: Okay. Do you want to make any
7 comment about the conclusions amongst you?

8 MR. BUCHANAN: The conclusion on the orange juice
9 was that, yes, it was possible to get a determination of the
10 pathogen, at least under laboratory conditions. It was not
11 deemed -- by the this committee to be a likely event in
12 conjunction with, we felt additional precautions would be
13 limited by including microbiological type of testing program
14 in conjunction with the activity. So it was a combination
15 of scientific determination, evaluation of the risks and --
16 process.

17 MS. WACHSMUTH: Okay. Nice approach that this
18 committee should consider. John?

19 MR. KVENBERG: question to -- about the -- what -
20 - processing -- . Am I correct in -- it's not only some
21 type of blade type operation that produces something that
22 looks like cubesteak at the end, but it's also needles that
23 can puncture the intact beef? If so, does that produce a
24 product that consumers will now be able to determine is
25 intact beef or blade tenderized?

1 MR. ENGELJOHN: For purposes of the discussion
2 today, this is really just a blade tenderization and not the
3 tumbling or restructuring of the steak. So this would be
4 something where a steak or a roast which is blade tenderized
5 would not change in its form so --

6 MS. WACHSMUTH: Larry?

7 MR. BEUCHAT: Larry Beuchat. I think, John,
8 you're asking, if you saw the video which I did. That was
9 a needle, not a blade that was tenderizing beef. Are we not
10 to be considering needle tenderized beef but just blade
11 tenderized beef?

12 MR. ENGELJOHN: I'd have to say I didn't look at
13 the video. Did the process change the structure? You said
14 you couldn't tell if it was still the same?

15 MR. BEUCHAT: They didn't show us the close-up.

16 (Laughter.)

17 But I assume that if one did look closely at it,
18 you would see that the muscle had been disintegrated with
19 the needles. Perhaps Dave can tell us.

20 MR. THENO: Dave Theno, Jack in the Box. The term
21 needle and blade are interchangeable. In the true
22 tenderizer, actually if you take a look at the bottom of it,
23 they call it needles. It will actually have dual cutting
24 surfaces on it sometimes and there will be a diamond-shaped
25 tip specifically designed to tear the uncut muscle membranes

1 and the tissue.

2 Typically when they go through the machine they'll
3 go through -- the belt and you can -- one penetration and if
4 it's a tougher piece of meat it'll go slowly and get a
5 couple -- disrupts the connective tissue structure --

6 Almost all pure tenderizers have solid needles.
7 The reason is, obviously, you wouldn't want to make any core
8 samples out of them and it would be difficult to clean.

9 (Laughter.)

10 So for practical purposes a needle tenderizer is
11 just little spears, if you will.

12 MS. WACHSMUTH: Have you seen the video, Dave?

13 MR. THENO: I live the video.

14 (Laughter.)

15 MS. WACHSMUTH: And can you tell the difference if
16 you look at a whole cut of meat, whether it has been
17 tenderized or not?

18 MR. THENO: A whole cut of meat of roast or a
19 steak that has been tenderized, and particularly with one or
20 two passes, visually you just wouldn't see it. You wouldn't
21 see it a difference unless something was wrong.

22 If, in fact, it's a piece that needs more
23 tenderizing if you look at it closely on the surface you can
24 actually see the little penetrations in it. But the average
25 person in the supermarket might pick one up and just look at

1 it and might not know the difference.

2 MS. WACHSMUTH: Okay. One other thing, Dave, that
3 might be helpful to the committee. In the packet there's a
4 description that begins on page four. This is the study
5 that describes the blades and the process.

6 MR. ENGELJOHN: Page six is actually a picture.
7 Actually, we're getting this publication electronically, and
8 when I get it will forward it to you.

9 MS. WACHSMUTH: Larry? Did you have any --

10 MR. BEUCHAT: No.

11 MS. WACHSMUTH: Okay. I guess we can go home. Oh
12 no. Not really. The process from here seems to be a little
13 confusing so I thought I would go through what the
14 subcommittees are doing again.

15 Generally, the Steering Committee, the Executive
16 Committee, puts together subgroups according to topic and
17 tries to maintain the --the balance between the industry,
18 the academic background, the government-regulated concerns,
19 try to balance the subcommittees in that same way.

20 We also try to keep the groups small because these
21 are almost drafting groups that would come up with a paper,
22 and sometimes they take individual assignments and then meet
23 together as a group and produce a paper that would then come
24 to this committee.

25 So that's as soon after this meeting as we can get

1 that Steering Committee together we're going to and make
2 some subcommittee assignments on these two topics that we'll
3 introduce today. Then we will notify those people.

4 We don't normally have a volunteer system but if
5 you want to send an e-mail or something indicating an
6 interest, we'll certainly take it into consideration. But
7 we will do this as fast as we can because the subcommittees
8 could meet once or maybe even twice before we have another
9 full committee meeting. Then that full committee meeting is
10 going to depend on your schedules.

11 We have identified August, I'm told for several
12 reasons. One is that there doesn't appear to be a local
13 hotel before September and we do want to meet before the
14 beginning of the new fiscal year. And this might be the
15 hotel. It has a very nice meeting room.

16 (Laughter.)

17 MS. WACHSMUTH: Are there any questions about
18 that? About the process at all? Is there anyone who is not
19 on a committee who would to make a comment? I'd be happy to
20 try to explain. I think a good size for a subcommittee is
21 probably around ten people. We don't generally get all
22 ten people at one time. The HACCP subcommittee usually
23 functions with six to eight people who are -- and they
24 produce a product that the subcommittee has to review and
25 those are very lively, friendly discussions. So this will

1 not be something that is done in subcommittee, and buried in
2 subcommittee, and then just comes out and you endorse it.

3 This will be something that we all discuss. So if you're
4 not selected for a subcommittee, don't be disappointed.

5 You'll definitely have opportunities to assess the products.

6 Any comments from anyone else, or from someone the Steering
7 Committee or the Committee in general. Is there anyone who
8 is not on a Committee who would like to make a comment?

9 Yes, Caroline. Come up to the microphone.

10 MS. SMITH: I think I can get the microphone to
11 work. I'm Caroline Smith with the Center for Science in the
12 Public Interest. I've been interested to hear the
13 discussions from the newly forming -- committee. It looks
14 like this is a wonderful brain trust of people who have been
15 advising us on microbial food safety issues.

16 I think the salmonella performance standard which
17 was discussed this morning is a success. It's a success for
18 the public and I think as you go into deliberations and
19 consideration on that standard you should know that. It has
20 significantly reduced salmonella levels on products coming
21 to consumers and I believe that because I have looked at the
22 USDA data documenting that, but also because CSPI tried to
23 test it.

24 USDA is not sampling in turkey slaughter plants
25 because of a regulatory -- how should we call it? -- lapse

1 because the baseline data for turkey was not available from
2 some turkey slaughter plants, was not available at the same
3 time that the final rule was being developed. So they've
4 never had sampling in turkey slaughter plants.

5 So we tried to sample. We didn't use very many
6 samples. We did about 50 samples from five areas in the
7 country. I've talked to Kaye Wachsmuth about how we did
8 those samples and the way we shipped it and how we handled
9 it and the protocols. It was comparable to USDA's
10 enforcement sampling. We couldn't find any salmonella on
11 our 50 samples of turkeys.

12 We also found very little Campylobacter, which was
13 disappointing to me but, nonetheless, I think an important
14 statement about where the improvements are being made in the
15 industry. We have looked more recently at data from I think
16 it's the University of Maryland, and I talked about it at
17 the National Press Club in November where that data has
18 essentially been confirmed also by data done by a far more
19 scientific source than sampling by CSPI.

20 But the bottom line is this program has been a
21 success. And when you start to tinker with it you have to
22 recognize that it's -- whatever is done to that program
23 should make it an improvement from a public health
24 standpoint. We're not talking about overturning the system
25 or substituting indicator organisms for pathogens. I think

1 we need to think in terms of improving the sampling program
2 to better protect consumers.

3 The other thing is I think you shouldn't confuse
4 the issues of what testing industry should do to improve
5 their HACCP programs and to monitor their HACCP programs.
6 And I've heard a lot of excellent suggestions from the
7 industry which I hope they will take our advice and do that.

8 But what this program is, it's a regulatory
9 program for FSIS to evaluate how HACCP works in the meat and
10 poultry industry and that program -- it's very important to
11 separate that program from what industry should be doing on
12 its own -- with the government mandate as they are required
13 to do with E. coli --

14 So Bruce Tompkin, who I always quote whenever I
15 can --

16 (Laughter.)

17 -- talked about the fact that he needs to promote
18 continuous improvement and that is what HACCP is designed to
19 do and that's what you all promised us several years ago.
20 So we have seen recommendations for the committee to
21 consider on how to ensure continuous improvement in the
22 HACCP program.

23 First of all, I agree that lowering salmonella
24 standards. The performance standards that were put in place
25 were based on the baseline data from five, six or even more

1 years ago. We've seen significant improvement in the
2 industry and that -- if you could lower salmonella -- the
3 salmonella performance standards to what the industry is now
4 achieving I think that would be a significant improvement.

5 In addition, we would recommend implementing
6 additional testing requirements in the meat and poultry
7 industries, Campylobacter in chicken is one thought, it's
8 more than a thought, we've been advocating it for a number
9 of years now. Listeria testing for ready-to-eat meat
10 products which many of us will be three days in meetings
11 coming up on that topic.

12 Then testing for additional foods. Let's not
13 forget that we have a HACCP system in place in the seafood
14 industry with no testing requirements. So as you think
15 about that, think also about the need for testing and
16 verification for the government across the board, not just
17 at FSIS but also over at its sister agency, the Food and
18 Drug Administration.

19 Finally and I'll end quickly, there are a couple
20 of issues dealing with the FSIS policy on blade tenderized
21 meat. I am fascinated that this whole discussion is on
22 cooking again and that cooking is somehow the solution to
23 this problem. I do remember the debates the committee has
24 had on whether to adopt the FDA standard for cooking meat or
25 the USDA standard for cooking meat because, in fact, they're

1 different.

2 There's a much better solution and it's one that
3 USDA is fully aware of. The industry did a study several
4 years ago on beef carcass sampling for E. coli 0157:H7.
5 They did it to demonstrate that, in fact, they can control
6 E. coli 0157:H7 coming into the slaughter plants through
7 their slaughter process. And they tested what? Four or
8 five different places during the slaughter process from
9 dehidng all the way up to when it's ready to go into the
10 cooler, or maybe after the cooler. They found significant
11 reductions really -- in the slaughter plant.

12 Why don't we get a testing system for verification
13 in the beef slaughter industry by requiring them to test at
14 that final point? Let them demonstrate that their slaughter
15 processes are, in fact, controlling 0157:H7 coming into
16 their plants.

17 Let's not leave it to consumers to know whether
18 this is a blade tenderized piece of meat or not blade
19 tenderized and, therefore, to adjust their cooking
20 temperatures. That communication message is far too
21 complex. I can't get people to do that much research on
22 what they're buying. What they want to know is whether it's
23 safe. I think you guys should be looking far beyond the
24 issue of cooking temperatures for blade tenderized beef and
25 into the issue of how to stop this in the slaughter plant.

1 Thank you.

2 MS. WACHSMUTH: Thank you. Any other comments. I
3 don't think we have anyone who called in ahead of time.
4 Dan?

5 MR. ENGELJOHN: I just would like to make a
6 statement about the public meeting tomorrow and the next two
7 days. I just want to remind the committee that we would
8 welcome your presence at the meetings which will be at this
9 hotel and in this room, I think, tomorrow, Wednesday and
10 Thursday, related to the ready-to-eat meat and poultry
11 performance standards.

12 Tomorrow is a science-related meeting. We'll have
13 presentations on various aspects of science related to the
14 safety of meat and poultry products.

15 Then on Wednesday in the morning it will be an
16 overview of the lethality and stabilization performance
17 standards for ready-to-eat meat and poultry products. In
18 the afternoon there will be an overview of the requirements
19 related to listeria sampling, listeria species as well as
20 *Listeria monocytogenes*.

21 Then on Thursday morning there will be a
22 presentation on changing the performance standards as well
23 as the removal of *Trichina* requirements in the current pork
24 regulations. Then the afternoon on Thursday will be devoted
25 to the economic issues related to the ready-to-eat proposal;

1 mainly related to the issues of using the information from
2 the risk assessment as well as the information we have about
3 illnesses associated with meat and poultry and tying that
4 into a benefits/cost assessment. So we welcome your
5 presence and input during these meetings.

6 MS. WACHSMUTH: Okay. Dane?

7 MR. BERNARD: Thank you. Believe it or not I was
8 just curious as to whether we should put some salmonella and
9 Campylobacter into --

10 (Laughter.)

11 MS. WACHSMUTH: You just destroyed the credibility
12 of the committee, Dane.

13 (Laughter.)

14 After all of those wonderful compliments that you
15 were getting. No. I just want to tell all of you thank you
16 for coming and tell you that I think your questions were
17 extremely insightful and thoughtful. I have high hopes that
18 this committee is going to give us extremely good advice
19 that will protect the public health and will be soundly
20 based in the science as we know it.

21 I want to thank you for -- and for the Agency and
22 hope that you learn something and help us learn something in
23 the next couple of days, as well. We'll see you again soon.

24 Don't forget to send in your calendars. So we can set up
25 this next meeting. So we'll adjourn, but someone will be

1 around here until 5:00 in case you have something you want
2 to tell us. Thanks again.

3 (Whereupon, at 4:00 p.m., the meeting in the
4 above-entitled matter was adjourned.)

5 //

6 //

7 //

8 //

9 //

10 //

11 //

12 //

13 //

14 //

15 //

16 //

17 //

18 //

19 //

20 //

21 //

22 //

23 //

24 //

25 //

CERTIFICATE OF REPORTER, TRANSCRIBER AND PROOFREADER

Microbiological Criteria for Foods
Name of Hearing or Event

N/A
Docket No.

Washington, DC
Place of Hearing

May 7, 2001
Date of Hearing

We, the undersigned, do hereby certify that the foregoing pages, numbers 1 through 176, inclusive, constitute the true, accurate and complete transcript prepared from the tapes and notes prepared and reported by Marcia Logan, who was in attendance at the above identified hearing, in accordance with the applicable provisions of the current USDA contract, and have verified the accuracy of the transcript (1) by preparing the typewritten transcript from the reporting or recording accomplished at the hearing and (2) by comparing the final proofed typewritten transcript against the recording tapes and/or notes accomplished at the hearing.

5/7/01
Date

Maria Hester
Name and Signature of Transcriber
Heritage Reporting Corporation

5/7/01
Date

Lorenzo Jones
Name and Signature of Proofreader
Heritage Reporting Corporation

5/7/01
Date

Marcia Logan
Name and Signature of Reporter
Heritage Reporting Corporation

Heritage Reporting Corporation
(202) 628-4888