

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25
26
27
28
29
30
31
32

UNITED STATES DEPARTMENT OF AGRICULTURE

IN RE: National Advisory Committee on
Microbiological Criteria for Foods

Meeting held on the 22nd day of August, 2003

at 8:30 a.m.
Hotel Monaco
700 F Street, N.W.
Washington, DC 20004

TRANSCRIPT OF PROCEEDINGS

8-22-03 NACMCF Meeting Participants

Chair:	Dr. Merle Pierson
Vice-Chair:	Dr. Robert Brackett
NACMCF Members:	Dr. David Acheson Dr. Peggy Cook Dr. Catherine Donnelly Dr. Stephanie Doores
	Dr. Dan Engeljohn Mr. Spencer Garrett Dr. Robin King Dr. Mahipal Kunduru Dr. John Kvenberg

York Stenographic Services, Inc.
34 North George St., York, PA 17401 - (717) 854-0077

York Stenographic Services, Inc.
34 North George St., York, PA 17401 - (717) 854-0077

1 Dr. Anna Lammerding
2 Dr. John Luchansky
3 Dr. Carol Maddox
4 Dr. Roberta Morales
5 Dr. Eli Perencevich
6 Ms. Angela Ruple
7 Ms. Jenny Scott
8 Dr. Skip Seward
9 Dr. John Sofos
10 Dr. Katie Swanson
11 Dr. Don Zink
12
13 NACMCF Executive Committee: Dr. Art Liang, CDC
14 Maj. Erik Topping, VSA
15 Dr. LeeAnne Jackson, FDA
16 Dr. Carol Maczka, FSIS
17
18 FSIS Staff: Ms. Gerri Ransom
19 Ms. Karen Thomas
20 Dr. Walt Hill
21 Dr. Karen Hulebak
22
23 FDA Staff: Mr. Don Kautter
24
25 NMFS Staff: Ms. Emille Cole
26 Ms. Barbara Comstock
27
28 Dr. Al Rainosek
29
30 Outside Participant: Mr. Sam Ankrah, VA Tech
31
32
33
34
35
36
37
38
39

P R O C E E D I N G S

August 22, 2003, 8:40AM

1
2
3 DR. PIERSON: We can go ahead and get started
4 with the Closing Plenary Session of the 2002-2004
5 National Advisory Committee Meeting of Microbiological
6 Criteria for Foods. I'm Merle Pierson. Again, I'm Chair
7 of the National Advisory Committee on Microbiological
8 Criteria for Foods and of course, as you know, this is
9 Dr. Bob Brackett, who is Director of Food Safety and
10 Security for FDA, CFSAN. He is the Vice-chair for this
11 Committee. You've had a very productive week and again,
12 I appreciate all the time that you've taken to
13 deliberate during this week on the issues that were
14 before you and I look forward to hearing the reports of
15 the Subcommittee chairs. I know we did this earlier in
16 the week, but let's go ahead again and go around the
17 table and introduce ourselves. I guess we can go to the
18 right this time.

19 DR. BRACKETT: As Merle said, I'm Bob
20 Brackett, FDA.

21 DR. JACKSON: LeeAnne Jackson, FDA, Liaison to
22 the Executive Committee.

23 DR. LIANG: Arthur Liang, CDC Food Safety

1 Office.

2 DR. TORRING: Erick Toring, DOD
3 representative to the Executive Committee.

4 DR. KVENBERG: I'm John Kvenberg, Food and
5 Drug Administration.

6 DR. LAMMERDING: Good morning. Anne
7 Lammerding, Health Canada.

8 DR. KUNDURU: Mahipal Kunduru, Dole Fresh
9 Vegetables.

10 DR. SOFOS: John Sofos, Colorado State
11 University.

12 MR. GARRETT: Spencer Garret, The National
13 Marine Fisheries Service.

14 MS. COLE: Emille Cole, National Marine
15 Fisheries Service.

16 DR. MADDOX: Carol Maddox, University of
17 Illinois.

18 DR. ACHESON: David Acheson, FDA.

19 DR. LUCHANSKY: Good morning. John Luchansky,
20 ARS, USDA.

21 DR. MORALES: Roberta Morales, RTI
22 International.

23 DR. PERENCEVICH: Eli Perencevich, University
24 of Maryland, Baltimore.

1 DR. KING: Robin King, U.S. Army Veterinary
2 Corps.

3 DR. DOORES: Stephanie Doores, Penn State
4 University.

5 DR. ZINK: Don Zink, FDA.

6 MS. RUPLE: Angela Ruple, NOAA Fisheries.

7 DR. ENGELJOHN: Dan Engeljohn, FSIS.

8 DR. SWANSON: Katie Swanson, General Mills.

9 DR. COOK: Peggy Cook, Tyson Foods.

10 DR. DONNELLY: Cathy Donnelly, University of
11 Vermont.

12 MS. SCOTT: Jenny Scott, The National Food
13 Processors Association.

14 DR. SEWARD: Skip Seward, The American Meat
15 Institute.

16 DR. HILL: Walt Hill, FSIS.

17 MS. THOMAS: Karen Thomas, Advisory Committee
18 Specialist, FSIS.

19 MS. RANSOM: Gerri Ransom, NACMCF Executive
20 Secretariat, FSIS.

21 DR. PIERSON: Thank you. I'll turn the
22 microphone now over to Gerri and she has some details
23 here to take care of to keep us all glued together.

24 MS. RANSOM: Okay. Good morning and welcome

1 to your last day of our meetings. I wanted to mention
2 for our guests that anyone wishing to make public
3 comment, please sign up with us outside at the table.
4 Guests will be given up to 10 minutes for comment, so
5 make sure you sign up, if you're interested. I also
6 wanted to point out that outside in the hallway we do
7 have a table set up containing documents related to
8 NACMCF work, so any of our guests who are interested in
9 picking these up, feel free. Again, I would like to
10 mention that this table is reserved only for documents
11 approved by the Executive Committee. We do have a
12 separate table set up for documents that guests can use
13 for distributing documents -- again, please feel free to
14 use that. Also, please don't put any documents on the
15 table up here, okay? And I want to give you one last
16 reminder, Karen Thomas has given you calendars and under
17 one of the last tabs in your notebook. Please leave
18 with us your availability, enabling us to schedule
19 Subcommittee meetings, or if you wish, you can fax it at
20 a later time. Okay, thank you and we'll turn the floor
21 back over to Dr. Pierson.

22 DR. PIERSON: Okay. Thanks, Gerri. This week
23 the Performance Standard Subcommittee as chaired by
24 Spencer Garrett was tasked with an additional charge and

1 this was to review the FSIS proposed microbiological
2 baseline studies for raw ground beef components. As
3 this Subcommittee has recently begun new work on
4 performance standards for broiler and ground chicken,
5 we're especially appreciative of their flexibility and
6 effort -- extra efforts they've taken in addressing this
7 additional charge, so I look forward to hearing from
8 Spencer and the progress that has been made. Also, this
9 week was the Subcommittee on Criteria for Shelf-life
10 Based on Safety, chaired by Don Zink and the
11 Subcommittee on Scientific Criteria for Redefining
12 Pasteurization as chaired by John Kvenberg and of
13 course, we're looking forward to the reports from the
14 Subcommittees. And to begin with, I'll turn the floor
15 then over to Spencer Garrett, who will report on the
16 work of his Committee -- Subcommittee.

17 MR. GARRETT: Thank you, Mr. Chairman. We
18 have before us a report from our Subcommittee that's out
19 of NACMCF response to USDA/FSIS request for guidance on
20 baseline study design and evaluations for raw ground
21 beef components, dated August 21, 2003. Our
22 Subcommittee worked diligently on this document.
23 Unfortunately, the full Committee members only received
24 the document this morning and so I'm not certain if

1 you've had time to read the document. It's only eight
2 pages of which three -- two and half to three are the
3 background and the title page, so we can either take 15
4 minutes out and everybody read it, or I'd be guided by
5 the will of the Committee. Do you want to go through it
6 now, or do you want to read it? Seeing that there's no
7 objection to going ahead, I think I'll just go through
8 the document.

9 MS. RANSOM: I think we did get a request over
10 here for allowing us to read the document.

11 DR. PIERSON: Who did it? No, that's
12 perfectly fine. Why don't we take 15 minutes then and
13 read it? Fun. You can't even know when you're done.
14 Excuse me, when you're finished. You'll never be done.

15 MR. GARRETT: Fifteen minutes...

16 DR. PIERSON: ...a notation, so we'll take
17 just a couple of more minutes to...

18 MR. GARRETT: Mr. Chairman, I think we
19 probably can proceed and the way that I would like to
20 proceed to facilitate us through this -- let me remind
21 us all that in our charge, and when I get to that,
22 you'll -- that the Committee was requested, actually, to
23 give the recommendations back this week, so as we go
24 through this, it's with a view toward adopting the

1 document when we finish our discussions in any
2 deliberations and modifications. The first page, or
3 actually page two, is the background statement and was
4 indicated by Loren Lange in the opening of our session.
5 FSIS, in keeping with our pathogen reduction rule -- and
6 also, in response to recommendations-- made by this
7 Committee relative to earlier. They've indicated we
8 will receive funding to do more baseline studies and we
9 plan to perform microbiological baseline studies and are
10 asking us for our advice on the design of those studies.

11 They've indicated that the first phase of their
12 baseline studies will be to determine the microbial
13 profile for raw ground beef components. They've
14 indicated that when they looked at what makes up the raw
15 ground beef components, they selectively grouped those
16 into five categories for baseline studies. They've
17 indicated the baseline studies would be staggered over
18 time and at the middle of the page there, they presented
19 us, as a Committee, with what USDA/FSIS perceived as the
20 highest priority relative to public health risk. And
21 that list was, first of all, weasand, head and cheek
22 meat. Secondly, advanced meat recovery (AMR) products.

23 The second, low temperature rendered product (LTRP),
24 partially defatted chopped beef. Domestic trim and

1 subprimals destined for ground beef. And then imported
2 beef. They indicated that they intend to identify the
3 contribution of these components to the prevalence of
4 pathogens such as *Salmonella* and *E. coli* O157:H7, and
5 to measure indicators of process control. And as they
6 begin their experimental design for these baseline
7 studies, they indicate to us that they're also doing
8 some preliminary surveys to help them, in fact, zero-in
9 on what they should be doing. They indicated the first
10 survey will identify and quantify producers for each of
11 the components, and then the second survey will identify
12 how much each component is typically used in ground beef
13 production, pointing out that the goal of the survey is
14 to provide a picture of the diversity and prevalence of
15 the various components used in ground beef. They've
16 indicated that the objective of their baseline survey
17 program is to collect data that will be used to develop
18 general microbiological profiles for all types of ground
19 -- RGBCs for select microorganisms, that --representing
20 various degrees of public health significance. They
21 indicate that the information will be used for risk
22 assessment activities for developing regulatory
23 strategies to reduce the prevalence of enteric pathogens
24 in raw ground beef and it will also provide data on

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 potential indicator organisms to provide a basis for
2 guidelines to verify process control. Did you see the
3 projected outcomes there I mentioned? They will inform
4 the Agency's risk assessment and other scientific
5 analysis, thereby supporting the Agency's science-based
6 risk management programs including possible performance
7 standards development -- for performance standards,
8 rather, and other regulatory options. Our databases
9 will be merged to support performance standards
10 development and evaluation criteria allowing
11 determinations on relationships among microorganisms and
12 assisting in identifying industry practices which are
13 effective in pathogen reductions. The effect of certain
14 variables, geographical location, seasonality, plant
15 size, production volume on the prevalence levels of
16 particular bacteriological pathogens will be taken into
17 account, which then brings us to our specific charge.
18 And our charge -- and I realize we read this, but I'm
19 going through it again very deliberately. And not only
20 for ourselves as a Committee, but for the general
21 public. An FSIS goal is to strive to determine the
22 optimum study design configuration for each project
23 satisfying the needs of both risk assessors and policy
24 developers. It is part of this process for NACMCF to

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 provide feedback on certain aspects of the proposed
2 baseline study designs. Plans for RGBC testing are now
3 being submitted at this time and we, being FSIS, would
4 like this feedback by way of review and comments.
5 FSIS's request that NACMCF specifically comment on the
6 approach and concepts of the proposed RGBC baselines,
7 relative to the four points below. NACMCF has been
8 asked to complete this review and comment task at the
9 meetings scheduled for the week August 18, 2003. By the
10 close of the meetings, August 22, FSIS requests that
11 NACMCF provide completed draft comments on the points
12 below and a revision to the draft comments is requested
13 by September 12. Specifically, we are asked to provide
14 feedback on 1:protocols for collecting samples of RGBCs;
15 2:priority selection and grouping of RGBCs into five
16 distinct baseline categories chosen by the proposed
17 rankings and groupings based on perceived associated
18 public health risks appropriate and; 3:sampling plan
19 design, and they point out that although the sampling
20 plan design for the studies is incomplete and the
21 results of the two surveys that I mentioned, "What are
22 the most important elements to consider?". And finally:
23 selected test organisms for RGBC baselines. And you'll
24 note that this report that we have before us references

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 the Committee, because if we're talking about although
2 this is a Subcommittee report, but if we do adopt this
3 report then it would become the Committee report. And
4 so we recognize in our deliberations the dual nature of
5 the FSIS charge, which seeks advice on developing
6 baseline studies which would provide information for use
7 in the development of regulatory strategies, as well as
8 for use in risk assessments. As a means for addressing
9 both needs, the Agency representatives and the Committee
10 agreed to modify the charge in the order of the
11 questions submitted to us to make a more logical
12 presentation for discussion and resolutions, therefore
13 we ordered the questions in the following order. And
14 all we did is just merely flipped one and two. And that
15 seems to be very -- we seem to be very consistent,
16 because we've done that the last two times, as well. So
17 I'm not going to read those charges again. And so the
18 following narrative represents our deliberations,
19 comments and general recommendations and what we've done
20 is we've made some general recommendations overarching
21 recommendations, if you would. And then for each
22 question in which we're asked, we make very specific
23 recommendations. So with the indulgence of the
24 Committee, what I would like to do is stop here and ask

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 if there are any questions on the background? It would
2 be my intent to proceed then first, with the general
3 recommendations followed by each question and what our
4 specific recommendations are. Yes? John Kvenberg.

5 DR. KVENBERG: If I could, Spencer, draw your
6 attention to general recommendation E on...

7 MR. GARRETT: We haven't gotten there yet.

8 DR. KVENBERG: Oh, I'm sorry. You're not --
9 you said background. I apologize. I'll hold the
10 question until you're there.

11 MR. GARRETT: We're now at general
12 recommendations.

13 DR. KVENBERG: Got you. There will be
14 paybacks.

15 MR. GARRETT: I'm sure.

16 DR. KVENBERG: Let me draw your attention to
17 general recommendation E on page five. From the onset,
18 I applaud the overall effort and -- but only offer it
19 may be beneficial in that recommendation to include the
20 Food and Drug Administration's involvement with the
21 States through the Food Code and its Executive
22 Committee. We totally endorse the idea of doing
23 exposure components of risk assessments. I think it
24 would be a very positive aspect in assisting FSIS and

1 certainly would be useful to make that conference aware
2 of that activity. Thank you.

3 MR. GARRETT: If, in fact, I could offer one
4 comment. I certainly agree with that and we will go
5 back, folks, to the other general recommendations. But
6 could you just merely say likewise, FSIS and FDA should
7 assess the importance. How do you go about doing that?

8 DR. KVENBERG: Well, specifically, my thought
9 was that basically we -- and FSIS does play a role in
10 the Conference for Food Protection and development of
11 the Food Code. It's a vehicle for the Conference of
12 Food Protection to work with the many States and local
13 jurisdictions so there may well be benefit in number
14 one, informing them that including baseline studies work
15 at retail, what's going on and offer any assistance that
16 could be put to FSIS's exposure component of the risk
17 assessment. So I think the words I would like to have
18 in there is not just FDA, but the Conference of Food
19 Protection.

20 MR. GARRETT: How about if we put -- how about
21 if we -- would you like FSIS and FDA in the first
22 sentence? Then I'm going to suggest a follow-on
23 sentence. The follow-on would say furthermore, FSIS and
24 FDA should inform the Conference on Food Protection of

1 these -- of this proposal.

2 DR. KVENBERG: That was my intent.

3 MR. GARRETT: Yeah.

4 DR. KVENBERG: I don't know if others have
5 any comments on it, but thank you.

6 MR. GARRETT: Yeah. And recommend making
7 their members aware of these activities and offer advice
8 and counsel. Anna? Anna?

9 DR. LAMMERDING: I think I'd like to see a
10 little bit more positive language that may have to do
11 with -- engaging. The -- for protection. Conference
12 members...

13 MR. GARRETT: You want to change informing to
14 engaging?

15 DR. LAMMERDING: Yes. Yes. Thank you.

16 MR. GARRETT: Jenny?

17 MS. SCOTT: Spencer, would you read that back,
18 because I'm not sure it reads quite right if you just
19 change the word inform to engage, because you're not
20 going to engage the CFP of this proposal.

21 MR. GARRETT: In this proposal -- on this --
22 engage in this proposal.

23 MS. SCOTT: Or perhaps engage them in the
24 proposed work.

1 DR. KVENBERG: MR. GARRETT, I just want to
2 reiterate. My initial request was, basically, it went
3 to informing them as to opposed actual -- whether or not
4 they're engaged, I think would be a matter for
5 assessment by FSIS and in consultation -- and I hope
6 they will do that with the Food and Drug Administration
7 and the Conference. My intent of the initial one was to
8 make them aware and see what could be offered for
9 assistance. I understand that the design of this
10 exposure assessment and the risk assessment has to be
11 coordinated through -- FSIS is a part of the larger
12 picture. So that was the intent of -- my initial thing
13 was to inform.

14 MR. GARRETT: The thought comes to mind, as I
15 put my cheaters on here, that -- it's true. I don't wear
16 contact lenses. But I think it's also -- it would be
17 the -- rather than saying engaging, I think the
18 Conference itself may want to play a role in that
19 because I think probably informing would be better, but
20 I don't feel strongly one way or the other.

21 DR. LAMMERDING: How about collaborate with?

22 MR. GARRETT: Okay. Let me try to read it,
23 then.

24 MS. SCOTT: We like that.

1 DR. ENGELJOHN: As long as you're happy.

2 DR. PIERSON: If I could make an intervention
3 as the Chair, just a procedural thing, if the Committee
4 members would identify themselves before they make a
5 comment?

6 MR. GARRETT: Further FSIS and FDA should seek
7 collaboration from the Conference of Food Protection
8 relative to the proposed activities -- these proposed
9 activities. Now, if we could, I'd like to go back to
10 the page four. We have three general recommendations
11 there. Are there any comments on those general
12 recommendations? Seeing none. Returning to page five,
13 we now come to question one, which is priority selection
14 and grouping of RGBCs into five distinct baseline
15 categories chosen. Are the proposed rankings and
16 groupings based on perceived associated public risk
17 appropriate? We make six specific recommendations. The
18 first is that the Committee found that categories
19 defined by FSIS do adequately reflect possible raw
20 ground beef components with the addition that the
21 imported category should include fresh components. The
22 Committee reordered the priority ranking of the
23 categories provided by the USDA/FSIS based on volume,
24 the perceived contribution to the risk of illness,

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 expert opinion on the use of the components of ground
2 beef, and processing variables such as chilling rates
3 during production. Plus the category of domestic trim
4 and subprimals are identified by this Committee as
5 representing the highest perceived risk as described
6 above -- below. Secondly, the Committee recognized that
7 setting priority based on volume and perceived risk and
8 other variables was -- compromised to some extent by the
9 lack of data. The Committee, therefore, recommends that
10 FSIS consider the use of pilot surveys to assist and
11 prioritize in categories other than this Committee's
12 leading category of domestic trim and subprimals. It is
13 recommended that the USDA prioritize the rank order for
14 engaging in baseline studies as follows: domestic trim,
15 AMR, LTRP, imported frozen and fresh beef, cheek and
16 head meat. We point out that the domestic trim and
17 subprimals are considered by this Committee as the
18 number one priority, since those components comprise the
19 largest volume of raw materials used in ground beef and
20 are known to contain *E. coli* O157:H7. AMR may be an
21 ingredient included at levels nearly 10 percent in
22 approximately half the ground beef being sold at retail.
23 So the more AMR a manufacturer -- is manufactured under
24 various processing conditions that could lead to

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 differences in microbiological contamination and growth.

2 Jenny, I'm sorry. Go ahead.

3 MS. SCOTT: Before you go on. The next
4 statement you're about to read actually looks like it
5 applies to AMR when it really applies to LTRP.

6 MR. GARRETT: Yeah, I have -- fix that there.
7 Moving on, on page -- on top of page six, and these
8 three, as Jenny points out -- now that was Jenny Scott
9 from the National Food Processors Association --it says
10 this Committee recognizes that some of this production -
11 - we'd like to get rid of the two words of this and
12 insert in lieu thereof -- the LTRP. So it would read
13 the Committee recognizes that some -- it should be of
14 the LTRP production -- and this goes on -- occurs at
15 dedicated facilities and recommends that these
16 facilities be included in any baseline studies along
17 with sampling that is critical. That a record should be
18 made of any antimicrobial interventions. And the way
19 that I would like to go through this is each
20 recommendation at a time and I kind of operate by
21 Jeffersonian rules of order like legislatures do and so
22 -- without exception, so you know, slow me down. Don't
23 let me -- of course, some people think I'm too slow
24 anyway. But I am deliberate. The next recommendation:

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 imported fresh and frozen beef is used in domestic
2 ground beef production. The Committee recommends that
3 the country of origin for the raw material must be
4 included and whenever possible, information be made
5 available to USDA on a government-to-government basis --
6 excuse me -- and whenever possible, the interventions
7 used during the production of the raw material. Such
8 intervention information may be available to USDA on a
9 government-to-government basis from the -- that should
10 be from the exporting country. Sampling frequency
11 should be related to import volume, specifically; fresh
12 product needs to be included in the design of the
13 imported product case study. The Committee recognizes
14 that cheek and head meat are generally not used in large
15 quantities for ground beef production, especially by
16 large establishments, therefore, we encourage FSIS to
17 gain a better understanding of the production and
18 disposition of these products. It may be that a single
19 component of this category, such as head meat, would be
20 a good indicator for the overall category. This would
21 be an excellent category for which a pilot study should
22 be undertaken before embarking on a baseline study. And
23 then finally, for question one, the Committee recommends
24 that consideration be given to linking -- to the linking

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 of samples, i.e. trim and subprimals and head and cheek
2 meat and weasand meat from the same lot of animals. The
3 Committee recognizes that this may be premature. It
4 could provide useful information in future baseline
5 studies. Moving on to question two -- and I'm assuming
6 that we're agreeing with the wording -- protocols for
7 collecting samples for RGBC. We made four specific
8 recommendations. For domestic trim and subprimals, FSIS
9 should proportionately allocate by production volume the
10 total number of samples to three classes of trim, e.g.
11 low fat, greater than 90 percent lean, medium fat, less
12 than 90 percent and greater than 50 percent and high
13 fat, 50-50. Proportionality relative to the production
14 volume should be used to determine the numbers of
15 samples to be taken from each selected establishment,
16 monthly over a 12-month period and from each region, and
17 some minimum number of samples to be determined by FSIS
18 required from each region -- excuse me -- for each --
19 from each month/region. Inspectors should specify
20 estimated lean content of combo bins when not identified
21 by the plant based on FSIS examples to be developed
22 regarding subprimal cuts, knuckles, claws, chucks,
23 grounds and skirts. Further, the Committee recommends
24 that FSIS consider age of the animal as an additional

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 stratifying factor for sample collection. Anna?

2 DR. LAMMERDING: Maybe your -- I mean, do you
3 think we should include in this sentence age of animal
4 less than 30 months, over 30 months as a clarifying
5 statement?

6 MR. GARRETT: I brought that up in the
7 Subcommittee meeting -- frankly, I think you probably
8 should. If there is, in fact, a -- I mean, 30 is the
9 magic number. It's kind of like 3.6 *E. coli*, you know,
10 is a magic number. Detectable limit. So I would
11 mention it, but I'd be guided by the will of the
12 Committee.

13 DR. ENGELJOHN: This is Engeljohn with FSIS.
14 Let me just point out that in the general
15 recommendations -- it specifically stated there that the
16 age of an animal would be over/under 30 months, so I
17 don't think it's necessary, but it certainly doesn't hurt
18 to repeat it, either.

19 DR. LAMMERDING: Anna Lammerding, Health
20 Canada. I'll leave it up to you, Dan. Just to clarify
21 -- we don't want to know how -- as you said, travel
22 passport of an animal, necessarily -- we don't need to
23 know the exact ages, it's that cut-off limit, and if it's
24 clear enough, once it's defined in the general

1 recommendations, then I'll go with that.

2 MR. GARRETT: And I think also -- I think we
3 should remember that they're also going to be
4 recommending -- and we'll get to that in just a minute.

5 There'll be inspectors' instructions and inspector
6 training, videos and CDs made, too, so I think it would
7 be well captured, frankly.

8 DR. ENGELJOHN: This is Engeljohn again. I
9 think for transparency and the fact that the question
10 was raised, that -- it's easy just to go ahead and add
11 it in.

12 MR. GARRETT: Okay.

13 DR. ENGELJOHN: So let's just add it.

14 MR. GARRETT: So then, Anna -- since she
15 brought it up, where would you like for it to go?

16 DR. LAMMERDING: I went over -- it specified
17 the age of the animal and I think they have that in a
18 couple of categories.

19 MR. GARRETT: E.g., 31.

20 DR. LAMMERDING: It just -- they were over or
21 under...

22 MR. GARRETT: Above and below -- older and
23 younger than 30 months.

24 DR. LAMMERDING: Correct.

1 MR. GARRETT: Okay. Thank you. The next
2 specific recommendation under question two. For AMR and
3 LTRP, the Committee recommends that the samples be
4 allocated by production volume and stratified by region
5 and month with some minimum number to be determined by
6 FSIS for each region/month. Further, the Committee
7 recommends that FSIS consider age of the animal -- what
8 have I done? I repeated it, yeah. As an additional
9 stratifying factor for sample collection. And we'll
10 repeat that, okay? Turning then, on to page seven, the
11 next recommendation was for weasand meat. Weasand, head
12 and cheek meat, FSIS has -- proportion to be allocated
13 by production volume the total number of samples to each
14 component based upon microbiological pilot studies and
15 surveys. And we recommended that earlier.
16 Proportionality relative to production and volume should
17 be used to determine the number of samples to be taken
18 from each selected establishment, monthly over 12 month
19 period from each region with some minimum number of
20 samples to be determined by FSIS required from each
21 month/region. Further, the Committee recommends that
22 FSIS consider age of the animal as an additional
23 stratifying factor for sample collection. And we'll add
24 the over and under 30.

1 DR. PIERSON: Spencer?

2 MR. GARRETT: Um-hum?

3 DR. PIERSON: Merle Pierson. I have a
4 question then for the meat industry folks here. When it
5 comes to, you know, we say it should consider age of
6 animal, but if when we say over and under 30 months of
7 age, is that data, in fact, easily collected? What's
8 the difficulty in collecting that kind of information?

9 DR. SEWARD: This is Skip Seward with the
10 American Meat Institute. I think in some cases it would
11 be obtainable and in some cases it might be more
12 difficult. I think it will depend when the inspectors
13 charged with collecting that sample, if there's
14 communication between them and the establishment, that
15 that would help facilitate making sure that that
16 information is available for the particular lot of
17 animals which are being sampled. So I would say in most
18 cases that is going to be able to be done, but they'd
19 want to know in advance of that sampling effort so they
20 could adequately conduct that on the animals that would
21 be slaughtered. Especially if you're looking at
22 harvesting or sampling products from that animal that
23 was slaughtered the day before, for example, obviously
24 you need to make that assessment at the point of

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 slaughter, not the day after at the carcass level or at
2 the fat level. Does that answer your question, Dr.
3 Pierson?

4 DR. PIERSON: Um-hum.

5 MR. GARRETT: Dr. Lammerding?

6 DR. LAMMERDING: Anna Lammerding, Health
7 Canada. The Subcommittee deliberated on this, I mean,
8 because there have been differences noted between cows,
9 bulls, steers, heifers and calves, and we wanted to make
10 it as simplified as possible and I think simply because
11 of the BSE, and we talked about age of animals and
12 categories that we came to the conclusion or -- yeah,
13 conclusion that thing of 30 months cutoff, upper, under
14 was an easy cut. When the information's available. Is
15 that -- Skip, is that right? I mean -- as easy as
16 possible. We didn't want to complicate things too much.

17 MR. GARRETT: Any other comment? Moving on
18 then, to the last recommendation under question two.
19 Inspectors should collect composite samples as described
20 in appendix one up to a minimum of four pounds in the
21 plant, gently mix and divide into two portions and ship
22 to FSIS and contract labs. Appendix one, you'll notice
23 the footnote. There is a proposed instruction to
24 inspectors on how to do the sampling, collecting the

1 sample, determining the numbers, samples to take, and
2 this document now will have to be changed as a result of
3 the deliberations of our Committee that we're talking
4 about now, so FSIS will then provide the appropriate
5 reference when they change the document.

6 DR. LUCHANSKY: Spencer?

7 MR. GARRETT: Um-hum?

8 DR. LUCHANSKY: John Luchansky. I'm just
9 curious to note in the appendix -- I didn't have time to
10 go through all of it. Was there a piece in there about
11 how -- or what will be sampled, you know, we talked
12 about the core, purge, trends -- I don't know if I saw
13 that in that appendix.

14 MR. GARRETT: I think it's also coming later,
15 slice.

16 DR. LUCHANSKY: It's going to be slice?

17 MR. GARRETT: Yeah, um-hum. But yes, it will
18 be described in -- any other questions? Moving on to
19 question three. Sampling plan design -- although
20 sampling plan design for RGBC baselines is incomplete,
21 pending the results of the two surveys mentioned, what
22 are the most important elements to consider? We've made
23 five specific recommendations. The first, the Committee
24 recommends the use of probability sampling techniques,

1 e.g., stratified random sampling by month and region to
2 assist in obtaining representative samples for each
3 month/region. Secondly, for allocation of the number of
4 samples to be taken from each plant by month and region.
5 Refer to the first three specific recommendations under
6 question two. We just didn't want to repeat them again.
7 Three, the Committee recommends that the agency provide
8 a transparent document that explains how the total
9 number of samples were determined and identify how the
10 number of samples are to be allocated in the
11 establishments. And then we go on to indicate that the
12 Committee notes that the FSIS has plans to test
13 approximately 2000 samples of each category for all of
14 the listed pathogens and indicators, appears to be based
15 on the expected low prevalence of *E. coli* O157:H7. The
16 Committee noted that variation due to regionality and
17 seasonality could be significant factors in determining
18 the number of required samples for each component
19 category. We also made a recommendation, the
20 statistical estimation procedures used to provide the
21 prevalence estimates and their standard errors be based
22 on the methods used by FSIS for the 1993-1994 program
23 product microbiological survey data to increase the
24 sensitivity of the statistical hypothesis testing,

1 applications, and the precision of estimates of
2 prevalence. FSIS should also aggregate the monthly data
3 in each region to quarterly in -- seasonal groupings,
4 groups. And then finally, under question three, the
5 Committee recommends that FSIS consider the collection
6 of additional samples to account for possible high
7 numbers of discards and non-return rates, which you
8 always have in national sampling programs. Any questions?

9 Without exception, moving to question four. Selected
10 test organisms for RGBC baselines. We have one specific
11 recommendation and one suggestion. We recommend that
12 the following organisms be selected by FSIS for the
13 baseline studies. That *E. coli* 0157:H7 and also 0157,
14 the nonmotile strains. Secondly, *Salmonella*, thirdly,
15 generic *E. coli*, fourthly, total coliforms, fifthly,
16 Enterobacteriaceae and then finally, aerobic plate
17 counts. Also, we suggest that FSIS should consider the
18 development of a protocol to investigate the prevalence
19 of non-0157 STECs, particularly 0111, 026, 0103, 0121
20 and 0145. There may be an opportunity to incorporate
21 such an investigation into the baseline surveys. As
22 chairman, seeing no objection, we submit our report. It
23 would be our intent, as the next step now, to make the
24 technical corrections that we've done here and then

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 submit it formally to FSIS.

2 DR. PIERSON: Okay.

3 MR. GARRETT: Secondly, let me say we also
4 made progress on our original charge, and though we did
5 not complete that, we did get through the first six
6 pages -- eight pages and what we're looking for the
7 Committee's -- for the Subcommittee's understanding, what
8 we'll be doing will be taking everybody's comments that
9 we've already received, plus what we already did and get
10 that back out in a couple of weeks so the Subcommittee
11 can continue working on that. Thank you, Mr. Chairman.

12 DR. PIERSON: Thank you, Spencer. So your
13 intent is that we adopt? -- the Committee adopts this
14 report without objection and that you'll be making
15 technical corrections for...

16 MR. GARRETT: And just the notations we've
17 made.

18 DR. PIERSON: Do any Committee members object?
19 Okay. So we need a motion to adopt without objection.

20 DR. SWANSON: First motion.

21 DR. PIERSON: Is there a second?

22 DR. KVENBERG: Second.

23 DR. PIERSON: Okay. Are you all in favor?

24 MS. RANSOM: Should we get the names for the

1 record?

2 DR. SWANSON: Katie Swanson.

3 DR. KVENBERG: John Kvenberg, second.

4 DR. KVENBERG: Mr. Chairman?

5 DR. PIERSON: Yes?

6 DR. KVENBERG: If that matter of business is
7 closed, I would just like to mention one thing before
8 you move on your agenda.

9 DR. PIERSON: Certainly.

10 DR. KVENBERG: Thank you. At the -- I think
11 that the working group should be applauded for work and
12 being very adaptive this week and especially give
13 recognition to its chairman. The National Advisory
14 Committee, being a -- somewhat agent now and as a group
15 that has its own traditions, I would address -- recall
16 to many plenary sessions ago when I was a working group
17 chairman on a particular thorny issue, that the fail
18 factor was also associated at that time and you made
19 mention earlier, during this week's deliberations that
20 you were given a new charge and you were moving onward,
21 so in the traditions of this Committee and plenary
22 session, I'd like to present this award on behalf of
23 this group, the coveted "Onward through the Fog Award".

24 MR. GARRETT: I accept this award as a mystery

1 in the midst of the fog in which it's offered. I like
2 that.

3 MS. RANSOM: Can I order a dozen of those,
4 please?

5 MR. GARRETT: Thank you very much.

6 DR. PIERSON: Well, Spencer, congratulations
7 on your most well-deserved award and again, we thank you
8 for your excellent work and the Subcommittee's excellent
9 work and has been very expeditious in addressing this
10 charge. So with that, I'd like to turn the floor over
11 to Don Zink and he'll report on the Shelf-Life Based on
12 Safety Subcommittee.

13 DR. ZINK: I'm going to be very brief. Our
14 Safety-Based Date labeling document is still a work-in-
15 progress, but we've made quite a bit of progress since
16 our last meeting. The document is mostly complete as
17 far as writing assignments go. We have some additional
18 work to do -- considerable work to do with the
19 epidemiologic data. One of the things the Committee did
20 this session was to go through CDC food commodity codes
21 item by item and determine which we considered ready-to-
22 eat foods that could support the growth of
23 psychrotrophic pathogens and we'll be going back to CDC
24 asking for data that encompasses all of these food items

1 and that will help us better assess safety-based date
2 labeling. It might have an impact on public health
3 outcomes. We still have a few writing assignments to go
4 to flesh out some sections that are pretty thin or that
5 have gaps. I think that we would like to meet in the
6 next session for perhaps two days. I think that I'm not
7 going to make any great predictions, but I think with a
8 couple of days more work can -- provided -- that we can
9 do quite a bit of work between meetings, that we should
10 have a draft document for the full Committee after then.

11 Thank you.

12 DR. PIERSON: Thank you. What we can do now
13 -- we're well ahead of time. Why don't we -- let's go
14 ahead and hear from John Kvenberg and his Subcommittee
15 and the after that we'll take a 15 minute break and then
16 following that, we'll have public comment.

17 DR. KVENBERG: Thank you, Chairman. Well, as
18 I reported at the initial meeting of the plenary
19 session, this working group met on Monday and I was
20 going to expand somewhat a little bit more during the
21 time allotted this time to brief the full Committee on
22 the activities of the working group on pasteurization.
23 We had asked for and received -- and I want to thank the
24 working group for the hard work that was put into a

1 review of the technologies that was put forward. We
2 discussed those documents and they were made available
3 to the full Committee and to the observers of this
4 Committee and in our review of those documents, I will
5 go through briefly the considerations of the working
6 group in our next steps. One of the issues that we
7 dealt with was what we needed to -- we considered what
8 we needed to be a working definition of the term
9 pasteurization and I'll just read out to you what I
10 think the consensus language of that was in the view of
11 the working group at the time. Pasteurization, for the
12 purposes of our consideration, we are considering is the
13 process or treatment or combination thereof that is
14 supplied to reduce -- an added word -- to the most
15 significant microorganism of public health significance
16 to a level that is not likely to present a public health
17 risk throughout the shelf-life of a food under normal
18 and moderate abuse conditions. Now that being said, the
19 reason for that modification was the initial language
20 that was provided in the act that modified the Food and
21 Drug Administration's language basically prescribed for
22 a process that is reasonably certain to achieve
23 destruction or elimination in the food of the most
24 resistant microorganism of public health significance.

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 And it was the considered opinion of the working group
2 that what actually intends is below the level of
3 detection because you have the log reduction process, if
4 I'm expressing the views of the working group correctly.

5 And further on in the act that was passed, it basically
6 goes on to say for a period of time that is effective
7 for at least as long as the shelf-life of a food when
8 stored under normal and moderate abuse conditions and in
9 the language that was provided in the act actually
10 acknowledges it's a log reduction issue, so I wanted to
11 make you aware of that. The technologies that we
12 reviewed were microwave and radio frequency and we
13 received a report that was put together by Dr. Lee-Ann
14 Jaykus and Stephanie Doores, ohmic and conductive
15 heating, which was reported by Roberta -- Dr. Roberta
16 Morales. Dan Engeljohn was also assigned to that
17 particular group. High-pressure processing was reported
18 on by Dr. Carol Maddox. Collaborating on that is Dr.
19 Cathy Donnelly, Dan Engeljohn and Angela Ruple. Pulse
20 electric field and high-voltage arc was reported on by
21 Dr. Anna Lammerding. Pulsed-light was an effort Dr.
22 Kathy Swanson -- Katie Swanson -- and Jenny Scott.
23 Oscillating magnetic fields, again, Dr. Lammerding.
24 Ultra-violet light and again, that was a task that was

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 addressed by Dr. Swanson and Jenny Scott. Ultra-sound
2 was reported on by Dr. Larry Beuchat. X-ray and
3 irradiation, Skip Seward, along with steam and hot
4 water, which was identified and chemicals, which was
5 reported also by Skip and Dan Engeljohn were in
6 collaboration on the chemicals. So that was an overview
7 of the technologies that we had addressed. Our next
8 step is -- I announced before -- was intention -- at
9 least some of the working group members to take a visit
10 to Chicago before the end of the fiscal year to the
11 National Center for Food Safety and Technology and in
12 regard to that, one visit is being proposed and I
13 believe if we'd be able to coordinate that with a food
14 processor that is dealing with E-beam irradiation so
15 that the working group can take a look at that
16 particular technology. Again, I express our desire and
17 we'll wait until the calendars are reviewed on
18 scheduling of an additional working group session of
19 this group next to further address what the further
20 development of a draft document on this recommendation
21 would be. We would definitely need a week's working
22 group to do that and I'll wait for coordination of the
23 timing vocation of that. One of the things that the
24 working group was struggling with that I think I should

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 put forward is the definition, per se, of what we're
2 trying to deal with these various technologies and I'd
3 like to get a consensus, I guess, from -- specifically,
4 the Vice-chair on the concept of what constitutes
5 pasteurization itself. I think we struggled quite a bit
6 with that concept and in consultation, we had drawn back
7 to the language of the
8 -- which you'll find in the pasteurized milk ordinance
9 that speaks to every particle of the food having the --
10 the process reach to it and that may be a guiding thing
11 that may help this working group, if that's agreed upon.
12 That would be -- because some of the technologies that
13 we were looking at did have an application to surface
14 disinfection or surface pasteurization, if you will,
15 that didn't go to every particle of the commodity and
16 further, I guess, is in examining the charge, I think
17 there is great utility in examining the latter issue,
18 but as it fits to full pasteurization, it may not be the
19 same issue. So if the working group has the latitude to
20 explore these technologies in light of what separate
21 applications that would be useful. So I guess I will
22 just leave it at that, but that's our current -- at
23 least, trying to lift our fog factor, if you will, on
24 exactly what our charge would be. I'd like to go on a

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 bit and then we can come back to that question to see if
2 we're on the right track. In addition, the working
3 group was wondering what our next steps ought to be
4 relative to the questions that were posed -- and I will
5 go through very briefly just to give you a feeling for
6 some of the comments that were put forth on the
7 technologies that we did evaluate. But in essence, it's
8 a very daunting task to evaluate exactly what our
9 recommendation ought to be for which organism depending
10 on which technology and it's further confounded by the
11 fact that multiple technologies might provide a hurdle
12 barrier in order to achieve the intended result at the
13 end, so whatever recommendations we come up with in this
14 working group, we have to sort through: are we going to
15 take certain technologies that would apply time and
16 temperature as traditionally known, even if it's
17 introduced by a different method versus another
18 technology that doesn't use heat and the time of heat
19 dwell in the actual technology because the organisms
20 that may become less resistant will then change and the
21 way you measure that would change. In the simplest
22 sense, I guess we're looking at what goes into the
23 process and what kind of reduction you achieve at the
24 other end of the process, that was proposed. Further,

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 the working group does not have a -- we have reviewed
2 the certain requirements, be they called process
3 requirements or -- I don't call them food safety
4 objectives necessarily, but the certain requirements
5 normally expressed in D-value reductions of certain
6 processes that define -- for instance, you have this in
7 low-acid canned foods, you have it in the pasteurization
8 process of milk, pasteurization process of eggs and the
9 reduction value is measured in order to define
10 pasteurization is variable in its destruction value and
11 variable in organisms, so that's rather an overview of
12 what we're facing. That being said, just let me go
13 through some -- as an example, a few questions that the
14 Committee had received in reviewing the reports. The
15 first question we were asked is: "What were the
16 scientific criteria that should be used to determine if
17 a process is equivalent to pasteurization?", and the
18 comments were reviewed by the Committee in light of
19 looking at high-pressure technology in this case. We
20 felt that one of the areas we would be working in is the
21 scientific criteria to be used to determine the process
22 should be easily measured. I think that may cut across.
23 The validation is product and organism-specific,
24 another cross-cutter. No guidance exists, but we can be

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 -- we can basically find out if validation can be
2 conducted through microbiological experimentations or
3 biological challenges to this. Again, there's no road
4 map to exactly what would constitute a valid methodology
5 to determine the technology, just as -- I'm just picking
6 on high-pressure because a lot of discussion was based
7 on that. And then the next question that was asked is:
8 "What if any further research is needed to determine the
9 criteria?", and I think we're on soundest ground when
10 you have time and temperature with heat associated with
11 the group. That seemed to be what our working group
12 came down to is we find comfortable ground where there
13 is a lot of prior history in publications, but where no
14 standardization exists, I think we need guidelines to
15 how to -- and research, perhaps if there's a lacking
16 void in to how you standardize publications so we can
17 assess better what it is that is actually being
18 measured. Another area of research -- and I'll use
19 high-pressure is somewhat far along, but again, if we go
20 back to the idea of pasteurizing a particular product
21 and we buy into the concept that it has to reach the
22 entire food, we have uniform application. In the case
23 of high-pressure, the group is looking at yes, the
24 pressure is uniform and probably will get throughout the

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 process and to the core of the food to be examined. We
2 had certain questions when it came to processes such as
3 microwave technology and perhaps irradiation if you had
4 cold spots or shadowy-type effects to where research may
5 be needed, or at least protocols need to be developed so
6 that there is an assurance that the intended effect was
7 achieved. Third question that we were asked is what's
8 the most resistant microorganism of public health
9 concern for each process and I will digress a little bit
10 from high-pressure, but mention that as one of the major
11 ones. Dr. Lammerding pointed out in -- was it pulse
12 light? I think one of the discussions we had that the
13 technology there, again, would -- we have a void of
14 actually understanding what the most resistant organism
15 would be relative to the mode of action of what the
16 technology applies to it. In that case, there's a cell
17 membrane destruction and the organism expires in that
18 case, so there's work to be considered on the resistant
19 microorganism dependent on the technologies that we put
20 forward. We were asked about the data needs that would
21 be needed to scientifically acquire and validate the
22 adequacy of the proposed technology and here again,
23 depending on which technology you have, I think there's
24 a desire from the working group to come forward with --

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 there needs to be some scientific basis on how you
2 evaluate current studies or put forth new ones to verify
3 and validate the adequacy of a proposed technology so
4 the rules are the same and uniformly applied. The fifth
5 question was: "What biological hazards might be created
6 as a consequence of pasteurization with the treatment?"
7 -- and you may recall, I asked for clarification of the
8 Chair that that was limited to the actual microbial
9 hazards that might have some competitive advantage.
10 Here again, depending on the technology that you're
11 looking at, biological hazards through lack of
12 competition or injury repair and recovery may play
13 depending on the technology that you have. In the
14 instance of the initial document that I was talking
15 about, which is high-pressure, you may not have the
16 issue of reintroduction of pathogens if you have -- if
17 you can apply a treatment, such as high-pressure to a --
18 through a final package that is already sealed up to
19 where you don't have cross-contamination coming back,
20 but any pasteurization process that is vulnerable to
21 post-pasteurization recontamination would present that
22 hazard, but sort of what I think the Committee was
23 looking at. The last question we were asked basically
24 needs a lot more work before we move on. What types of

1 commodities could be used to achieve the pasteurization
2 to be applied. This is going to take some work
3 depending on the technology or combination of
4 technologies that we've been faced with. That's an
5 overview, Chairman, of the progress of the workgroup.
6 Thank you.

7 DR. PIERSON: Thank you for your report, John,
8 and what we'll do now is we'll take a 15 minute break.
9 We'll reconvene at 10:00 a.m.

10 MR. GARRETT: Mr. Chairman.

11 DR. PIERSON: Yes?

12 MR. GARRETT: Before we take a break, I'd like
13 to make one observation on the report.

14 DR. PIERSON: Sure.

15 MR. GARRETT: And I make this observation as
16 the chairman of the Validation/Verification Subcommittee
17 of the Interstate Shellfish Sanitation Conference, which
18 was just adopted in Oregon three weeks ago? Our report
19 dealing with how you go about validating, if you would,
20 a -- in fact, pasteurization or how you'd define
21 pasteurization. And as we had our deliberations, we
22 were assisted, certainly, by FDA and the statisticians
23 of FDA, Dr. Blodgett, Dr. Rainosek, our statistician,
24 and they did a very elegant piece of work with how to

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 deal with if you're talking about non-detectable levels
2 and the method requires an MPN measurement, a most
3 probable number measurement, which is merely a
4 population estimate itself, but with wide variation.
5 How, in fact, you would determine the number of samples
6 to actually take to insure that you were way, way, way,
7 way below the infectious dose of a particular organism
8 and so I thought we would bring that information forward
9 to your Subcommittee, John, to -- it might be helpful in
10 some of your considerations. Thank you.

11 DR. KVENBERG: Mr. Chairman, if I could --
12 it's John Kvenberg again -- I don't know what the agenda
13 is, but I think it would be appreciated by the working
14 group that at some point before we adjourn from plenary
15 session any comments that the Committee may have for
16 guidance on our next proceedings on what we reported on
17 so far. If I could also address some of Spencer's
18 concerns. Just to underscore part of our lack of
19 clarity, we're moving -- in the case of shellfish, which
20 a lot of work was done relative to how you validate it.
21 Here, again, it underscores the question that we were
22 asked, which I'm -- we're trying to remain focused on,
23 which is what constitutes proper application of the term
24 pasteurization? In a particular instance that was

1 mentioned, the Subcommittee did look at, as a potential
2 model for review, the situation of shellfish
3 commodities, as well. In that regard, they're looking
4 at a specific pathogen - *Vibrio vulnificus* in that
5 particular case to provide a treatment that would reduce
6 to whatever level that would be considered safe, but
7 that does not -- I don't think -- going to the thinking
8 that we're putting forward here constitute in itself a
9 pasteurization process in the normally understood terms
10 of the word, because the various technologies are aimed
11 pathogen reduction to provide additional safety. That's
12 why I mentioned on the front end of the charge that it
13 may be useful for this group to consider one example of
14 it that the recommendation of the charge for
15 pasteurization itself might be one thing, but pathogen
16 reduction technologies is a subset that may not come up
17 to pasteurization. Thank you.

18 MR. GARRETT: Just a follow-up. That
19 particular...

20 DR. PIERSON: That's Spencer Garrett that's
21 following on.

22 MR. GARRETT: That's Spencer Garrett, National
23 Marine Fisheries Service. The -- just so I'm not
24 misunderstood when I said way below infectious dose.

1 It's actually at nondetectable levels in the case of one
2 of those treatments or actually, all of those
3 treatments. So it is reduction to nondetectable levels
4 as defined by one, methodology, and two, the sample
5 plan.

6 DR. PIERSON: Okay.

7 MR. GARRETT: But nevertheless, we'll make all
8 that information available to you and do -- do with it
9 what you will.

10 DR. PIERSON: Okay, now we'll take the 15
11 minute break and then what we'll do afterwards is come
12 back and have comments, and John, your Subcommittee...

13 ***

14 [Off the Record]

15 [On the Record]

16 ***

17 DR. PIERSON: Let us go ahead and reconvene.
18 I know -- John Kvenberg has come back? We don't have
19 John here. Is he coming? Okay. I know that the charge
20 John's Subcommittee has is a very challenging one and
21 one that is rather complex. There was a report done for
22 FDA two, three years ago on these technologies that he's
23 talking about and you know, they're cutting-edge
24 technologies and since that time there's been a

1 considerable amount of work that has been done and when
2 you have developing -- scientific information such as
3 that, it's a challenge then to try to filter all that
4 out and make heads or tails out of it and answer those
5 questions. I feel we can certainly appreciate the
6 challenge that the Subcommittee has. Given that, I'd
7 like to know if there's any comment that the full
8 Committee has relative to the charge and where they're
9 headed. Question?

10 MS. SCOTT: Jenny Scott, NFPA. Just a brief
11 comment about the comment John made about considering
12 application of the treatment to every particle in the
13 food. We're going to have to think about that a little
14 bit in our Subcommittee because I know we do want to
15 consider surface pasteurization treatments for some
16 types of products and we wouldn't want to rule that out
17 and I think ultimately, what we're trying to get at is
18 that the products have the same level of safety
19 regardless of what process is used to provide that.

20 DR. KVENBERG: Jenny, one of the things the
21 Committee might want to consider is perhaps, rather than
22 every particle, to every particle that might reasonably
23 be contaminated with a pathogen.

24 DR. PIERSON: And yeah, I know too, some of

1 these technologies, for example -- and you have this
2 surface treatment, but also somewhere you're even
3 treating fluids within some type of compartment. There
4 is a distribution of the dose of that treatment within
5 that particular chamber and so not all particles get
6 equal -- get the equal effect. Any other comments?
7 John, do you have any specific questions that you'd like
8 to raise?

9 DR. KVENBERG: At this point, I do not unless
10 -- I don't see any hands coming up from the rest of the
11 working group. I don't have any further at all.

12 DR. PIERSON: Okay. If not, I'd like to move
13 to open the floor to public comment. We had one person
14 to sign up, Sam Ankrah. Sam, are you here? Let's see,
15 I think our limitation is 10 minutes. Pardon? Yeah,
16 and he, of course, is again -- identify yourself again
17 and then your affiliation and then you have 10 minutes
18 or less. Thank you.

19 DR. ANKRAH: My name is Sam Ankrah from
20 Virginia Tech. I work for the Center for Food
21 Nutrition....Alexandria, Virginia. -- I think the
22 Committee has done great work and I have to compliment
23 the Committee for that. I have two questions. My
24 question is actually towards the sampling and the size

1 of the sample, so it's mainly directed towards the
2 statisticians, so in your draft report on the Risk
3 Assessment for *E. coli* O157:H7 which I read on the web-
4 -- the plan was based on information from the CDC
5 sentinel sites and if you look at the sampling plan, it
6 is actually very broad and it has no statistical
7 validity at all because of the sample size. Now, if the
8 samples -- if your sampling is based on that
9 information, which is ultimately based on the CDC
10 sampling plan, then I think you may want to take a
11 second look at the sampling that you have. Secondly,
12 increasing the sample size to, I think...

13 DR. PIERSON: Could I ask you a question? You
14 said the risk assessment on *E. coli*?

15 MR. ANKRAH: Yes, sir.

16 DR. PIERSON: The Committee wouldn't have done
17 a risk assessment on *E. coli*...

18 DR. PIERSON: What are you referring to?

19 MR. ANKRAH: The FSIS report. FSIS has a
20 report on the risk assessment of *E. coli*.

21 DR. PIERSON: The draft risk assessment?

22 MR. ANKRAH: Um-hum.

23 DR. PIERSON: That's FSIS and...

24 MR. ANKRAH: Right.

1 DR. PIERSON: ... that would not be this
2 Committee.

3 MR. ANKRAH: Okay. I'm sorry. Okay, this
4 Committee is actually going to take 2000 samples and
5 first of all, I wanted to find out from how many plants,
6 how many retail stores? Is it 2000 samples coming from
7 -- secondly, you mentioned using a random sample, a
8 technique actually to collect the data. The question is
9 if you have visited 1,900 plants, about 100,000 retail
10 stores and by using sampling -- random sampling to
11 collect your data, I think that's a problem right there.

12 I don't know how many -- the 2000 divided among so that
13 no more plants and no more retail stores. I think you
14 may want to consider comparing the random sampling
15 technique with other competing sampling that takes up
16 the other. Thank you.

17 DR. PIERSON: Okay. Thank you. Before we're
18 done -- you have your report we've adopted and the FSIS
19 would take these comments into consideration in
20 conducting their baselines. So do we have any other --
21 we don't have any other registered public comments. I
22 would still keep the floor open for any other public
23 comments. Spencer's going to make a comment as a
24 Committee member or as a public...

1 MR. GARRETT: No, just before you adjourn, I
2 would like to make a comment.

3 DR. PIERSON: Okay. I guess next on the
4 docket is to adjourn. I -- so I'll give you the last
5 second just before we adjourn. First of all, I want to
6 thank you very much for your excellent work and I thank
7 very much Gerri Ransom and Karen Thomas for the
8 organization of this meeting, making sure that we have
9 the facilities and things go well and smoothly and that
10 you have all the documents that you need for conducting
11 your work. You know, it's a challenge always to find a
12 place or venue to have meetings and this is -- I don't
13 recall when we'd ever had anything in this hotel, so it
14 was a different venue. If you have comments or
15 whatever, let Gerri know and that helps in our further
16 consideration of venues. The next full Committee will
17 be what? February 9, 2004 and we're trying to work out
18 the location for that Committee, taking various factors
19 into consideration, but we'll let you know at a later
20 date the location. In the interim, though, we
21 anticipate there will probably be Subcommittee meetings
22 and of course, the chairs and Gerri and Karen will be
23 coordinating that. You will be submitting your
24 calendars to Karen so that she can get the scheduling

1 worked out. There is a lot of work on the -- placed on
2 all three Subcommittees and so again quite a bit before
3 them and we look forward to their answers and their
4 colleagues in FDA, as well as us, we always like the
5 answers yesterday that we can use the answers as quick
6 as you can get them, but you have to give them all due
7 consideration, deliberation and we do appreciate your
8 conscientious work. Here again, I very much thank all
9 the Committee members for their work. This takes a lot
10 of time out of your activities and I want to reassure
11 you that this work does not go unnoticed. We do take it
12 very seriously and do use it as a -- as an important
13 reference and -- in our deliberations and provides a
14 good scientific analysis of the issues. And with that,
15 Bob, if -- let's see, if I -- I don't have to give it to
16 Spencer now or give the floor to...

17 MR. GARRETT: I'd be remiss if I didn't say
18 that I engage in a lot of different activities and I
19 must say I consider this the most intellectual one I do
20 and truly, it's a delight to, you know, when you're a
21 manager you don't often get a chance to have these
22 intellectual discussions, if you catch my drift, you
23 know. But -- so you know, and I'm a person who doesn't
24 take praise well, but I'd certainly be remiss if I didn't

1 also ask that we also thank Emille Cole, sitting on my
2 right, who's our Special Assistant for Extra Activities
3 and Programs and also, Barbara Comstock, who's our
4 Visual Information Specialist...

5 ***

6 [Tape 2]

7 ***

8 MR. GARRETT: ...a great staff and I'd just
9 like to publicly -- thank them.

10 DR. PIERSON: Well, Bob, do you have anything
11 you'd like to say?

12 DR. BRACKETT: I guess I would just like to
13 thank the Committee, as well. Each one of you was
14 specifically chosen and picked to be on this Committee
15 because of your expertise and your experience and I've
16 been here as much as I could every day to notice how
17 hard you work and it is difficult to get away to do
18 something this long of a time period away from your
19 offices and it is appreciated.

20 DR. PIERSON: Well, with that, I will wish you
21 a very wonderful weekend and a very safe trip back home.
22 The meeting is hereby adjourned. Thank you. 10:20AM.

23 ***

24

1 CERTIFICATE OF REPORTER, TRANSCRIBER AND PROOFREADER

2

3

4 IN RE: NATIONAL ADVISORY COMMITTEE ON MICROBIOLOGICAL
5 CRITERIA FOR FOODS PLENARY SESSION

6

7 HELD AT: WASHINGTON, DC

8

9 DATE: August 22, 2003

10

11 We, the undersigned, do hereby certify that the
12 foregoing pages, numbered 1 through 54, inclusive, are
13 the true, accurate and complete transcript prepared from
14 the reporting by the reporter in attendance at the above
15 identified hearing, in accordance with applicable
16 provisions of the current USDA contract, and have
17 verified the accuracy of the transcript by (1) comparing
18 the typewritten transcript against the reporting or
19 recording accomplished at the hearings, and (2)
20 comparing the final proofed typewritten transcript
21 against the reporting or recording accomplished at the
22 hearing.

23

24 Date:

25

26 _____
27 Karen D. Martini, Transcriber
28 York Stenographic Services, Inc.

29 Date:

30

31 _____
32 Nancy O'Hare Bowders, Proofreader
33 York Stenographic Services, Inc.

34 Date:

35

36 _____
37 Tim Wagner, Reporter
38 York Stenographic Services, Inc.

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077