

UNITED STATES DEPARTMENT OF AGRICULTURE

+ + +

USE OF WHOLE GENOME SEQUENCE (WGS) ANALYSIS
TO IMPROVE FOOD SAFETY AND PUBLIC HEALTH

+ + +

October 27, 2017

8:00 a.m.

U.S. Department of Agriculture
South Building, Jefferson Auditorium
14th & Independence Avenue, S.W.
Washington, D.C.

USDA:

MS. ROBERTA WAGNER
Assistant Administrator
Office of Policy and Program Development
Food Safety and Inspection Service
U.S. Department of Agriculture

DR. UDAY DESSAI
Senior Public Health Advisor
Office of Public Health Science
Food Safety and Inspection Service
U.S. Department of Agriculture

MR. PAUL KIECKER
Acting Administrator
Food Safety and Inspection Service
U.S. Department of Agriculture

INTERNATIONAL PERSPECTIVES

MS. STEPHANIE HRETZ (Moderator)
Office of Policy and Program Development
Food Safety and Inspection Service
U.S. Department of Agriculture

DR. JORGEN SCHLUNDT
Director
Nanyang Technological University Food
Technology Centre, Singapore

DR. CATHERINE CARRILLO
Scientist
Ottawa Laboratory (Carling)
Canadian Food Inspection Agency

DR. BRENDA MARTINEZ
Agricultural Advisor
Agriculture Office of the Embassy of Mexico

STAKEHOLDER PERSPECTIVES

DR. WILLIAM SHAW (Moderator)
Director, Risk Innovations and Management Staff
Office of Policy and Program Development
Food Safety and Inspection Service
U.S. Department of Agriculture

DR. JENNIFER McENTIRE
Vice President, Food Safety & Technology
United Fresh Produce Association

DR. ANGIE SIEMENS
Vice President, Food Safety, Quality & Regulatory
Cargill Protein Group

DR. MANSOUR SAMADPOUR
President
IEH Laboratory and Consulting Group

DR. ALVIN LEE
Director, Center for Processing Innovation

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

Institute for Food Safety and Health

MS. VANESSA COFFMAN

Fellow, Center for a Livable Future

Johns Hopkins Bloomberg School of Public Health

ROUNDTABLE

DR. MARTIN WIEDMANN

Gellert Family Professor in Food Safety

Cornell University

DR. JOHN BESSER

Deputy Chief, Enteric Diseases Laboratory Branch

Centers for Disease Control and Prevention

DR. STEVEN MUSSER

Deputy Director for Scientific Operations

Center for Food Safety and Applied Nutrition

U.S. Food and Drug Administration

DR. DAVID GOLDMAN

Assistant Administrator

Office of Public Health Science

Food Safety and Inspection Service

U.S. Department of Agriculture

DR. WILLIAM KLIMKE

Senior Scientist

National Center for Biotechnology Information

National Institutes of Health

DR. JORGEN SCHLUNDT

Director

Nanyang Technological University Food

Technology Centre, Singapore

DR. JENNIFER McENTIRE

Vice President, Food Safety & Technology

United Fresh Produce Association

DR. MANSOUR SAMADPOUR

President

IEH Laboratory and Consulting Group

Free State Reporting, Inc.

1378 Cape St. Claire Road

Annapolis, MD 21409

(410) 974-0947

MS. VANESSA COFFMAN
Fellow, Center for a Livable Future
Johns Hopkins Bloomberg School of Public Health

DR. TOMMY WHEELER
Agricultural Research Service
U.S. Department of Agriculture

DR. SUELEE ROBBE-AUSTERMAN
Animal and Plant Health Inspection Service
U.S. Department of Agriculture

PUBLIC COMMENT PERIOD

DR. BETSY BOOREN
OFW Law

MR. STEVEN ROACH
Director, Food Safety Program
Food Animal Concerns Trust (FACT)

ALSO PARTICIPATING

DR. SCOTT UPDIKE
Office of Policy and Program Development
Food Safety and Inspection Service
U.S. Department of Agriculture

DR. PETER EVANS (Moderator)
Office of Policy and Program Development
Food Safety and Inspection Service
U.S. Department of Agriculture

DR. MELANIE ABLEY
Office of Policy and Program Development
Food Safety and Inspection Service
U.S. Department of Agriculture

DR. CHRIS BRADEN
Deputy Director, National Center for Emerging and
Zoonotic Infectious Diseases
Centers for Disease Control and Prevention

DR. NORVAL STRACHAN
Chair in Physics and Chief Scientific Advisor to
Food Standards Scotland
University of Aberdeen

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

INDEX

	PAGE
WELCOME - Ms. Stephanie Hretz	307
INTERNATIONAL PERSPECTIVES	
Use of WGS to Promote International Food Safety: Perspectives and Priorities - Dr. Jorgen Schlundt	310
WGS at Canadian Food Safety Inspection Authority: Perspectives and Priorities - Dr. Catherine Carrillo	341
WGS at Mexico's National Service for Health, Safety and Agrifood Quality (SENASICA): Perspectives and Priorities - Dr. Brenda Martinez	360
Questions/Answers	369
STAKEHOLDER PERSPECTIVES	
Industry Perspectives and Priorities: Food Products - Dr. Jennifer McEntire	395
Industry Perspectives and Priorities: Meat Production - Dr. Angie Siemens	418
The Role of Private Laboratories and Consultants in WGS - Dr. Mansour Samadpour	434
Government-Industry Collaboration - Dr. Alvin Lee	447
Consumer Perspectives and Priorities - Ms. Vanessa Coffman	466
Questions/Answers	477
USDA FSIS POLICY OVERVIEW - Ms. Roberta Wagner	493

INDEX (cont.)

	PAGE
ROUNDTABLE (Academia, FSIS, FDA, CDC, NCBI, International, ARS, APHIS, Industry, Consumer)	527
PUBLIC COMMENT PERIOD	
Dr. Betsy Booren	577
Mr. Steven Roach	579
WRAP UP/NEXT STEPS	
Dr. Uday Dessai	582
Mr. Paul Kiecker	586
ADJOURNMENT	589

M E E T I N G

(8:16 a.m.)

1
2
3 MS. HRETZ: Good morning, guys. I'm going
4 to go ahead and do a little bit of housekeeping
5 before we go into the introductions for the first
6 panel.

7 So my first housekeeping is, if you guys
8 have luggage, we have space along the back wall. We
9 also have space under a table in the atrium, and we
10 will have staff out there all day today, so it will
11 not be unattended. So if you guys had to check out
12 and bring your luggage, feel free to store it in
13 either place or ask any of our folks in the atrium.
14 They can assist you.

15 And then, of course, I want to invite
16 everybody -- I know that we're limited with our
17 setup, and so I invite all of you to sit close
18 together, and to move forward, and to act like you
19 like each other, so that you have a better view, and
20 so that perhaps it's a little bit better. If you
21 happen to be just, you see it perfectly from back
22 there, then you're awesome.

1 And then one more housekeeping
2 announcement, and it's not really housekeeping, but
3 for you guys, at lunch time -- and we'll try to
4 remind you at lunch time -- today, across the street,
5 in the parking lot of the Whitten building, which is
6 the building that's connected to this building by the
7 little bridges, and by a tunnel, in the parking
8 lot -- and you can see it when you walk out of
9 these -- you know, out of Wing 5, you can see it
10 across the street, is the last USDA Farmer's Market
11 of the season.

12 So it's a pretty cool market anyway, but
13 they're doing a lot of extra special fall pumpkin
14 stuff, and some pumpkin soup sampling and lessons,
15 and there's also some different food vendors that set
16 up to make things while you wait.

17 So if that's something you're interested at
18 lunch time, it's a really cool experience. And since
19 you have an hour and a half, you have plenty of time
20 to go around and enjoy all the local vendors. So
21 that'll be a pretty cool opportunity. And it's the
22 last one.

1 So, with that, I want to welcome you guys
2 to the second day of the WGS public meeting. Thank
3 you for all being here. Thank you for taking your
4 time to be with us. This is really going to help us
5 as we go forward, to have gone through all these
6 perspectives, to have this dialogue, and to inform,
7 you know, our decisions, going forward.

8 Today we're going to hear perspectives from
9 the international and national partners and
10 stakeholders. WGS is obviously being employed in
11 many countries and international organizations,
12 including the Global Microbial Identifier (GMI), the
13 World Health Organization, the International
14 Standards Organization, which most of you know as
15 ISO. And everyone is attempting to develop
16 international consensus in this growing field.

17 We've asked representatives from GMI and
18 two major trade partners, Canada and Mexico, to be
19 here today to provide their perspectives on the use
20 of WGS in public health.

21 The speakers are Jorgen Schlundt from GMI,
22 Cathy Carrillo from the Canadian Food Inspection

1 Agency, and Brenda Martinez, who will be speaking for
2 Mexico's National Service for Health Safety and
3 Agrifood Quality, or SENASICA.

4 And again today, to keep up with our
5 ambitious schedule, we will be alerting presenters
6 with our handy-dandy colored sheets of paper, with 10
7 minutes, 5-minute warning, a 1-minute morning, and
8 then a little card to tell you when your time is up.
9 And that's just to keep us on schedule because we've
10 crammed so many wonderful speakers into our day.

11 And then please hold your questions for the
12 end of the presentation, after these three speakers.
13 As we've done yesterday, we'll have a panel, quick
14 Q&A afterward. And then we'll take questions from
15 both in the room and from online.

16 So the last warning is, of course, if
17 you're on the phone listening, please mute your
18 phone, as we're ready to begin. And with that, I'm
19 going to turn it over to Dr. Jorgen Schlundt.

20 DR. SCHLUNDT: Good morning, everyone.
21 It's really, really early. I'm flying in from
22 Singapore, but originally, I'm from Europe, and in

1 Europe we don't start this early. You know, we
2 are -- I mean, generally we are really lazy in
3 Europe, compared to the U.S.

4 So sometimes it's good to come to the U.S.
5 and then see how things are done, in some areas.

6 (Laughter.)

7 DR. SCHLUNDT: So I'm going to talk about
8 this breakthrough that I believe that we are seeing
9 just now, which I think is the biggest thing that has
10 happened in microbiology for more than a hundred
11 years. And I'm not really joking.

12 So next.

13 Yeah. There's going to be a lot of that,
14 so I'll rely on you to move on, I guess. So --

15 But here are some of the things that
16 happened in the good old days. That was when Europe
17 was leading in science. Now, no more. Well, maybe
18 they are, in some areas, but certainly not in all.

19 And if you click next one --

20 I think this is the big thing, and actually
21 the biggest thing since Pasteur, and since Pasteur
22 and Koch started to make pure cultures of

1 microorganisms. Basically we are identifying
2 microorganisms the same way that Pasteur did in --
3 from 1872 or '76 or something like that.

4 So nothing really big has happened since
5 then. I know that we also had some serotyping and
6 things like that, but nothing really big has happened
7 since then. We're still using biochemical testing to
8 identify microorganisms. We've really been slow in
9 microbiology compared to many other areas of science.

10 But now, next-generation sequencing will
11 take us to the next level, in my opinion, especially
12 if we get our act together. And my point is that we
13 are not really getting our act together at the
14 moment.

15 So next. Yeah, next. One more.

16 So these are three statements, and you can
17 just put them up like that, that came out of a
18 meeting.

19 One more, yeah. And one more.

20 We're saying that we now have the
21 possibility to share these DNA data across the
22 Internet in almost real time. And this gives us

1 fantastic new opportunities.

2 And this is a statement from what we now
3 call the first GMI meeting. GMI was not really
4 formed at that time, but that was the first
5 international meeting when we got people together
6 from all over the world, and everybody agreed that
7 this is something that could be done. So that was in
8 2011.

9 Next, please.

10 This is a statement from a smart Mexican
11 guy who worked in Mexico, was Minister of Health in
12 Mexico, was Assistant Director General in WHO, and
13 now Dean, Harvard School of -- I've heard that he's
14 moved on. Maybe he's in Singapore. I don't know.
15 All the good people always end up in Singapore. Or
16 maybe the old worn-out people end up in Singapore.
17 It's more like that.

18 So the advantages that we would see in
19 relation to this, I think I'm listing four. And you
20 can just put them up like that.

21 So we will be able to diagnose, identify,
22 characterize microorganisms in a totally new way.

1 Outbreak investigation, we talked about
2 that several times yesterday.

3 Prevention and surveillance, we have a
4 totally new opportunity in relation to that also at
5 the global level. And then research in general,
6 these are the four that I'm listing.

7 Next.

8 So we should not only think in these areas,
9 because there are a number of other areas where we
10 are also clearly gaining some new momentum by
11 actually using this technology. And the clinical
12 area, I'll get back to that a little bit later on,
13 because the detection possibility that we will have
14 is again, totally new.

15 Metagenomics, we already now have global
16 projects where sewage is analyzed in a small,
17 unimportant country in north of Europe from 80, more
18 than 80 major cities of the world. And in there, you
19 can then find basically everything by metagenomic
20 testing.

21 Imagine what that would mean for global
22 surveillance if it was put into a real global system.

1 It's a really fantastic opportunity. Basically, you
2 just scoop up two liters of sewage from wherever you
3 live, you freeze it to minus 80 and you send it to
4 Copenhagen. I mean, you could also send it somewhere
5 else, but that's where they're testing it.

6 And then they do metagenomic testing and
7 then they put out the results, I hope. I'm not quite
8 sure because Denmark is now trying to keep data, you
9 know, to themselves. I don't -- I mean, I don't
10 know. You don't know anything like that, do you?
11 Keeping data to themselves? No. No, no. That would
12 really be stupid. But, of course, we know Denmark is
13 sometimes stupid.

14 (Laughter.)

15 DR. SCHLUNDT: Linking human and animal
16 pathogens is also really a big thing. Outbreaks,
17 talked about that. Source attribution was already
18 mentioned yesterday, and I'll -- I'm going to also
19 have an example here.

20 Next, please.

21 And then, we don't talk so much about this
22 economical issue, at least not in Europe. I know

1 that sometimes in the U.S. there are some
2 estimations, and basically, they are very, very crude
3 estimations. But just look at this one.

4 We're talking antimicrobial resistance,
5 which most likely, if we don't do anything, will
6 increase tenfold, at least tenfold at the global
7 level by 2050, when we believe that 10 million people
8 will die every year in the world from antimicrobial
9 resistant microorganisms.

10 Just imagine the cost there. This is the
11 cost at this time, so 0.1% of the GDP in the U.S. So
12 10 times that is, I think 1% of the GDP. And this is
13 only AMR.

14 So we're talking about a huge, huge cost,
15 and of course, a lot of dead people. I mean, 10
16 million per year in the world is more than cancer.
17 It's more than cancer. Cancer is 7 million in the
18 world per year.

19 This is according to WHO. We have to
20 believe the data from WHO.

21 Next, please.

22 So we're getting into a system where we

1 have a totally new way of forensics. You all know
2 that. We talked about that yesterday. We can use it
3 for all the different types of microorganisms that we
4 have. We can use the data immediately, almost
5 immediately, if we want to.

6 It's routine in the U.S. Again, I would
7 say the U.S. is ahead of the rest of the world in
8 relation to that. And you can do traceback
9 investigations in totally new ways, something we
10 couldn't do before.

11 Next please.

12 So then people say, but why is that so
13 fantastic? So we've had genomic testing for a long
14 time. So PFGE -- especially CDC will say that, but
15 that's also because it's a fantastic move forward
16 that, again, came out of the U.S. with PFGE. It was
17 fantastic.

18 But that's PFGE. We're just cutting the
19 genome into 20 small pieces. And then we compare the
20 size of these pieces. It's really not the same
21 thing.

22 So, next please.

1 Of course, you know that this is whole
2 genome sequencing.

3 And next again.

4 So I would say that if we compare two
5 isolates with PFGE, it's like comparing two books by
6 comparing the length of the chapters. So if the
7 length of the chapters in the books are the same, we
8 say the books are the same, which is, of course,
9 totally stupid. Maybe not stupid, but it's not so
10 smart.

11 And it would be smarter to actually compare
12 all the letters, and that is what we're doing with
13 next-generation sequencing. And this is why also
14 PulseNet is moving towards next-generation
15 sequencing.

16 Now, they only take small steps in
17 PulseNet, so they only moved to MLST instead of going
18 the full Monty. But that's a long discussion, and we
19 also need to have that at an international level. At
20 some stage, we need to find out why would we not
21 share the full whole genome sequence whenever we are
22 doing whole genome sequencing.

1 Next please.

2 But that discussion has to be done also at
3 the national level, I think.

4 So this is why we want to do this in
5 relation to outbreak.

6 So next.

7 So you will see that when we used PFGE,
8 this is basically when we could actually document
9 where the outbreak would come from in relation to the
10 food, so we are clearly after the outbreak curve. So
11 we are basically really not helping.

12 Next, please.

13 So the -- I mean, the source of
14 contamination only identified out of -- after the
15 outbreak. We have many, many examples like that.

16 Next, please. So next.

17 So if we move here, which we could do with
18 next-generation sequencing, we would be finding the
19 link before the outbreak. Now, would that be a good
20 thing?

21 I hear clear silence in the room. Oh,
22 there's somebody nodding. Fantastic. Thank you.

1 Maybe falling asleep, I don't know. But -- of course
2 that would be a good thing.

3 And really, you know, when I hear somebody,
4 and that can be from many different angles of
5 whatever we're doing here, from industry or
6 governments and like I say, is that not -- isn't that
7 really a good thing? Do we really want to do that?

8 Yes, we want to do that. That is why we
9 are doing food safety testing. That is why we want
10 to be able to find the links before things are
11 happening. Industry should love this. Forward-
12 looking industry is loving it already. So we just
13 need to convince the backward-looking industry that
14 this is the way forward.

15 And I believe, you know, at some stage this
16 is come -- I mean, 20 years, this is definitely going
17 to be totally pervasive. Everybody is going to do
18 this. So the longer it takes before you actually
19 move onto the train, the worse for your company, in
20 my opinion. But we can discuss that.

21 Next, please. Yeah. So next.

22 So that's just what I said.

1 Next again. Yeah.

2 So yesterday we saw something about this,
3 *Listeria* cluster metrics, this has been explained. I
4 don't need to explain that again. Clearly, we -- if
5 we had a global database and if we had industry add
6 their data into the global database -- oh, that's
7 totally not possible. Of course it is.

8 If we had that, we would actually be
9 finding outbreaks even earlier than what the U.S. is
10 doing now. You have an excellent -- that's what
11 we've seen now. So clearly, it's documented in the
12 U.S. now that you can find the outbreaks much
13 earlier, and therefore the outbreaks become smaller.

14 Is that a good thing? Of course it's a
15 good thing. You're saving human lives. That's what
16 we're supposed to do. Is it a good thing for
17 industry? Of course it's a good thing. The earlier
18 you stop the outbreak, the more likely it is that the
19 company will survive. And we have many examples in
20 U.S. and in Europe and elsewhere, that companies can
21 go down on things like this.

22 So, again, I would say that even from an

1 economical point of view, even from an industrial,
2 food industry, economical point of view, it makes
3 sense, apart from that it also saves some lives,
4 which I think should also count just a little bit.

5 Next, please.

6 So -- but we're talking about outbreaks,
7 but I also mentioned that I think that it also
8 concerns other areas. And I think we need to accept
9 this thing that most food safety systems operate in
10 the same way across the world.

11 I know that some countries want to go to
12 the rest of the world and tell them how to do. You
13 know which countries I'm talking about. I'm, of
14 course, talking about Denmark and Sweden and things
15 like that.

16 But we also know that mistakes -- no matter
17 how we try to do things in our national setting,
18 mistakes will keep on coming in across the borders.
19 And it does not help to test things at the border.

20 I'm sorry to say that, especially here in
21 USDA, and also too, USFDA, I think when we look at it
22 in a scientific way, it's really not efficient to

1 test at the border unless you test everything, which
2 of course you would never do.

3 So if you have issues in food with
4 relatively low prevalence, we know -- and we know
5 that from plenty of scientific data, that it doesn't
6 make sense to test at the border.

7 It sounds very well to the politicians. We
8 have a problem; let's test some more. It's really
9 good if we test some more. No, it's not. We need to
10 find a way to work together across the world, in
11 order to be able to prevent outbreaks by doing good
12 things also in our food safety system. Thank you.

13 Next.

14 So this example, source attribution, in my
15 opinion, before whole genome sequencing, we could
16 only do that for *Salmonella*. If we start employing
17 whole genome sequencing in a smart way, we most
18 likely will be able to do that for all
19 microorganisms.

20 Next.

21 So this, the link here is that you link the
22 *Salmonella* serotype to the serotypes that you have in

1 the different types of food, or from tourism or
2 whatever, and in that way, you're able to say, what's
3 the percentage of this *Salmonella* problem that comes
4 from chicken or from eggs or so on? And we have used
5 that.

6 Next, please.

7 And this is just telling you how we are
8 using the serotypes. And because we have more than
9 2,500 different serotypes of *Salmonella*, we can do
10 this. And then we can also subtype them further. So
11 it makes it even better. But because we don't really
12 have a same system for other microorganisms, it's not
13 so easy.

14 Next, please.

15 So this was used since, I mean, late 80s in
16 Denmark. And so you have the line up here, the
17 incidence per 100,000 humans. And you will see that
18 it started with a top that came from broilers. And
19 they could document that through looking at the
20 serotypes and doing the source attribution.

21 So they started doing something, and you
22 can see, up to '91 or something like that, the line

1 was dropping, so number of people getting sick from
2 *Salmonella* dropping. Then it started to increase
3 again.

4 Of course, politicians would tell the
5 regulatory agency, what the -- yeah, they curse in
6 Denmark sometimes, but I don't need to say that in
7 English, of course. You made us waste all this money
8 and now we have an even bigger problem than before.
9 What are you doing?

10 But then they could actually document, no,
11 this is not from poultry; this is from pork. So
12 let's do something in the pig production. They did
13 that. Then there was another problem. Then it was
14 in eggs. They documented that. They did that. Now,
15 they have actually taken out *Salmonella* from eggs in
16 Denmark. *Salmonella* in eggs has been eradicated in
17 Denmark.

18 Just for USDA or anybody else who wants to
19 listen, if you can do something in Denmark, you can
20 do it everywhere, because Danes are not very smart.

21 Then we have a second -- just to prove
22 that, we have the last top there, and they never

1 found out where that come from. They just -- I mean,
2 Danes are not that smart. But the -- actually, they
3 ended up on a good side. You could argue that maybe
4 you don't need to do anything. The *Salmonella* would
5 drop anyway.

6 Okay. I will not argue that, because I
7 don't think it is correct. But actually, they used
8 source attribution to do something sensible, and I
9 think that something like that can also be used for
10 other microorganisms if we introduce whole genome
11 sequencing.

12 Next, please.

13 So this is the global idea. So if we take
14 a patient or a food, we do DNA sequencing of the
15 microorganisms, we put it to the cloud, we get -- we
16 have all DNA sequences in the cloud. We will get an
17 answer back in 2 minutes, which will tell us what the
18 microorganisms is and whether it's resistant to which
19 and what.

20 We can then treat the patient or the food.
21 I know we don't treat the food, but still. The data
22 can also be used for surveillance if everybody was

1 using that system, because we would upload that to
2 the cloud again.

3 I think there's one more arrow. Yeah.

4 So this is basically idea. If we did that,
5 if we agreed to do that, we would really be helping
6 each other with a machine that can tell us what the
7 microorganism is, how we can treat the microorganism,
8 and with a real-time surveillance system that would
9 be global.

10 So you would immediately know whether
11 something is moving in Hong Kong or Singapore. Not
12 in Singapore, of course, never in Singapore. There's
13 never a problem in Singapore; which is clearly not
14 correct because we have problems in all countries of
15 the world. Yeah. Of course we have.

16 But the thing is, this is a fantastic
17 opportunity. Then countries say, no, no, no. But is
18 that a good thing? Should everybody know if
19 something is happening in Hong Kong, or in Singapore,
20 or in Denmark? Is that a good thing?

21 And probably some politicians would say no,
22 it's really not a good thing. We want to keep it to

1 ourselves.

2 Are they going to keep it to themselves?

3 Of course they are not. The biggest issues with big
4 outbreaks here have been when somebody is trying to
5 hide something. We've had that in a number of cases
6 in Europe.

7 The government of Belgium went down because
8 of a food safety scandal, not because of the scandal
9 but because they tried to hide it.

10 The EU Commission was changed. The
11 Commission of the EU was changed because of a food
12 safety event, not because of the event but because
13 they tried to hide it.

14 Every time we try to hide anything, we are
15 doing even worse. And then, by the way, we are also
16 doing worse to the people who will die from the food-
17 borne contamination that we are trying to hide, if
18 it's spreading.

19 And even in the agreements that we have
20 under the WHO, the World Health Organization, the
21 International Health Regulation clearly says -- and
22 everybody, all countries have signed that -- that if

1 you have an event with -- a public health event with
2 an international potential to spread, you have to
3 inform WHO, who then has to inform everybody else.

4 So all countries agree that they have to
5 inform. So there's really no way that you can keep
6 it secret, not even Canada. And Canada knows that,
7 because of SARS. And Canada, of course, didn't like
8 that WHO told everybody that SARS was in Canada.
9 But, of course, WHO had to do that. Of course they
10 had to.

11 And I would argue with any Canadian,
12 including up to the Prime Minister, it doesn't make
13 sense to try to keep something secret. It does not
14 work, not in today's society anyway. It will get
15 out.

16 Next, please.

17 So GMI consist of the platform, community
18 network. You can just go into the web page, and then
19 you can become a member. There's no fee or anything
20 like that. There's no money involved in that. We
21 have four work groups. I'll just make -- mention
22 them, just later.

1 Next, please.

2 So these are the four, political
3 challenges.

4 Next one.

5 Repository storage of sequence and
6 metadata, analytical approaches, and ring trials,
7 quality assurance.

8 So just to let you know that on the working
9 group -- so we have a meeting every year. GMI 10,
10 the 10th meeting was in Mexico, May this year. Next
11 one is going to be in Geneva in the week of 14 May
12 2018, just before the World Health Assembly.

13 I just want to point out, also, that
14 Working Group 4 is running these ring trials, or
15 proficiency testing for, I think, 60 or 70 labs now.
16 So we are actually already -- we already have a
17 system where we are trying to combine the capacity in
18 different countries in relation to the labs.

19 Next, please. Next, please.

20 So this is just the home page that you can
21 go into if you want to become a member of Global
22 Microbial Identifier.

1 Next, please.

2 So these are the meetings that we have had
3 since 2011. So we just called them all GMI, even if
4 they might not have been called GMI in the beginning.
5 But the Number 10 down there in Mexico and then 11 in
6 Geneva.

7 Next, please. Next.

8 So we know that -- that's what I said
9 before, that really all data will get out at some
10 stage. And the worst situation for a government or a
11 company is if it looks like they tried to keep the
12 data hidden. You should never try to do that.

13 And then, of course, there's so much to
14 gain from everyone, for everyone, in relation to
15 sharing the data, and I think that should also be
16 realized, or at least discussed. Thank you.

17 Next.

18 So we don't even -- I mean, so we are also
19 saying that this is -- clearly, this will go on in
20 the rich countries. It is already in the U.S. It
21 is, to some degree, in some European countries.

22 We have this capacity that if you were in a

1 poor developing country and starting to create your
2 system, why not create it using whole genome
3 sequencing from the start, because it means that you
4 can use the same lab for everything. Because
5 whatever you want to identify, whether it's a virus
6 or any microorganism, other microorganism -- some
7 people don't like to call viruses microorganisms, but
8 I will still do.

9 So whatever -- you use the same machine
10 because DNA and RNA is the same, almost the same.
11 And the -- you can detect it and characterize it in
12 the machine, whatever. And again, it's a One Health
13 opportunity. I think that was clearly also mentioned
14 yesterday.

15 Next, please.

16 So we were only able to do something in
17 Denmark because that, we got researchers, regulators
18 and food industry to work together. If this triangle
19 had not worked, it would not have worked in Denmark.

20 In the beginning, of course, the food
21 industry would say, no, no, no, it's totally
22 impossible to do something about *Salmonella* in

1 chicken; cannot be done. Chicken, if we want to take
2 them out of these big flocks, it'll -- chicken will
3 double in price. I think somebody even said triple
4 in price.

5 Now, we know that it's -- I mean, it's
6 maybe 1 to 2% increase in price. It's nothing. It's
7 absolutely nothing. But you have to convince
8 industry in order to get it done.

9 The other thing is, industry might be smart
10 in some areas, and in relation to some of these
11 things, because if you really want to have efficient
12 solutions you need to know something on the ground,
13 and researchers don't necessarily know what's going
14 on, on the ground.

15 Regulators might not even know, too. Of
16 course, they will here in the U.S., but in some other
17 countries, they might not know the realities on the
18 ground, but industry will know that. So you have a
19 lot of information from industry that you need to
20 move on into the system. And that was why it was
21 successful, I believe, in Denmark.

22 Next, please.

1 So these are the major, three major
2 obstacles. I would say, researchers' pride, what
3 does that mean? So it means that if I am doing whole
4 genome sequencing of a number of strains, I want it
5 to get into nature. So I won't put it in the open
6 database until in 7 months' time. I mean, I'm not
7 even kidding you. This is happening. This is
8 happening.

9 Next, industry's reluctance, I've talked
10 about that. I think forward-looking industry is
11 trying to embrace this already, but we have lots of
12 other industry also.

13 Country's sovereign right, I mentioned also
14 that countries think that they are doing good things
15 for their constituents or whatever by hiding data.
16 They are not. They are really not.

17 Next, please.

18 So we have these GMI, I would say,
19 achievements, the -- we have WHO, FAO, and also OIE
20 involved in our Steering Committee, but we really
21 need to get the discussion into the international
22 level, into the intergovernmental discussion. And

1 that is why we are trying to suggest to countries
2 that this should be discussed in WHO and FAO and OIE.
3 And hopefully some countries will listen.

4 Next, please.

5 So just a few slides from Europe, just so
6 that you don't think we are totally in the Dark Ages.
7 There is something happening in Europe. They set
8 aside money in the Horizon 2020, which is the
9 5-year -- 7-year funding program for research in
10 Europe, called COMPARE. And you can see what it
11 means up there.

12 We got money into that program by saying,
13 U.S. is already doing it. That's the worst thing you
14 can say to the EU Commission; U.S. is already doing
15 that. Then immediately they will put money into the
16 SHARE.

17 Okay. So -- but they are doing lots of
18 good things, and actually, along the lines of also
19 discussion of what we are doing in GMI.

20 Next, please.

21 So they want to set it up like that. They
22 are talking about harmonized standards, analytical

1 workflows. And, of course, we need to have these
2 discussions about how we join forces to do this in
3 the best way, also across the Atlantic, and
4 basically, I would say, across the globe.

5 Next, please.

6 And they have all these different ways of
7 involving stakeholders. And they're doing all these
8 things the European way. You know, it's always very
9 convoluted in Europe. You have to talk to everyone,
10 and you have to include social scientists, and so
11 it's probably very good.

12 Next, please.

13 This is just to show you that this is all
14 the major institutes in, across Europe that are
15 actually participating in COMPARE.

16 Next, please.

17 Yeah. And this is just an advertisement
18 for Technical University of Denmark because they have
19 this WHO Collaborating Center, and they are putting
20 out a number of methods, or an easy way of actually
21 using your data by sharing openly the different
22 finder -- PlasmidFinder, ResFinder, and all these

1 other different programs.

2 And they are really easy to use, and this
3 is how it should move forward, in my opinion, at the
4 international level. We need to have discussions
5 across the different countries and the different
6 agencies and the different entities that are working
7 on this, NCBI, DTU, other -- FDA, so on.

8 We need to get all these people together,
9 and that is actually what is also happening in
10 GMI. But we need some intergovernmental discussion
11 to move it to the next level.

12 It's not enough that we have 250 or 300
13 scientists, or semi-scientists discussing it and
14 agreeing that this is a good idea. We need country
15 representatives to sit down and actually, probably at
16 minister level, and agree that this is a really good
17 suggestion.

18 Next, please.

19 So we're starting that by sending out a
20 letter to the world. And we are doing that within a
21 couple of weeks, I hope. We had a problem finding
22 the address for the world. I mean, it's like, we

1 have to find all these ministries of health. And
2 it's not as easy as you might think. We can't just
3 get them from WHO because they don't want everybody
4 to just send out spam emails. I don't know why.

5 But we will find it. We did it in
6 Wikipedia. Wikipedia is so much more helpful than
7 WHO in relation to this.

8 Okay. Next, please.

9 I'm not talking about Wikileaks. I'm
10 talking about Wikipedia.

11 So this is what we are saying in the
12 letter, basically is what I am -- I've been saying
13 here, that really, if we have this technology, we
14 should actually try to do something like this, and it
15 would help us in a number of different ways, and then
16 just also, relating it to the rise of antimicrobial
17 resistance, because that's something that some of the
18 governments or many of the governments is now making
19 action plans to do.

20 Now, if they're making action plans just
21 now, why not include whole genome sequencing and how
22 we can share the data across the world for that?

1 Next, please.

2 Yeah. This is just what I've told you
3 about GMI 11, so let's just go through that. So we
4 link it up to the World Health Assembly, and
5 hopefully maybe next year, 2019, they can then put it
6 on the agenda and have that intergovernmental
7 discussion.

8 Next, please.

9 This is just an example, so that you would
10 not think that I'm just talking about something I
11 don't know anything about in practice. Actually, I
12 don't really know anything about it in practice, but
13 theoretically, we are doing something also in our
14 region.

15 Next, please.

16 We are actually suggesting to countries
17 around that region to send isolates to us. And then
18 we do the sequencing at the university, and then we
19 look at the results together with the countries.
20 That is a way of showing to some of these countries,
21 this is how easy it could be done. Maybe you should
22 buy a sequencer.

1 So I think you should go ahead like that.
2 I think U.S. should go ahead like that. I know that
3 you have been, with some countries. I think European
4 countries should go ahead like that. That is a
5 really easy way to start discussing, having that
6 discussion with other countries.

7 Next, please.

8 Yeah. So this is just the last, I'm happy
9 to say. Okay. Going out with a bang here. So I
10 don't know if -- I'm sure that you know this quote,
11 but I've modified it a little bit.

12 "We choose to create this system in this
13 decade, not because it's easy, but because it's hard,
14 because that challenge is one that we are willing to
15 accept, because that challenge will bring so much
16 benefit to global health and global food science and
17 safety, and global food industry." I forgot to put
18 them there.

19 Thank you.

20 (Applause.)

21 MS. HRETZ: Thank you. That was a -- it
22 was not only a great way to set the stage for today,

1 but I think it was the lively talk that we needed
2 this early in the morning, because as you say, we
3 start a little early.

4 So, with that, I'd like to welcome Dr.
5 Cathy Carrillo from Canada. And she's going to give
6 us the perspectives and priorities from one of our
7 major trade partners.

8 DR. CARRILLO: Good morning, everyone. I
9 hope you can see me behind this podium.

10 I am a -- I just wanted to start off by
11 saying I'm a lab person at the Canadian Food
12 Inspection Agency. I don't make decisions. I was
13 brought in about -- 4 years ago, actually, I started.

14 And I was hired through a food safety
15 modernization initiative. And we were just trying to
16 look at -- the CFIA was interested in how whole
17 genome sequencing could contribute to their food-
18 testing programs. So I've been working on that for
19 about 4 years now.

20 Next slide.

21 So I'm going to talk to you today, and to
22 start giving you a little bit of an idea of what the

1 Canadian Food Inspection Agency does in Canada. I
2 think our system is a little bit different than in
3 the U.S. And then I'm going to talk about how we've
4 implemented foodborne pathogen sequencing in our
5 organization.

6 And I thought it would be a good idea to
7 give you an idea of what value we see in it by
8 presenting a few targeted case studies. And then I'm
9 going to end by talking a little bit about the
10 interpretation of whole genome sequence data, that
11 SNP data interpretation.

12 And this is really only a very small part
13 of the sequencing work we're doing at the CFIA, but I
14 thought, for this meeting, this would be the most
15 appropriate.

16 Next slide, please.

17 So the Canadian Food Inspection Agency, we
18 do food testing, but we also do testing for animal
19 health and plant health. So food is part of it. And
20 we also do things like feed analysis as well. And
21 the goal of this testing is to verify compliance to
22 standards and regulations that are set by other

1 organizations, by Health Canada, for example.

2 Next slide.

3 For food microbiology, we have six testing
4 labs that are distributed throughout the country. So
5 we are doing thousands of samples of food testing
6 samples every year. And this occurs across the
7 country.

8 And what I want to say about this is that
9 we don't get very many positives, you know. We can
10 do tens of thousands of samples, and we're getting
11 about 250 isolates from this program every year. And
12 that's because we're not testing things like --

13 Well, next slide. Sorry.

14 We're not testing things like raw meats.
15 That's not done through our organization. So we're
16 generally testing foods that we would expect to be
17 microbiologically safe, or absent -- not having
18 foodborne pathogens.

19 So we have programs in meats, fish, dairy,
20 egg products, fresh produce, manufactured foods. And
21 we also test food processing environments.

22 We do, every year, a targeted survey. So

1 we'll take a food commodity and look for -- try to
2 get an idea of prevalence in a specific food
3 commodity. But even there, you know, if we've got a
4 0.1% prevalence rate, you have to test thousands of
5 samples to get two or three isolates.

6 And I think that's one of the big problems
7 with linking foods to clinical cases. It's going to
8 be very hard to get your food and environmental
9 numbers up.

10 We respond to consumer complaints, and
11 we're also involved in cases of outbreak
12 investigation, and resolving -- testing foods in an
13 outbreak investigation, looking for scope of the
14 contamination, and we're involved in the hazard
15 mitigation response to the outbreak.

16 We're also members of PulseNet Canada. So
17 as of this year, we're sharing all *Listeria* sequence
18 data with PulseNet. And we haven't -- they haven't
19 moved to *Salmonella* yet, but it's -- we're starting
20 with the whole genome sequencing in that program.

21 Next slide.

22 So this is really currently the way things

1 are happening in the lab. So, of course, we get
2 isolates from the food testing programs. And
3 we're -- for the whole genome sequencing, we're only
4 talking about the step where we characterize the
5 small number of isolates that we get every year.

6 Right now, in Canada, we have to -- you
7 know, we could do the biochemical identification of
8 an isolate when it comes in, but the isolates
9 actually -- you see that some of the labs are
10 distributed throughout the country. The isolates
11 have to be sent to a lab in Ottawa for PFGE testing,
12 and for serotyping it goes to another lab in another
13 part of the country.

14 So even just shipping costs for these
15 isolates costs a lot of money for the organization.
16 So -- yeah. We wanted to look at how whole genome
17 sequencing could replace some of these things.

18 And so for the past 3 years, we've been
19 sequencing everything that comes in to the CFIA. And
20 we're doing this in parallel with the, you know, the
21 testing methods that are in place, which I think our
22 labs find difficult, as you've heard before.

1 So we've got bioinformatic pipelines in
2 place, so everything that comes in through a
3 sequencer goes through the same bioinformatic
4 pipeline, which works with all organisms. And we do
5 a report of analysis on anything that comes in.

6 One of the interesting things about this,
7 doing this in parallel with the traditional phenotype
8 methods is that we are seeing cases where the
9 phenotypes are wrong. We had a couple of cases of
10 *Salmonella* serotyping where we asked the lab to go
11 back and redo the serotype because the whole genome
12 sequence gave a pretty definitive answer. And it was
13 actually the serotype that was wrong.

14 And that's really interesting, that we're
15 getting better results from the sequenced data than
16 the traditional methods. And that's going to help us
17 make the arguments to stop doing these older
18 approaches.

19 The other thing I wanted to say was, you
20 know, when we are involved in an outbreak
21 investigation, I've been -- we -- I've been called
22 for data before we even received the strains.

1 They're asking me for data. So they're -- they want
2 the data yesterday from an outbreak investigation.
3 So we've been really looking at ways of generating
4 the data faster from our machines.

5 Now, we're pulling information right off
6 the machine during the sequencing run. And we can do
7 a *Salmonella* serotyping, for example, based on whole
8 genome sequence, within 24 hours of having a colony
9 on a plate. So it can be pretty fast, and you can
10 get the whole genome sequence data very fast, if
11 that's important.

12 Next.

13 So we send -- this is what we send to our
14 clients. We have a Report of Genomic Analysis, and
15 that sort of summarizes all of, you know, virulence
16 factors, sequence types, MLST, rMLST, and if there's
17 any matches to our database.

18 So now we have a database of everything
19 that's been collected since 2009. Then we will have
20 some sort of tree of that, to show how closely
21 related they are to historical isolate.

22 Next.

1 And so we're trying to answer three
2 questions: What is it? Is it dangerous? Have we
3 seen it before? And, of course, you get a definitive
4 identification with a whole genome sequence. It's,
5 you know, you can really tell what species it is.

6 In terms of, is it dangerous, as I think
7 we've heard the past couple of days, that's a pretty
8 hard question to answer. We need a lot more
9 information to understand how virulence factors play
10 into human infectivity.

11 But the question, have we seen it before,
12 is a lot harder than you would think to answer. And
13 that's the idea of matching strains to historical
14 isolates.

15 Next.

16 So one of the things that, when we started
17 this, we were asked that, you know, is whole genome
18 sequence data too high in resolution? People didn't
19 want to use it because it was too much information.
20 They didn't know what -- how to interpret it.

21 And I would say that you can look at it at
22 any level. The nice thing about it is you can look

1 at it at any level of resolution. And you know those
2 CSI shows where they zoom into a license plate and
3 they can read the letters? You can't actually do
4 that if you don't have those pixels in there.

5 It's the same thing with -- well, whole
6 genome sequence gives you the opportunity to zoom in
7 as much as you want and get every, you know, piece of
8 information out of that strain.

9 So if my question in that resolution
10 diagram is, are the -- is the font black, I could
11 tell that from the R. I don't need very much
12 resolution for that. But if I want to know what font
13 it is, I need to look, you know, at a few letters and
14 get higher resolution images.

15 Next.

16 And so we can do that with whole genome
17 sequencing by looking at, as you've heard, MLST,
18 which is based on seven genes. Our MLST --

19 Next, sorry.

20 Which gives you 53 genes that you can look
21 at. And this is a lovely typing scheme, because it's
22 useful for all bacterial organisms. Or you can go to

1 Core Genome, which gives you more information.

2 Next. Just the next two.

3 And this, you know, has been really -- you
4 can go to the nucleotide levels. So you can say, are
5 there any nucleotides different between two different
6 strains?

7 Next.

8 So as you've seen in the past couple of
9 days, PFGE does not give you, always give you very
10 reliable information. This is a case of -- there's a
11 bunch of samples taken from the same factory. And
12 there's three different PFGE patterns.

13 Next.

14 And you can see, there's -- but there's
15 only 2 to 11 nucleotides different among these
16 isolates. So based on PFGE, you would expect that
17 there'd be more differences among the strains than
18 there actually are. So it's not a very informative
19 technique for these isolates.

20 Next.

21 We had a situation where we had this very
22 unusual *Listeria* PFGE pattern come in off of a cooked

1 chicken product. And at the same time, from another
2 lab, the same very unusual PFGE pattern came in from
3 a liquid egg product. And so people were asking, you
4 know, is there a link between these two food types.

5 Next.

6 And there -- by whole genome sequencing, we
7 were able to show that there was 274 nucleotides that
8 were different between these isolates. And so that
9 really put a stop to the need to do any investigation
10 in that case. So now we have a really clear answer;
11 strains are not related, and we don't need to do
12 anything further with these samples.

13 Next.

14 And that happens a lot at -- we're asked --
15 because we have our data in our organization, we're
16 not doing a very good job of sharing yet, except
17 *Listeria* is now going in to the PulseNet system. But
18 we're asked all the time to, you know, provide all
19 the sequence data we have for a certain PFGE pattern
20 when there's a cluster of clinical samples that are
21 being investigated.

22 And I don't think we have one case where we

1 have found anything in our database that matches the
2 cluster of clinical isolates that -- you know.

3 And so this is a case of *Listeria* pattern
4 LMACI.0015. And we looked at everything we had for 7
5 years back, and the closest match was 39 to 49 SNPs
6 apart from the clinical isolate. So we could show
7 that -- you know, we could exclude all these foods
8 right off the bat, which is fairly useful, still.

9 Next slide.

10 But once you do the epidemiological
11 investigation and you get more information from the
12 patients, then the -- you know, once you narrow down
13 the food, and in this case, it was chocolate milk,
14 then it fits right within the cluster of clinical
15 cases.

16 So here we have 0 to 6 nucleotides
17 difference from the chocolate milk strains compared
18 to the clinical cases.

19 Next slide.

20 This is another case, and this is looking
21 at persistent -- when we compare strains to our
22 historical samples, if we do see a match, like a 0-

1 nucleotide match, that's pretty strong evidence that
2 it's the same thing.

3 This was *Salmonella* Gaminara in a sprouted
4 flax seed product. And you can see at the top,
5 there's one at 750 nucleotides away. That was a
6 *Salmonella* Gaminara from sprouted chia. That was
7 completely unrelated. There's a lot of nucleotides
8 difference. But it was taken in the same time
9 period.

10 So we isolated a bunch of *Salmonella*
11 Gaminara in Year 1. And then one year later, we got
12 *Salmonella* Gaminara from the same food product, and
13 there was a question of whether it's related. And it
14 was 0 nucleotides apart, so definitely related.

15 And we were able to figure out that this
16 *Salmonella* was in the seeds that were used to be --
17 that were being sprouted, but we were not able to
18 isolate any *Salmonella* from the seeds, so we
19 couldn't -- there wasn't enough in there to actually
20 isolate any. But when it was sprouted again, the
21 same *Salmonella* came up, and there was 0 nucleotides
22 difference from it.

1 So that provided some very valuable
2 information for the food safety investigators.

3 Next. Next slide. Okay.

4 This is another situation that we see,
5 though. This is *Salmonella* Typhimurium in shell
6 stock. And over -- we keep isolating *Salmonella*
7 Typhimurium from shell stock from the same location,
8 and this is over a 4-year period. And it's always
9 the same Typhimurium, but now they're 0 to 72
10 nucleotides apart.

11 And you see that they don't -- it's not --
12 they're not clustering based on year. They're just
13 all over the place. They're very different. If you
14 saw this in a -- you know, it would be hard to find a
15 cluster of clinical cases associated with this
16 because you can't -- it's got quite a large amount of
17 variability.

18 Next slide.

19 So whole genome sequencing can be very
20 useful, in our experience. We've found some really
21 useful information based on doing the whole genome
22 sequencing in parallel with our other methods. But

1 it's complicated.

2 And interpretation of SNP data can be hard,
3 because it depends on how the contamination came in,
4 and rate of evolution of the strain, as I'll go into
5 right now.

6 Next slide.

7 So we wanted to sort of look at that
8 question about rate of evolution of strains and how
9 that might impact, you know, SNP identification.

10 So we did a field study where we inoculated
11 lettuce with three -- well, we used four different
12 serotypes of *E. coli*, and inoculated each lettuce
13 head with a million *E. coli*. And then we tried to
14 recover them in -- you know, right away, at Week 1,
15 Week 2, Week 3.

16 And we did this 2 years in a row and tried
17 to get three isolates -- isolates from three heads of
18 lettuce for each serotype, which worked really well
19 at time zero when you inoculate, and you recover
20 right away. But again, after that, it got really,
21 really hard.

22 We got no -- in 2 years in a row, we got

1 none of the O157 back from the lettuce after Week 1,
2 but we got it in Week 2 and 3. So we're not sure why
3 this happens. Obviously, we need to do far more
4 samples for this type of analysis.

5 Next slide.

6 But we sequenced all the isolates that we
7 did get, and what was interesting was we saw no
8 nucleotide differences for the O157 over the period
9 of time of the study, no nucleotide differences for
10 the O103.

11 We had an O26 in there, but we weren't able
12 to recover it at all, so that was dropped from the
13 study. But for the O111, we saw a lot of nucleotide
14 variation.

15 Next.

16 And we -- that -- we saw that variation at
17 time zero of, you know, when we inoculated and then
18 we recovered it right away. So every strain we
19 collected had a median of 5 nucleotide difference
20 from the inoculating strain.

21 We see a little bit of a peak in Week 1.
22 We don't know what that is about, but -- and then

1 we -- you know, in general, we're seeing about 5 per
2 strain.

3 Next slide.

4 And if you looked at that on a tree, these
5 strains would look really different, you know, they
6 wouldn't have that -- with this particular strain,
7 you wouldn't have that close 0 to 6 cluster that you
8 would expect. And so that's -- you know, this is a
9 rate of evolution that's higher than what most
10 strains are.

11 And we heard a little bit about rate of
12 evolution yesterday, but there's some evidence that
13 stress might lead to, strains to go into a
14 hypermutator state. And that might be something that
15 could happen in a food production environment. So
16 it's something that we really have to think about
17 when we're -- and learn more about when we're
18 studying -- you know, when we're having to interpret
19 these trees.

20 If you look at the orange box here, you can
21 see the -- this is the same culture that's been
22 sequenced multiple times, so it's not coming -- the

1 SNPs are not coming in through a sequencing problem.
2 But it's really a true biological difference. And
3 these were verified by Sanger sequencing.

4 Next slide.

5 So whole genome sequencing is a valuable
6 source of information, and it can be useful in food
7 safety investigation. And in terms of -- it gives
8 really good answers.

9 You know, we know that we are -- we can
10 distinguish the new train from a control, which is a
11 question we get asked a lot in a recall. And we can
12 also show that that strain has never been in that lab
13 before.

14 But you cannot really, right now, interpret
15 the whole genome sequence data in the absence of
16 epidemiological information, and I don't think we'll
17 be doing that anytime soon. But it can be very
18 useful in targeting your epidemiological
19 investigations.

20 Next.

21 And I'm just going to leave you with this.
22 This is a picture my 7-year-old brought home. And my

1 friends were a little horrified by it. They thought
2 it was a devil with horns sticking out of it. But
3 this came at Christmas. I don't know if you can see,
4 it's actually Rudolph, so context is everything.

5 (Laughter.)

6 DR. CARRILLO: Next slide.

7 So I just wanted to acknowledge the -- my
8 team, research and development team at CFIA, who is
9 heavily involved in all of this work. We are really
10 lucky. We now have three bioinformaticians instead
11 of just one. So we feel like we might get somewhere
12 soon. We have to spend a lot of time arguing with IT
13 over what we need to do this work.

14 And the work on the SNP analysis was done
15 by Austin Markell and Dominic Lambert.

16 Thank you.

17 (Applause.)

18 MS. HRETZ: Thank you. I think that was --
19 that was also a great look at some of the same
20 concerns that we have throughout different
21 governments and throughout different public health
22 programs, because we're facing the very same things.

1 So I think you bring a good case for working
2 together.

3 And with that, I would like to introduce
4 one more trade partner. So we have Dr. Brenda
5 Martinez here from -- she's going to speak on behalf
6 of SENASICA. And I really want to send our
7 appreciation, because we threw her in last minute, in
8 the deep end, because we had some travel issues with
9 our original speakers from Mexico.

10 So thank you so much, and I'll give it over
11 to Brenda.

12 DR. MARTINEZ: Thank you very much.

13 Good morning. My name is Brenda Martinez.
14 I am Agricultural Advisor at the Agriculture Office
15 of the Embassy of Mexico.

16 On behalf of SENASICA and SAGARPA, I would
17 like to thank the organizers for letting us share
18 Mexico's experience with whole genome sequencing.

19 Next.

20 For those of you not familiar with
21 SENASICA, SENASICA is a decentralized agency within
22 Mexico's Secretariat of Agriculture, Livestock, Rural

1 Development, Fisheries and Food, responsible for
2 animal and plant health, as well as sanitary
3 regulation of primary production of processing of
4 agriculture, aquaculture and fisheries foods.

5 It also regulates and promotes the
6 application and certification of risk management
7 systems in order to facilitate domestic and
8 international trade of agricultural products.

9 Next.

10 Within the commitment of keeping Mexico at
11 the forefront of technology, and increase the level
12 of trust regarding food safety, SENASICA implemented,
13 in 2013, the whole genome sequencing for *Salmonella*
14 characterization isolated from fresh and minimally
15 processed products.

16 Back in 2013, only 14 samples were
17 sequenced, but the use of new technologies with
18 increased analytical capacity has allowed exponential
19 increase in sequenced samples. As you can see, by
20 2016, 976 samples were sequenced, and during the
21 first 10 months of 2017, that number has surpassed a
22 thousand.

1 In addition, SENASICA has also implemented
2 different pipelines for that -- and interpretation.

3 Produce, as well as animal product samples
4 are used to conduct WGS. The samples are provided
5 not only by SENASICA, but also by the Secretariat of
6 Health, Federal Commission for Protection of Sanitary
7 Risk, better known as COFEPRIS, and by academic
8 institutions with whom SENASICA has collaborative
9 projects.

10 The WGS general workflow begins with the
11 reception of either the sample or the isolate by
12 SENASICA's Pathogen Detection Laboratory. If a
13 sample is received, the laboratory technician
14 proceeds to isolate the pathogen. If the isolate is
15 received, the technician will condition the isolate
16 as needed.

17 Once ready, the isolate is received by the
18 Sequencing and Bioinformatics Unit, which will
19 proceed to extract the DNA and conduct the sequencing
20 and the bioinformatic analysis. Once everything is
21 complete, a report is produced and updated to
22 SENASICA's genomic database.

1 This is -- sorry. Since 2013, SENASICA has
2 sequenced over 2,000 samples. As you can see in the
3 chart, most of the samples have been taken by
4 COFEPRIS, as part of the *Salmonella* project.

5 Next.

6 Currently, there are 1,872 isolates in
7 SENASICA's genomic database, which includes food
8 isolates from fresh and minimally processed produce
9 and animal products, as well as environmental and
10 clinical isolates. Over 80% of those isolates are
11 *Salmonella*.

12 As mentioned before, back in 2015, SENASICA
13 and COFEPRIS developed a joint *Salmonella* project
14 whose objective is to generate and manage and analyze
15 genomic data to detect and characterize the field of
16 geographic distribution of *Salmonella* strains
17 isolated in Mexico.

18 This project is a crucial analytical tool
19 needed to better understand the effects and
20 prevention of foodborne illnesses, as well as AMR
21 gene detection. The isolation is performed by the
22 National Public Health Laboratory Network, which

1 includes laboratories in all 32 Mexican states.

2 The *Salmonella* project currently has 1,375
3 sequenced isolates. Most of the samples come from
4 the state of Michoacan, well known for its
5 agricultural production. Most isolates come from
6 processed animal products.

7 As you can see in the pie chart, 58
8 different isolates have been identified. The top
9 five include *Salmonella* Typhimurium, *Salmonella*
10 Anatum, *Salmonella* Agona, *Salmonella* Derby, and
11 *Salmonella* Infantis.

12 The pipelines implemented by SENASICA allow
13 them to determine AMR gene present in the sequenced
14 pathogens, which helps SENASICA to collaborate in the
15 national plan on AMR, and strengthen the health and
16 surveillance system to prevent and manage AMR in
17 Mexico.

18 It has also allowed Mexico to cooperate
19 with international partners to strengthen the
20 evidence base and develop new responses to this
21 global threat.

22 The Sequencing and Bioinformatics Unit has

1 also conducted WGS on isolates provided by the
2 National Center for Diagnostics in Animal Health, who
3 leads the national plan on AMR, and the National
4 Center for Animal Health Assessment Services, that
5 provide isolates from the *Salmonella* monitoring
6 program for raw meat in federal inspection type
7 establishments, also known as TIF.

8 SENASICA has 25 sequenced isolates to date,
9 and has identified 9 different serotypes of
10 *Salmonella*.

11 As previously mentioned, SENASICA has
12 developed different collaboration projects with
13 academic institutions that benefit both parties. For
14 example, the National Autonomous University of
15 Mexico, the largest public university in Latin
16 America, has provided meat isolates and amplicons for
17 neurovirological research.

18 The National Polytechnic Institute has
19 provided *Aeromonas* isolates as well as *Salmonella*
20 isolates.

21 The Autonomous University of Sinaloa has
22 provided environmental isolates from agricultural

1 water.

2 These joint projects provide students with
3 great field work experience and training, using the
4 latest technologies, which has proven very useful and
5 may lead to careers at SENASICA or other laboratories
6 in Mexico.

7 With a commitment to maintain the highest
8 standards of cooperation and data sharing to ensure
9 and improve food safety in Mexico and around the
10 world, SENASICA belongs and collaborates with
11 networks that have implemented on, or are in the
12 process of implementing WGS.

13 Mexico is an active member of PulseNet
14 Latin America and the Caribbean. In November 2015,
15 during the 12th Annual PulseNet Latin America and
16 Caribbean meeting, PulseNet Mexico was formed by
17 SENASICA, the Institute of Epidemiological Diagnosis
18 and Reference, or INDRE, and COFEPRIS.

19 Furthermore, 3 weeks ago, during the Third
20 GenomeTrakr International Conference held close by,
21 SENASICA expressed its interest in becoming part of
22 the GenomeTrakr Consortium.

1 With the use of WGS, SENASICA assumes and
2 maintains leadership at the national level as the
3 reference laboratory in WGS, establishes and operates
4 the National WGS Network that includes federal public
5 agencies, increases collaboration with academic
6 institutions to develop specific lines of research to
7 meet the needs of agricultural and the agrifood
8 sector, incorporates Mexico into global networks that
9 use WGS to promote and ensure food safety, and
10 strengthen the monitoring and surveillance of the --
11 of national -- of animal products through the use of
12 WGS in the national programs of federal inspection
13 type establishments, which allow the -- which allow
14 it to have a genomic type map of the identified
15 pathogens.

16 Mexico is one of the largest agricultural
17 and agrifood producers in the world, and a top
18 exporter of fruits and vegetables. For that reason,
19 ensuring food safety and quality are strategic tools
20 needed to increase the competitiveness of Mexico's
21 agriculture and agrifood products.

22 SENASICA continues to develop new

1 strategies and partnerships that will allow Mexico to
2 better respond to food safety challenges. SENASICA's
3 working with U.S. government agencies as well as
4 stakeholders from industry, trade, agriculture and
5 academia, to enhance food safety.

6 Finally, SENASICA collaborates on a wide
7 range of partnerships and has established formal
8 arrangement with U.S. counterparts designed to
9 improve information sharing on emerging food safety
10 threats, and to work closely together when addressing
11 product safety issues that may impact consumers on
12 both sides of the border.

13 This is a picture of the team at the -- the
14 highly specialized men and women who work at the
15 Pathogen Detection Laboratory in the Sequencing
16 Bioinformatics Unit in Mexico City.

17 Thank you very much for your attention.

18 (Applause.)

19 MS. HRETZ: Wonderful. And that's going to
20 bring us to a short Q&A for this module. So if I
21 could have all three of my speakers.

22 I know. I know. We're going to keep you

1 up here Brenda.

2 If I could have all three of my speakers,
3 we're going to set you up at this table and take a
4 few questions in the room and online.

5 Have we lost Jorgen? Okay. We'll --

6 And to our speakers, you know, as we answer
7 questions, if you don't mind, when -- we'll bring up
8 microphones for you guys. But as you answer, if you
9 don't mind reminding the audience of your name. That
10 way our listeners on the phone know who's answering.
11 Although I'm pretty sure they'll know if it's Jorgen.

12 Okay. And we'll start. It looks like we
13 maybe have one question in the room. You want to
14 start us off?

15 DR. BRADEN: Hi. Chris Braden from CDC.
16 One person, two questions, if I'm permitted.

17 First is to the -- for SENASICA. In your
18 presentation, I didn't see any incorporation of WGS,
19 of isolates from clinical cases. So what is the
20 status of conducting whole genome sequencing in
21 Mexico of pathogens from clinical cases, and how is
22 that integrated into your environmental and food

1 databases?

2 DR. MARTINEZ: I'm going to have to defer
3 that, because I'm actually not an expert. I had to
4 come in for my colleagues in Mexico City. So I can
5 definitely pass along your question, and we'll
6 definitely get back to you via email, if we can.

7 DR. BRADEN: Okay. All right, thank you.
8 And one other question.

9 So this has to do with the issue of
10 diagnosing clinical cases in humans. The background
11 is that in this last year in the United States we
12 have demonstrated that culture-independent diagnostic
13 testing of people has actually now influenced our
14 surveillance for pathogens in the United States, to
15 the extent that it's -- our trend data is actually
16 unreliable, given -- just relying on our historical
17 case definitions based upon culture.

18 I would like to know if other partners are
19 seeing the same issue. And one of the industry
20 partners that I think is important has not been
21 really addressed here, and that is the diagnostic
22 manufacturing industry.

1 As we look forward, and probably culture-
2 independent diagnostic testing is the future of
3 diagnostic testing in animals and humans, we need to
4 determine what is the platform for testing and what
5 is the data that we're all going to need, and will
6 those diagnostic tests of the future provide the data
7 for public health?

8 So what is the interaction -- Jorgen, maybe
9 you can address this, kind of more on the global
10 scale, the interaction to address this issue having
11 to do with direct-from-sample, culture-independent
12 diagnostic testing as it relates to the manufacture
13 of diagnostic tests?

14 DR. SCHLUNDT: I would always want to
15 speak. Whenever somebody asks me a question, I will
16 speak for the next five minutes.

17 But this is clearly a major issue and has
18 also been discussed internationally in GMI, but
19 should be discussed in a broader sense, also, I
20 think.

21 So first thing is, if we go to moving away
22 from actually having isolates, we also have this

1 issue that if we find DNA, and we sequence it, and it
2 fits with a *Salmonella enterica* type, do we know that
3 this is actually a live microorganism, or was it just
4 DNA from a dead microorganism? Because if you are in
5 industry and you're looking into your products, it's
6 really important that it was alive, because if it was
7 killed, it doesn't mean anything.

8 So there needs to be discussion about how
9 we start using also RNA testing, you know, to combine
10 it so that we can actually have some sort of
11 live/dead discussion linked to that DNA sequence that
12 we will use in the future.

13 But I really -- but this is a technical
14 issue that could be dealt with if we put some more
15 funding, I guess, into some of that type of research.
16 I know that that is going on in a number of different
17 countries now. Again, I would suggest that we would
18 need some sort of international interaction about
19 that, because it's a really, really big issue.

20 And I don't know that we have that at the
21 moment. Again, if there was an initiative, an
22 intergovernmental initiative to actually do something

1 actively in this direction, this would be one of the
2 areas that they would cover.

3 Maybe some -- just one more sentence,
4 although maybe a long one. Maybe the things that we
5 would suggest to do should be compared to something
6 like say, Centre Européen Recherche Nucléaire, which
7 is the big machine under the ground in Geneva where
8 you smash atoms together.

9 This was put together by, I don't know, 45
10 countries, just after the Second World War because
11 all countries -- maybe apart from the U.S., who had
12 enough money on their own, but all the other
13 countries would say, we don't have money to make a
14 machine like that, but maybe if we got together with
15 all these other rich countries, we could make a
16 global machine or machine that we could use for
17 purposes.

18 Now, of course, there's been spent hundreds
19 and billions of dollars on CERN, and I think they
20 found one small particle. And I think we are all
21 very happy with that.

22 But we are suggesting making a major

1 machine that would actually make economies of
2 countries better, that would actually save lives,
3 that would actually do international surveillance,
4 which is something countries have talked about for at
5 least 20 years without moving, really, forward.

6 And I think, you know, if countries were
7 discussing it in that way, there would be ways of
8 actually creating the big machine, but it would be
9 something the same level, or the same size as CERN.
10 And CERN is, at the moment, 10,000 people working,
11 10,000 people working there in Geneva.

12 We could have the same thing in a global
13 machine for something like this. And then we would
14 move forward the agenda in a big way.

15 DR. BRADEN: So I'd just like to follow up
16 on one thing and --

17 So I think it's important to actually talk
18 to the diagnostic development, or the diagnostic
19 manufacturing companies, because the diagnostic tests
20 that they're going to produce for the future is
21 probably going to be sequence-based. But the actual
22 data that they use for those tests may be a very

1 small fraction of the data that's, may be produced.

2 How do we, and a public health community,
3 actually get access to the types of data that we need
4 for public health more so than just the diagnosis
5 that is needed for patient care?

6 And so I think there is a partnership, the
7 discussion with the diagnostic test manufacturing
8 industry so that those tests are developed such that
9 that data is available.

10 DR. CARRILLO: There's been some really
11 good surveillance projects recently, where they're
12 looking at the sewage projects. And I think that
13 might resolve some of those issues if we -- I think
14 you have to do the surveillance of clinical samples
15 outside. Because the diagnostic tests are just going
16 to be cheaper to do. And that's going to drive the
17 movement of diagnostic tests to pathogen-free.

18 So I think we have to have another way of
19 doing this in our countries. And I like the sewage
20 projects because they get away from having to ask
21 permission. And in Canada, we have a lot of rules
22 around permission to sequence isolates from patients.

1 So it gets on around some of these issues.

2 DR. SCHLUNDT: Yeah. Just to let you know
3 that they -- in some countries, they have asked
4 permission to take the sewage, and were denied
5 permission. So in Japan, for instance, they had to
6 not send the 2 liters of sewage because Japan
7 government denied them this, I think Japan and maybe
8 one other country. I don't know.

9 MS. HRETZ: So I know we have one
10 question -- we have two questions online, and then
11 we'll go over here. So we're going to start with one
12 online. We'll go back and forth.

13 (Pause.)

14 MS. HRETZ: So I think this first question
15 is -- and I'm going to give Scott a microphone, so he
16 can clarify -- is going to relate to, actually, the
17 last 2 days of meetings, because this question is
18 about do any of your organizations do random testing
19 at retail? And if contamination is found, is that
20 forwarded immediately for sequencing?

21 And so this is about retail surveillance,
22 random retail surveillance for pathogens. So does

1 that -- okay.

2 So if you guys want to comment on systems.
3 I know that this relates to NARMS retail in the
4 United States but, you know, how does that relate to
5 any of your systems?

6 DR. CARRILLO: Yeah. We're definitely
7 taking samples from retail, random samples from
8 retail so, at CFIA, and Health Canada does that as
9 well. And if we do find a positive then, you know,
10 it goes to a food safety investigation. And
11 everything is sequenced now.

12 MS. HRETZ: Okay. And we have -- I'll go
13 over here to the left, and then we'll go back online.

14 DR. STRACHAN: Okay. This is a question
15 for Jorgen, and I -- and --

16 DR. SCHLUNDT: Can you speak up? Because
17 some of -- or one of us up here is really old, you
18 know, and not hearing so well.

19 DR. STRACHAN: Okay. Is that a bit better?
20 I'm speaking more directly into microphone now, so
21 that might help.

22 So I enjoyed your talk, and actually

1 enjoyed all three talks.

2 But the question I had was, I was really
3 interested in your point about getting ahead of the
4 outbreak curve. And what I could -- when thinking --
5 just thinking about it and sitting down, if you're
6 trying to head out of the outbreak curve, in terms of
7 human cases, you're only going to have sporadic
8 cases.

9 And it's great with whole genome sequencing
10 that we're able to actually connect some of these
11 sporadic cases because they're actually outbreaks,
12 but there's still a lot of them there.

13 And you mentioned about source attribution,
14 which I think is very helpful. And I think that
15 helps us actually get ahead of the outbreak curve
16 because we can look at the sources of the sporadic
17 cases and do, perhaps do something about that.

18 But the other thing you mentioned was, you
19 mentioned about food safety management systems and
20 what the food industry might do as well. But maybe,
21 could you maybe elaborate a bit more on that?
22 Because I didn't really find out what the point you

1 were making on that particular perspective.

2 DR. SCHLUNDT: So I didn't really get the
3 last part.

4 DR. STRACHAN: So what you said was
5 something about food safety management systems and
6 how the food industry could help us get ahead of the
7 outbreak curve. I think that's what you said in the
8 talk, if I picked you up correctly.

9 I was just wondering if you could make it a
10 bit -- express that a bit more clearly, what you were
11 trying to say.

12 DR. SCHLUNDT: So in the big picture, in
13 the future, somewhere into the future, we will have a
14 big data -- I'm not in doubt about that. We're just
15 suggesting we should start doing it now.

16 We will have a big database that will have
17 all the isolates that everybody has at -- and at
18 ever, and any time, in any type of food isolated, we
19 will have that in the database.

20 That means that as soon as we get an
21 outbreak, or we have one case -- because it could be
22 only one case -- we would be able, in principle, to

1 link it to where it comes from, I mean, in principle.

2 Yeah?

3 So the idea is that if we had these major
4 databases, we would be able to find issues that we
5 cannot find at the moment, and we would probably find
6 that most of the sporadic cases that we say are
7 sporadic are actually parts of small outbreaks or
8 even large outbreaks that we could never find before.
9 And I think there were even examples of that
10 yesterday.

11 So in my opinion, that would change the
12 whole way of food safety management, away from random
13 testing, which is really not helping. I mean, we've
14 tried that for 30 years and we haven't done anything
15 to the global foodborne disease burden. Sorry to
16 say, we have not.

17 We have wasted so much money. And I'm one
18 of the guys who have wasted lots of money, so I'm
19 also pointing at myself. We've wasted so much money
20 without doing something about a problem.

21 In safety -- in traffic safety, they have
22 scientifically looked at where the hot spots are, and

1 they have done something about it, and they have
2 reduced the number of death in traffic, in X country,
3 like Denmark, at least threefold over the last 10
4 years. What have we done in food safety? What have
5 we done? Really, nothing.

6 DR. STRACHAN: Well, I think I could
7 probably argue. I think that all the organizations
8 have done some things, to defend them. I think the
9 point you're making, that it's really important for
10 industry when they isolate organisms, that they put
11 forward those organisms so that they can be sequenced
12 and put into databases, which will help with the
13 comparisons in the future.

14 DR. SCHLUNDT: Yeah, yeah. But I'm saying
15 we've done nothing because the disease burden has not
16 gone down.

17 I know that in the EU, they have taken down
18 the disease burden from *Salmonella* almost 50% over
19 the last, I don't know, 10, 15 years. So there, they
20 have done something.

21 In the U.S., there is also a reduction at
22 some stage. I don't know if it's continuing or not.

1 But the idea of wasting all this money on all our
2 scientists in relation to food safety is that we're
3 doing something about the problems, yes? I think
4 that's the idea, or else, why would society waste all
5 this money on us anyway?

6 Now, we finally have the tool that could
7 actually move forward this agenda in a big way. And
8 then we are still bickering between different
9 countries, between different systems, between
10 different agencies.

11 We have all these problems. We are seeing
12 a fantastic solution -- I heard all these
13 presentations yesterday -- fantastic solution that
14 can really bring things forward, and could actually
15 reduce the real disease burden significantly. And
16 that's what we're supposed to be here for.

17 And now we're having all these small
18 discussions and we don't want to share the data and
19 whatever. It's really, really depressing, when we
20 are looking at this area where we could do so many
21 good things.

22 MS. HRETZ: So, and I think basically -- I

1 mean, you guys are saying the same thing. You know,
2 we have a huge burden, globally, to attack illness,
3 and we've each been chipping away with it, you know,
4 as we can.

5 But I think, you know, there's a potential,
6 working together and with this technology and the
7 great amount of information that it gets us, if we
8 work together, the faster that we get it going, the
9 faster we get these databases and cooperation going,
10 we can take a, hopefully a big chunk, maybe something
11 measurable, maybe something more significant, you
12 know, globally, instead of these little pieces that
13 we've been doing.

14 So certainly everybody's been chipping
15 away, but we might be able to really ramp that up and
16 accelerate the progress by using all of the tools at
17 hand and actually adopting them faster, which there
18 is always going to be some tape in between, but I
19 think that's why we're all here. So --

20 DR. STRACHAN: Thank you.

21 MS. HRETZ: I'm going to take a question
22 from online.

1 DR. UPDIKE: Yes. We have one more.

2 It's will the global database, GMI, include
3 a surveillance program to sample very high-risk
4 populations like food preparers, farm workers,
5 veterinarians, etc.?

6 DR. SCHLUNDT: Could you say again?

7 DR. UPDIKE: Yes. Will the global
8 database, GMI, include a surveillance program to
9 sample very high-risk populations like food
10 preparers, farm workers or veterinarians?

11 DR. SCHLUNDT: Well, the -- I mean, so we
12 are presenting the idea from the GMI community, and
13 we are suggesting that it needs to be discussed
14 between governments. So when governments want to
15 discuss something in international setting like in
16 WHO or FAO, they -- usually, they have a background
17 from expert meetings.

18 So we would suggest that we need to have a
19 number of expert meetings, which would include
20 discussions about these issues and the whole setup,
21 because this is not an easy thing to do. I'm not
22 suggesting that it can be done in a few months.

1 It'll take long time, and you need to have
2 intergovernmental discussions of how you want to do
3 that.

4 But these are clearly good ideas, and there
5 are lots of other good ideas to be included in that.

6 MS. HRETZ: Okay. And I think we've got
7 one more in the room.

8 Or Peter, are you going to --

9 DR. EVANS: Okay. So this question is
10 primarily for Jorgen, but anybody can answer.

11 In your slides, you talked about the
12 experience in Denmark where industry and the
13 regulatory work together to use serotyping to
14 identify the sources of *Salmonella*, salmonellosis,
15 and target the right sectors.

16 And so I want to ask a more general
17 question about, what were the critical factors that,
18 you know, you can take from that, or maybe what's
19 happening in Singapore, to encourage industry and
20 regulators to work together to solve food safety
21 problems?

22 DR. SCHLUNDT: I'm sure that there were

1 many things, but I'll mention two things. One, that
2 in general, government is perceived to be a good
3 thing in Denmark, which is not the case in all other
4 countries. And I know, you know, if -- so if the
5 farmer sees government come to his farm in Denmark,
6 he's happy, because normally the government is
7 helping him or her, which is not the case everywhere.
8 So that's the first thing.

9 The second thing -- so in general, there
10 was a positive sentiment from industry, food
11 production industry. Of course, they will still
12 complain. I mean, Danish farmers will complain about
13 everything, the weather, also the government and
14 things like that. They will still complain.

15 And they will say, cannot be done, will be
16 very expensive. But in the end, they will agree,
17 because they can see science and how it working.

18 The other thing I wanted to mention is,
19 that in the Danish system, there is a lot of
20 collaboration between the different producers. So
21 all the way back from 1870-something, you have these
22 collaborative system. It's not socialism.

1 But you have these -- I have to say that in
2 the U.S.; it's not socialism. But it's the -- all
3 the farmers are working together in one big
4 collaborative system. So if they can do that, they
5 can also speak and negotiate in one voice. And I
6 think that meant a lot, because it meant that all the
7 farmers would be doing the same thing.

8 It wouldn't be like, okay if we do it, what
9 about the other ones? Are they supposed to do the
10 same thing?

11 So I think these were two very important
12 areas. But I think also, this -- the tradition of
13 working together in these -- in this triangle is also
14 a good -- it's a good thing, and it's something that
15 you have to practice. I'm sure you're also doing it
16 in the U.S.

17 MS. HRETZ: No, absolute -- that's a -- it
18 was a good question, and also, definitely, we're
19 going to see that later, because in our Stakeholder
20 Perspective, we're going to have some of the
21 perspectives from some of the groups of corporations
22 and industry and consumer groups that actually helped

1 make this meeting happen.

2 So we definitely do have that sort of
3 common voice coming through in the United States, and
4 that's exactly why, again, we're here.

5 And so I'll go to this gentleman on the
6 right.

7 DR. MUSSER: Steve Musser, FDA.

8 Could you -- two questions, really. Are
9 you using data in the public databases to support
10 your own work?

11 And the second question is, can you talk a
12 little bit about obstacles for uploading and
13 submitting genomic and metadata from food,
14 environmental and clinical samples in your country,
15 and ways that we might overcome those obstacles to
16 improve the database?

17 DR. CARRILLO: Are you talk -- is that for
18 me?

19 DR. MUSSER: Well, all three of you are
20 from --

21 DR. CARRILLO: All three, okay.

22 Yeah. We have -- there has -- at our

1 organization, there's been a lot of -- they asked the
2 lawyers, and the lawyers said no. And that was the
3 biggest problem. And we tried to sit with them and
4 see what exactly their problem was with the metadata
5 upload.

6 And it's been really hard to even get a
7 discussion going. But I think we're -- right now we
8 just had our first access to information request for
9 genomic data. And it's been a really big, hard thing
10 for our organization to organize.

11 So that might be something that pushes
12 things forward, the fact that, you know, the data
13 is -- we're supposed to be an open data government.
14 So if people want the data, we should have it in a
15 public repository.

16 There's -- you know, I think with the
17 metadata sheets that you have, we could -- the
18 minimal data that's required should be okay. They
19 don't want identifying information for companies or
20 company addresses or anything like that, but that's
21 not required right now. So I don't think it's
22 anything there.

1 It's about having conversations with the
2 right people who -- and being able to address their
3 concerns in a -- sort of finding out exactly what the
4 concerns are and being able to respond to them
5 directly.

6 But I find it's always a game of telephone
7 in government. So whoever has the objection, there's
8 three levels that I have to go through before I
9 can -- and it gets passed back and forth for a long
10 time.

11 DR. SCHLUNDT: In the region around
12 Singapore, we are trying -- with this small project,
13 we are trying to start the discussion, because we
14 will get whole genome sequencing of, I don't know,
15 500, 600, 700 strains from the region, maybe more.
16 And then we will suggest to upload them into
17 GenomeTrakr or something else, probably GenomeTrakr.

18 So then we will start the discussion in
19 that way. And I don't know how it will go, but at
20 least we will start the discussion in six different
21 countries, whether that makes sense or not.

22 I know that there are a lot of countries

1 that will say, oh but this is very difficult; we
2 can't do that. But the thing is, it seems like
3 GenomeTrakr is working, even if -- even though you
4 are actually putting the isolates up within, as I
5 understand, few days. And I don't see anything
6 falling apart in the U.S., at least not in this area.

7 So I'm pretty sure that it can be done.
8 And again, the example from U.S., that you can
9 actually do that without hurting industry or hurting
10 someone else, or hurting other countries, would
11 really be a very strong example to take to other
12 countries and say, it can be done. But we just have
13 to start doing it.

14 That's also why we're starting that small
15 project there. It's just so that countries will
16 start to get used to that this is the way of the
17 future. And I'm really totally convinced that it's
18 going to happen.

19 Of course, all the concerns of different
20 stakeholders and, you know, they have to be
21 addressed. Again, that's why we are saying we need
22 to have intergovernmental discussion, not

1 conversation between people like us, because we are
2 way too low. Maybe you are not too low, Steve, but
3 the rest of us, we are way too low-level to discuss
4 this.

5 This has to be ministerial level in
6 countries. It really has to. And even in some
7 countries, it might have to be prime ministerial
8 level.

9 DR. MUSSER: Thank you.

10 MS. HRETZ: Do we have anything else
11 online?

12 (No response.)

13 MS. HRETZ: Okay. Do we have anything else
14 in the room for this panel?

15 (No response.)

16 MS. HRETZ: Okay. Well, that puts us a
17 little bit ahead of schedule, which is never a bad
18 thing because we've got a lot going on after this
19 panel. And so we have a break until 10:30. So
20 that's plenty of time to get coffee, get moving, and
21 then we'll be right back here for our Stakeholder
22 Perspectives.

1 (Off the record at 9:51 a.m.)

2 (On the record at 10:33 a.m.)

3 DR. SHAW: Hi, everyone. I think we're
4 going to get started for our next session.

5 So for any of you who don't know, I'm Bill
6 Shaw, and I'm from FSIS Office of Policy and Program
7 Development, and I am the Director of the Risk
8 Innovations and Management Staff.

9 While I do have the floor, I want to make a
10 shameless thank you to my staff members who have --
11 you've been seeing for most of these past 2 days. A
12 lot of people throughout FSIS have worked hard to put
13 this on, but while I'm up here, I do want to thank
14 especially Peter Evans and Stevie Hretz, who you
15 probably have talked to at some point over the past 2
16 days.

17 And I want to thank you -- thank them for
18 their work, and various other parts of my staff that
19 have been doing logistics for the past 2 days. So
20 thanks for bearing with my shameless thank you to
21 them, because they've worked really hard.

22 And moving on to our actual session, so

1 this session is entitled Stakeholder Perspectives.
2 And WGS has and will continue to affect the food
3 industry and consumers of food, and that's all of us.
4 And many concerns were expressed, which encouraged us
5 to organize this public meeting.

6 And these next talks will talk about a lot
7 of those perspectives throughout, with industry and
8 consumers and other academia, and their impacts and
9 their experiences with whole genome sequencing.

10 So the speakers for this session are
11 Jennifer McEntire from the United Fresh Food
12 Association, a fellow Blue Hen and graduate of
13 University of Delaware, as myself; Angie Siemens from
14 Cargill Protein Group; Mansour Samadpour from IEH
15 Laboratory and Consulting Group; Alvin Lee, Institute
16 for Food Safety and Health; and Vanessa Coffman for
17 Center for a Livable Future.

18 We will be taking questions at the end of
19 the presentations in a Q&A session like we've been
20 doing for the past 2 days. We'll also -- if people
21 online have a question, type that in and we will sort
22 of filter them in during our question and answer

1 period.

2 And for no other needs, I'm going to hand
3 it over to Jennifer.

4 DR. McENTIRE: Good morning, and thank you
5 very much.

6 I first want to -- are we working? No.

7 I first want to give a real thank you to
8 USDA-FSIS and -- for putting on this public meeting.
9 I'm here to represent the breadth of the food and
10 beverage industries and the perspective that we
11 collectively share, although I am with the Fresh
12 Produce Association. And I'll make a couple of
13 comments specific to fresh produce.

14 In terms of who is food and beverage --

15 Are you able to advance the slide please?
16 Thank you.

17 To provide a little bit of background,
18 about, almost 2 years ago, several of the industry
19 associations realized that we all had similar
20 concerns and initiatives around *Listeria*
21 *monocytogenes*, and began to coordinate our efforts,
22 the American Frozen Food Institute kind of

1 spearheading that gathering, and many of the
2 discussion led themselves to whole genome sequencing.

3 And we realized quickly that whole genome
4 sequencing is not applied solely to *Lm*, and that
5 maybe we needed to have another group, a more
6 inclusive group on whole genome sequencing.

7 And so several of us, those indicated here,
8 got together, and we have about a monthly
9 conversation, not to really talk about our
10 initiatives, because I think we're very early in the
11 process, but to talk about some of our mutual
12 concerns, and just share knowledge. And so I have
13 shared this deck with others at these associations,
14 and so I hope that my comments accurately reflect the
15 discussions.

16 What industry heard, I would say, a few
17 years ago, and the reason that we have this concern
18 amongst our collective memberships, is that whole
19 genome sequencing is the greatest thing ever, and we
20 really don't need epi anymore. Epi, ah, off to the
21 side. We have whole genome sequencing, and that is
22 the silver bullet. We can now solve all cases of

1 illness, match them to facilities perfectly.

2 Perhaps it wasn't said exactly that way,
3 but that's what industry heard. More recently,
4 including at this meeting, we've heard a much more
5 tempered, balanced perspective that a whole genome
6 sequence match between a food and clinical isolate
7 doesn't always mean, it doesn't necessarily mean that
8 that particular food caused illness, and that epi and
9 traceback are still critical components of the
10 investigation.

11 Still, I think sometimes we continue to get
12 mixed messages, and it's very difficult, when
13 industry has heard the former assertion that whole
14 genome sequencing is it, it's very difficult to undo
15 that perspective. And we've seen action taken
16 against the industry based on whole genome sequence
17 finding.

18 So here's an extract from a warning letter
19 that, comparing the whole genome strains found within
20 a facility to the whole genome sequence database and
21 looking back in time at other isolates taken out of
22 that facility "shows that *Lm* has maintained its

1 presence in your facility since at least" the time
2 that it was first isolated, and that this means that
3 you are potentially preventing -- you're not capable
4 of preventing the contamination of food, thus a
5 warning letter.

6 And this is a very serious deal to the
7 industry, obviously, to receive a warning letter.
8 Nobody wants that. And it has prompted some
9 questions around whether or not that assertion is
10 valid, whether the finding of a specific pattern over
11 time is always indicative of insanitary conditions.

12 On the FDA regulated side, I'm not sure
13 that when the FD&C Act was written oh so many decades
14 ago, that Congress had the foresight to envision
15 whole genome sequencing and use that as a tool to
16 make a claim of insanitary conditions.

17 We do know, and see the benefit of whole
18 genome sequencing in outbreak investigation, and we
19 know that it's resulted in recalls. Sometimes there
20 is fantastic epi and traceback evidence that supports
21 whole genome sequencing. Sometimes some of these
22 things are a little bit more questionable.

1 It also makes us wonder about the
2 definition of an outbreak. When we're able to look
3 at nine illnesses over the course of, in one example
4 with the frozen vegetables, nine illnesses over 2½
5 years that that's -- you know, does that still meet
6 the definition of an outbreak as we've conventionally
7 thought about outbreaks.

8 In the Wawona recall, associated with stone
9 fruit, there were a couple, a couple of cases of
10 illnesses, two, another two that were ruled out, that
11 had -- seemed similar by PFGE but whole genome
12 sequencing was able to rule them out.

13 So we see, obviously, the power of whole
14 genome sequencing to link a food isolate or a
15 facility isolate with just a couple of cases of
16 illness.

17 Looking back again at the frozen food
18 outbreak, there was this corn, and *Lm* was isolated
19 from the frozen corn. It was closely related,
20 genetically, to eight isolates from ill people. But
21 the report goes on to say that subsequently, other
22 facilities were swabbed. And in one, the Oregon

1 Potato Company, they also had isolates closely
2 genetically related to eight of -- to these eight.

3 So what is the relationship between an
4 individual food in an individual facility to illness
5 versus looking more broadly and looking at the supply
6 chain and the variety of inputs, factors,
7 ingredients, shared fields, shared equipment, that
8 may make the situation much complicated than perhaps
9 we originally think, that one food out of one
10 facility is absolutely always linked to cases of
11 illness?

12 And so as our associations, various
13 associations were discussing some of these concerns
14 and seeing what was happening to our members, the way
15 that this was impacting our members from a compliance
16 standpoint, a regulatory standpoint, we developed a
17 list of questions that we felt we wanted the
18 agencies, FDA, FSIS, and CDC to address,
19 collectively, in a public meeting.

20 So roughly a year ago, we put our list of
21 questions together. We sent it to the agencies. And
22 again, very much appreciate that FSIS has taken the

1 lead in putting this public meeting together.

2 So the questions that we asked in that
3 original letter were, is there equivalency of methods
4 between regulatory and public health agencies, SNPs
5 versus MLST?

6 There has been some work done, some studies
7 published, that show that typically, these different
8 methods of interpreting the genome do result in
9 similar conclusions, that the differences are perhaps
10 a little more academic than based in -- and not a
11 cause for concern.

12 Are there any plans to sunset PFGE? And
13 very recently, FDA had a meeting on GenomeTrakr where
14 we heard different things from different agencies and
15 governments in different parts of the world about
16 their plans to increase the use and the reliance on
17 whole genome sequencing versus PFGE.

18 Is there, or will there be a compliance
19 policy guide to govern the use of whole genome
20 sequencing? Again, looking at it from the regulatory
21 and compliance perspective, as we evolve in our
22 understanding of the science, as we collect more

1 data, as we build the database, how does this tie in
2 to actions that are taken against facilities?

3 And how is epi and traceback information
4 used in conjunction with whole genome sequencing? So
5 is it that first blurb, that whole genome sequencing
6 is it? Or is it the latter blurb, that epi and
7 traceback still play critical roles in an
8 investigation?

9 We understand that, you know, sometimes
10 there can be sample mix-up; sometimes there can be
11 contamination within the database. And so we want to
12 understand and make sure that if a claim is made
13 against a facility, that it is based on good science,
14 and sound and transparent policy.

15 Of particular concern, and -- what we often
16 talk about is retrospective analysis. So once a
17 pattern is in the database, whether it's clinical or
18 from food, it seems like it would be there forever.

19 And so there is a potential and a strong
20 fear that clinical isolates, and especially as the
21 public health agencies go back into their freezers
22 and begin sequencing older clinical isolates, putting

1 them in the database, that it will be a match to
2 something present day.

3 And will that be a smoking gun, even if
4 these are years apart in time? Again, it ties into
5 our questions about the role of epidemiology and
6 traceback.

7 We'd also like to understand the
8 collaboration with other federal agencies, other
9 types of programs -- I know the NARMS meeting
10 immediately preceded this -- and internationally, so
11 we heard about GMI and the need for those
12 intergovernmental discussions about everybody's use
13 of whole genome sequencing.

14 We'd also like to understand the flow of
15 that sequence information. There are a couple of
16 different databases out there. Again, at that
17 GenomeTrakr meeting, there were some interesting
18 comments made, interesting back-and-forth between CDC
19 and FDA about the different databases and the
20 confidence that the agencies had in those databases
21 and the data in them.

22 Many of these questions -- again, these

1 were submitted about a year ago, and we have had many
2 discussions. There have been many opportunities to
3 discuss several of these questions. And I do want to
4 recognize that.

5 So IFSH -- and Dr. Alvin Lee will be
6 speaking later on this panel -- has coordinated a lot
7 of these efforts, a lot of the discussions between
8 the industry, academia and government. And there
9 have been one-off meetings between different
10 associations, different product types, with the
11 relevant agencies.

12 And usually the topics have been around the
13 science itself, methods, interpretation, the concerns
14 around regulatory use, although I think we still --
15 although we've asked the questions and the dialogue
16 has begun, it seems that the regulatory agencies are
17 still working on putting those policies down on paper
18 so that a standard is clear to the industry.

19 And then consistency and alignment between
20 the public health and regulatory agencies, so I think
21 the topics are, have been discussed, and fall into,
22 usually, these three categories.

1 What I'd like to do now is give you an
2 illustration of a situation that I was involved in
3 with one of our members pertaining to whole genome
4 sequencing and this claim of a potential resident
5 strain. So it's small, but I'm happy to share my
6 slides. I'll read you the pertinent information.

7 This was from a letter, not a warning
8 letter, but just an individual letter to this
9 facility, from FDA, from the district, that said that
10 in the 2017 inspection, there were two environmental
11 swabs that were positive for *Lm*. And it goes on to
12 state where they came from.

13 And the Agency notes that in 2015, there
14 were three locations that were also positive for *Lm*,
15 and in 2013, that inspection revealed *Lm* in three
16 locations. So we've got eight isolates altogether,
17 over -- found over three times.

18 The bottom sentence -- so then there's a --
19 there was a bunch of stuff in between the two
20 paragraphs, then a paragraph describing what whole
21 genome sequencing is. And the bottom sentence
22 concludes with, "WGS analysis finds that there are

1 resident strains of *Lm* within your facility."

2 So the member's kind of freaking out a
3 little bit, says, what does this mean and what do I
4 do? I said, well, finding an element in a facility
5 is definitely not a good thing. We all understand
6 that.

7 But I asked, of these eight isolates, where
8 exactly were they? So we can see the two that were
9 found most recently, but of those other six, where in
10 the facility were they? And are all eight related by
11 whole genome sequencing, or is it just a few of them?
12 How many of them are related?

13 And the member said, I don't know. This is
14 the whole letter. I'm sharing with you what I got.
15 I said, well you need to find this out, so that you
16 can really investigate and try to understand what's
17 going on. This is a fresh produce operation, which
18 has its own challenges in trying to understand if
19 this is truly a resident or a repeat introduction of
20 I guess what I'd call a resident in the field.

21 So I said, well if we can understand where
22 in the facilities these positives were found, how

1 many of them were linked through whole genome
2 sequencing, you know, then maybe we can try to
3 further investigate this, but you need that
4 information.

5 So the member asked FDA, and got an email
6 back 3 weeks later. I mean, it took her a couple of
7 day to ask, but the response came 3 weeks after this
8 letter, that said, "As requested, I have attached the
9 samples," and I saw some of them, and it was the PFGE
10 patterns, "which have been analyzed and found to be
11 positive for *Lm*. The WGS data will be provided at
12 our meeting."

13 So FDA, in that original letter, had asked
14 for a meeting to discuss this situation. So, "The
15 WGS data will be provided at our meeting. It
16 requires clearance and is being processed."

17 And I thought, that's, that seems kind of
18 strange to me. So I said, well ask some more. Let
19 me give you a real list of very specific questions
20 for you to go ahead and ask.

21 So at Day 34, we got the response. "Late
22 yesterday afternoon, I" -- the investigator --

1 "received the WGS report. The tree doesn't include
2 the verbal analysis, which is really the most useful
3 information." Yes. "Analyzing and interpreting
4 these trees are delegated to our experts to avoid
5 misinterpretation. CFSAN experts will further
6 explain the analysis during the meeting."

7 So I later -- eventually the firm was
8 provided with that tree. I was able to take a look
9 at it. And while helpful in some regards, the
10 samples were coded -- encoded, in a way that you
11 couldn't tie it back to where in the facility these
12 positives actually came from.

13 So it was really inadequate to provide
14 actionable information to this facility to understand
15 what might have happened, do their investigation and
16 take their corrective actions.

17 The meeting occurred at 56 days. And I
18 will, to FDA's credit, let you know -- I think it's
19 fair to let you know, I have talked to Steve Musser
20 about this, walked through this particular situation,
21 and he agreed that yeah, this didn't quite happen
22 right.

1 But I think that that's what prompts us to
2 ask, what is the policy? What is the standard within
3 the Agency itself when communicating information
4 about whole genome sequencing and, you know, the
5 claim that there's a resident strain to a facility?

6 So what are the things that we would like
7 to see provided by the agency, or agencies, when
8 reaching out to a company that may in fact have a
9 resident strain?

10 On the science side, providing that
11 actionable information, so where were these pathogen
12 sample positives actually found? In what zones were
13 they? What were the dates, the specific dates over
14 time, tied to the location? Has any product tested
15 positive?

16 In this case, only at that meeting did this
17 facility find that the database did have product
18 positive, not tied to their facility, but that there
19 were additional positives within the database.

20 And it's very difficult to react on the
21 spot to that information. It would have been much
22 more helpful for the operation to know this before

1 walking into that meeting.

2 Can they see the full analytical packet,
3 the full set of data that supports the information,
4 in this case, in the letter? So really, what is the
5 evidence?

6 And how similar are they? So while we may
7 debate how many SNPs you need to be similar or
8 different, still, I think it would be very helpful to
9 know if there is 0 or if there is 20.

10 What was the method to determine these
11 differences? What is the reference strain for
12 determining relatedness? This is information that I
13 think should be pro-offered. We shouldn't even have
14 to request this information.

15 Importantly, of course, a facility would
16 want to know, has any of these sequences been
17 associated with human illness? And if so, are there
18 any metadata? Can we begin to ascertain whether or
19 not this illness may have been associated with
20 product produced in this facility?

21 And again, I'll point out, and I think it's
22 really worth emphasizing, that for products that

1 don't have a kill step, myself representing fresh
2 produce, but other raw products, that a repeat
3 transient is certainly possible.

4 I just -- I'm not sure that we've collected
5 enough information, that we've done enough research
6 to understand the prevalence of the strains in the
7 environment, that we understand the evolution, as was
8 mentioned before, to really differentiate between a
9 true resident and a problem within that facility and
10 sanitation within the facility, and a potential
11 contamination of incoming ingredients, incoming raw
12 materials that are constantly introduced.

13 I think it would be very fair to ask a
14 facility, show me your environmental monitoring. I
15 think that would help support or defend the facility
16 against this claim of insanitary conditions.

17 So, again, looking at the total picture,
18 not just the reliance on whole genome sequencing
19 alone, but understanding what's happening in the
20 facility, what is the relationship with a potential
21 food product, has this equipment moved from one
22 facility to another? And could it be the source,

1 even if it's a different food product? As the
2 previous speaker said, it's complicated.

3 So here's just a visual kind of
4 illustration, when we have in this case, an orchard,
5 that maybe there is -- we know for *Lm*, we call it
6 ubiquitous in the environment. So we know that
7 there's some low-level out there. And how low it is,
8 how often you find it, again, we don't have adequate
9 research, the science to support that just yet.

10 But what is the diversity of isolates?
11 What is the rate of evolution? There is discussion
12 about hypermutators. Do we understand the organisms
13 themselves enough to make a differentiation between a
14 resident that's really a transient in a facility or a
15 true resident?

16 We also want to see -- better understand
17 the policy alignment, so working NARMS, the states,
18 many of them are doing whole genome sequencing.
19 Department of Homeland Security, other research
20 projects, many groups are doing whole genome
21 sequencing for different reasons. How do they
22 communicate with each other and when do they

1 communicate with each other?

2 If a non-regulatory agency is -- finds a
3 positive, do they communicate with the regulatory
4 agency, and what's the process? What happens?
5 What's the flow of information? And when does
6 industry get looped into this?

7 So I've been asked by our members, should
8 we be doing -- we as industry be doing whole genome
9 sequencing? And the pros and cons, obviously the con
10 is, your sequence goes into the database, and you may
11 wind up being penalized for that.

12 So although you want to be doing the right
13 thing, you want to be utilizing new technology, you
14 don't want to have that progressive and proactive
15 stance held against you.

16 We know, of course, it could be incredibly
17 useful when a facility does have an issue, and
18 they're looking -- now my recommendation to them is
19 to not use whole genome sequencing right off the bat.
20 But perhaps, further down the road in an
21 investigation, it could be very helpful if you're
22 finding repeat positives, to understand, are these

1 repeat positives potentially genetically related to
2 each other?

3 And then I can, as a facility, look. And
4 well, does it lead me down the path of a crack in a
5 floor, or is this a traffic flow type of issue? So I
6 think that the information could be quite useful, but
7 there's a great deal of reluctance to have that
8 information today. So in this case perhaps, maybe
9 ignorance is bliss.

10 There's also concern that if a facility
11 collects this information, does move forward with
12 whole genome sequencing, must they share the
13 sequence? Again, is ignorance bliss? Would you
14 rather not do any testing to begin with?

15 If you testing and you get an isolate,
16 would you rather not know the sequence? And so
17 certainly we don't want to encourage that kind of
18 behavior, but I think the regulatory environment
19 today is such that these are very real risks that
20 need to be considered.

21 Even services that offer to blind the data,
22 that, you know, they will manage the data for you,

1 does that really reduce the risk? And I think we
2 need a discussion with lawyers to understand where
3 the lines are drawn here, and what -- who has access
4 to what information, when, and under what
5 circumstances.

6 I mentioned previously that it would be
7 helpful for an industry to have metadata to
8 understand if perhaps that positive was linked to
9 them. On the other hand, they're probably pretty
10 reluctant to share their own metadata because then
11 you could look in a -- one could look in a database,
12 depending on the access of information, and really
13 pinpoint which facility was having issues, through
14 the associated metadata.

15 There are some real opportunities, and
16 needs, I think, to progress with whole genome
17 sequencing and expand it much more fully. We know
18 that this year and last year there's been a real
19 uptick in cases of cyclosporiasis, associated with --
20 or not associated with foreign travel, so domestic
21 cases.

22 And we don't have a way to differentiate

1 cyclospora from one another. Whole genome sequencing
2 could really help us understand if these 600 or so
3 cases are all the same, or if they're different.

4 We've also heard on *Listeria monocytogenes*
5 that when you're really evaluating the genome, and if
6 you can begin to understand virulence, that perhaps
7 this would drive *Lm* risk assessments, recognizing
8 that it's the more highly virulent *Lm* that are
9 resulting in illness. And maybe not *Lm* or all
10 *Salmonella* or even all STECs are the same.

11 So how can we use and really harness the
12 information in the genome, understand how it relates
13 to the phenotype, and understand how it relates to
14 illness?

15 So, in summary, the industry recognizes
16 that whole genome sequencing is here to stay, that
17 it's not going away, that it is an incredibly
18 powerful method, but wants to make sure that policy
19 decisions, the decisions around compliance, are based
20 on a full -- a relatively full and well understood
21 dataset, that the limitations of those datasets are
22 understood.

1 So our outstanding questions, I think,
2 opportunities for discussion are around the role of
3 whole genome sequencing in an outbreak investigation,
4 how it relates to one-off sporadic cases of illness,
5 the quantitative methods that was discussed before,
6 live verse dead.

7 Do you have the opportunity to enumerate,
8 to start to think about dose response? You can't do
9 that when you have a culture independent method. The
10 retrospective analysis, and then finally, what guides
11 regulatory use, that's what industry wants to know.
12 What are the rules of the road? And please be
13 transparent in the use of whole genome sequencing.

14 So, with that, I know we'll save questions
15 to the end, and I will pass it back over to Bill
16 Shaw. Thank you very much.

17 (Applause.)

18 DR. SHAW: Thank you, Jennifer. I think
19 those are things that we all need to be thinking
20 about as we move forward.

21 And moving on to our next speaker, I'm
22 going to ask Angie Siemens from Cargill to come on

1 up.

2 DR. SIEMENS: Good morning. My comments
3 are going to be very similar to a follow-up with
4 Jennifer, and I think they'll complement well.

5 My focus today is on the meat producers.
6 And really, a differential, and you'll hear a little
7 bit of this between how USDA has approached meat and
8 poultry as a regulatory space and as opposed to FDA.
9 And there's some historical perspectives, I think,
10 that gets us in a different place today on that.

11 But the first part I want to talk about is
12 talking about some stakeholder roles in how we move
13 forward, because we've heard an awful lot in some
14 spaces -- and we'll come back to that -- what's
15 working in meat production, because I do think there
16 are some models that are working in how FSIS is
17 approaching the meat industry.

18 Concerns, although not perfect, right, so
19 we still have some concerns. And then there was a
20 question about what -- does whole genome sequencing
21 have an application for meat production? Me, as a
22 meat processor, what might I use in this space? So

1 I'll come back to that just a little bit in the end.

2 So first off, let's talk a little bit about
3 the stakeholder roles. You heard a little bit, the
4 speaker this morning talk about the triangle, right,
5 between innovations and technologies. You know,
6 there's a stakeholder in the industry, and love that
7 space on how do we get to the innovation? What are
8 those new technologies? How do we move forward in
9 the food safety space?

10 Then we've got laws, regulation,
11 compliance, right, and then application to safe food.
12 There most of the time is a dialogue, right, that
13 occurs between these, and back and forth. And a lot
14 of folks refer to it as a two-way street.

15 I cannot just drop an innovation into our
16 facilities and run with it. Lots of issues end up
17 occurring associated with it, particularly when we
18 don't understand the law and regulation and
19 compliance portion of some of those innovations.

20 We've had to eliminate some interventions
21 because they've not been approved internationally,
22 right. And so there's a lot of dynamics that go on

1 between the stakeholders relative to how do we get to
2 a food safety system that ultimately delivers that
3 food safety product?

4 Now, I would like to say that it's not
5 quite the two-way road, you know, exchange. I kind
6 of look at it as a spiral, right, you know, in this
7 spring with lots of tension, right, that ends up
8 occurring. And I think we're in that tension space
9 right now, particularly as we're trying to understand
10 a new technology rolling in.

11 Do we really have all of the answers
12 relative to the policy? Do we understand the
13 compliance? And you heard that from Jennifer before.
14 I think right now, that spring, pretty high tension
15 right at the moment.

16 But we all have that goal of having a food
17 safety system that ultimately delivers a safe
18 product, and we've got to figure out how we adjust
19 that tension and have that dialogue, moving forward.

20 So, with that, there's a couple of things
21 that I do want to say, and how we relieve some of the
22 attention in the meat space. First off, what is

1 working?

2 I really do believe that the more tempered
3 approach that USDA has taken has come with 20 years
4 of maybe some intensity and some tension. But
5 they're using a tool within the current policies.
6 And it's been, like I said, a 20-year piece in
7 putting some of the policies together since the mega
8 reg came out in 1995.

9 So what are those? The use and allowable
10 use of prerequisites as part of our pathogen control
11 policies, I think, has been a big piece, that has
12 allowed us to have a little less tension in the meat
13 space. What do I mean by that? Let's talk about
14 *Listeria* control.

15 In the late 90s, we had some major issues
16 in the industry relative to some outbreaks associated
17 with meat and poultry. At that time, the industry,
18 working with USDA, determined that the industry was
19 allowed to go in and seek and destroy. And as long
20 as they were continuing to do that, show their
21 corrective actions, then they weren't penalized for
22 finding *Listeria* in the environment.

1 I tell you what, listeriosis has dropped
2 over the last 20 years related to meat and poultry
3 items because USDA has allowed us to go in, look in
4 the environment, take action, document it. They
5 provide oversight, you know, with that. And we
6 understand more about our supply chain.

7 We also understand that just because it's
8 not in the -- or because it is in the environment,
9 i.e. a drain, does not mean automatically that it's
10 on a food contact surface. That is a huge piece that
11 we have learned with the data that we have created.

12 And that has allowed us to have this
13 conversation of hey, if I have a resident whole
14 genome pattern, I can probably say yeah, I probably
15 knew that, because we do find *Listeria* in the
16 environment on a repetitive basis in someplace
17 that's -- now repetitive can be every 6 months, a
18 year. We will find it. But the action is to assure
19 that if I find it in the environment, I do not get it
20 on product contact.

21 And that is huge. Allowing us to do that
22 prerequisite program as part of our food safety

1 program, to allow tools like whole genome sequence
2 add a little more data, and allows us to continue to
3 reduce the possibility that it ends up in product.

4 Same thing with STEC and in the *Salmonella*
5 space. Non-O157 *E. coli*, right. Taking a look, and
6 a watershed moment in 2002 when USDA declared that we
7 could test product for *E. coli* O157, and a negative
8 would be a negative, and a positive would be a
9 positive, and allow us to continue that testing
10 program, and subsequently do disposition on the
11 positive product.

12 We would have not moved forward with
13 control of *E. coli* O157 had we not been given that
14 opportunity. And I can tell you today, we still have
15 positives in raw meat. But we are allowed to work
16 with that, continue with our control programs, go
17 back to our sanitary dress, and have those programs
18 in place to continue to work on not repeating the
19 same failures we did on the last positive.

20 That's been huge in the meat space, and
21 we've had great advancements because of that. And I
22 think the learning curve that we've been on the last

1 20 years, the FDA with FSMA and those parts of the
2 industry that's not had that 20 years are trying to
3 put that in like 2 years. And I think it's going to
4 take a little longer than that to make that happen.

5 Foodborne illness investigations. *E. coli*
6 O157 has presented lots of opportunities for the
7 Agency to work with the industry relative to how do
8 we go through foodborne investigations.

9 USDA very early came out and said, even if
10 we have whole genome sequencing information,
11 epidemiology matters. And I tell you what, the
12 industry applauded. I know I've been in lots of
13 conversations -- let me temper that, maybe not lots,
14 a few conversations, relative to investigations, and
15 really asked, you know, I want to know that my
16 product was available for sale, for consumption of
17 the individual that was ill.

18 And I will take the right action. I want
19 to make sure that I've taken the right product out of
20 the system, not too much, not too little. And the
21 epi is critical to that, you know, part of the
22 discussion, even if I've got a whole genome sequence

1 match, you know.

2 And USDA's been very good. I know, the one
3 piece that I will come back with is, the timing is
4 horrible. Right. You get a PFGE; you start an
5 investigation. You get it from three states. You
6 kind of think you know what the lot may be, and the
7 source.

8 And then, in swoops two different
9 additional data points from a state that's 2 weeks
10 behind on their analysis because their resources are
11 different. And then oh, by the way, we get the whole
12 genome sequence in about 5 days later.

13 We've got to figure out the coordination of
14 how data during an investigation comes in, and when
15 is the appropriate time to take some action. And
16 there's a real tension today on public health alerts,
17 on the timing related to when do you take action and
18 not, because we are not on the same time frame across
19 the public health and particularly state labs as
20 they're pulling this data together.

21 And it really is difficult to make an
22 informed good public health decision if you're in

1 those situations, going forward.

2 What are compliance? I really do think --
3 and we have the luxury of having -- I'll put that in
4 quotes, inspectors in our facility every day. And so
5 they are familiar with our programs. They're
6 familiar with the corrective actions that we take in
7 place.

8 There's been really established compliance
9 associated with it. So that is a differential,
10 really, between where the food industry is, and the
11 meat industry is, and it's helped. And FSIS
12 recognizes that, so we have a lot more history.

13 All right. So what are some of the
14 concerns that we have? I really do think this
15 discussion that we've had, including the NARMS
16 discussion about international quality standards, you
17 know, what is the quality of the data and what's the
18 quality of the metadata that's going through?

19 With all of the international products that
20 are coming in, do I really know if I've got some
21 matches? You know, if it doesn't match anything in
22 the USDA database, is that because we haven't reached

1 out and understand on a spice coming in, that it
2 might be an issue in another country and being able
3 to take a look at that?

4 How can we do a better job of assuring that
5 we've got quality assurance where we need to,
6 databases that talk to one another, so that we can
7 understand the entire supply chain, not just the
8 parts that are being focused on today?

9 Informatics, I don't know how many times
10 we've gone through and asked some folks about
11 informatics, and it depends on who does it, what it
12 might tell you, particularly when you get into some
13 of the STEC stuff and some of the *Salmonella* pieces
14 there.

15 So we do have some concern about, what is
16 that proficiency? How can I really have confidence
17 that if you say it matches, it matches on that?

18 Inconsistent metadata, I mentioned that.
19 What is a match? And that's been talked about
20 numerous times. That where the epi, for me, really
21 comes in.

22 And as Jennifer made a comment, too, all

1 right, if you said it's a match, tell me if it's 2,
2 tell me if it's 20. Let's be transparent relative to
3 what the definition is or the data that's presented.

4 Initiatives to close that gap, we mentioned
5 the GMI. If it's not GMI, then what is it? Right.
6 How can we move to kind of that global standard so
7 that we can share?

8 I know there's some ISO development going
9 on right now in terms of some of the procedures. I
10 applaud that. That helps us in making sure that we
11 have confidence if somebody presents it to us, that
12 we can take action on good data, moving forward.

13 Agencies will approach the pathogens the
14 same. A conscious decision was made by FDA and USDA
15 to focus in on *Listeria*. And there's some real
16 differences between a *Listeria*, you know, with a
17 conserved core. They've showed that, you know, over
18 10 years, you can have the same strain occur in a
19 facility. The supply chain varies, especially when
20 you get in to the STEC and *Salmonella* world.

21 *Listeria*, while produce can bring it in,
22 you know, STEC and the supply chain is totally

1 different. Most of that is entering a facility from
2 the animal sector. And I buy from the same feed lots
3 as my competitors do.

4 So we've really got to understand, what
5 does that look like? What's the difference? How do
6 you treat the actions associated with matches in each
7 of these pathogen sectors, because I really do
8 believe they vary?

9 Ubiquity in supply chain variation, I
10 talked about that already a little bit. A lot of us
11 have common live animal and raw match supplies. And
12 I had the opportunity to take a look at the raw
13 material supplies in a PFGE basis in the past.

14 And I can tell you that if I bring raw
15 material in from the same facilities, I'll bring
16 those patterns into both facilities. And it's a
17 matter of how it occurs in the facility and go
18 through, so I'm not sure a database is broad enough
19 and expansive enough to make those one conclusion, if
20 I found it in this facility, you're it.

21 Now, I've gotten those calls that say you
22 are a plant of concern. I don't know how many of

1 you've had those. But they have been tempered on
2 trying to understand, then, the supply. And I
3 appreciate that from USDA on asking that. But I tell
4 you what, we share supplies; we share raw materials.

5 Ground beef, particularly, is a formulated
6 item. We have lots of sharing that goes on all the
7 way through.

8 Do we have enough nonclinical samples? I
9 really struggle a little bit on some of the
10 connections and then, really, do we have that,
11 particularly in the non-meat space, as we go forward?

12 I've heard a lot of discussions. There's
13 some really cool stuff that's going to be able to, at
14 some point, be able to lend us to some risk
15 assessments. But if you take a look at the data
16 today, in the database, you would point to papayas as
17 being, you know, the number one issue.

18 But I really look at the database and --
19 give you an example. If I have a hundred -- you
20 know, just say the database has a hundred strains in
21 it. If you looked at the data that was presented, 10
22 of those could be the papaya, so your risk assessment

1 would say it counts for 10%.

2 But when you go back and look at the ten,
3 those 10 were probably all generated through one
4 crisis, one supply chain. So as doing a risk
5 assessment, does that lend 10%? Or should it be
6 pulled back and counted as one?

7 I think there's a time and space that we
8 have to figure out within this database if we're
9 going to move forward with it in risk assessment. So
10 I'm really concerned in that space right now.

11 This is my favorite topic, and anybody at
12 USDA that's talked to me knows this. 5000.2, most of
13 you outside USDA will not know this. This was a
14 directive that was given, and pretty much said that
15 the inspector has the ability to look at any
16 information that they deem that we are making a food
17 safety decision off of. They deem.

18 And it has dampered the kind of work that's
19 being done in our facilities. A lot of research
20 folks, I've had researchers approach me about doing
21 research studies, you know, help me understand how
22 non-0157 comes down my slaughter line. All right.

1 That data, under 5000.2, has the ability to
2 be shared with the Agency and goes to an inspector
3 who does not have training in looking at research
4 studies, doesn't always know if I'm looking at new
5 interventions, what that implication is.

6 We have continued to have dialogue with the
7 Agency and struggle on how can I use the new
8 technologies when I don't know what they mean, and
9 give me the ability to figure out what they mean
10 before they go into a compliance realm, and really
11 struggle with that piece of it.

12 I also struggle with the -- and Jennifer
13 alluded to this as well, with those folks that move
14 forward, those early adopters of technology that have
15 more data, end up being penalized in some cases
16 because they have more data.

17 And I don't know where we align that,
18 moving forward, you know, in some cases, versus the
19 folks that choose to do the regulatory minimum. You
20 don't have the data to take action.

21 Application in the industry, you know,
22 we've heard all of these kinds of things, you know,

1 on anywhere from whole genome sequencing to the
2 partial genome sequencing. We've got TAS, AMR, GMO,
3 there's all kinds of application in this new
4 generation sequencing, you know, portfolio.

5 For me, as a producer, the one I'm most
6 excited about is in this space. I do think there is
7 a tremendous amount of things that we can understand
8 about our population, you know, in our plant, right,
9 as it relates to *Salmonella*, and how does *Salmonella*
10 interact in a, in say, a turkey barn, right.

11 How do I know what is exclusive, inclusive,
12 relative to *Salmonella*, and how does it grow in the
13 barn? Or for shelf life; let's take a look at the
14 micro-population I have there, and when does it
15 shift? That is applicable data to me. I can do
16 something with that type of data.

17 A single strain that repeats twice, I could
18 have told you I had *Listeria* species in my plant.
19 Right. Do I need to know that it's the same one?
20 Yeah, in some occasional applications, it does. But
21 I can get to that point on a generic.

22 So I don't think you're going to see the

1 industry in totality run out and do individual strain
2 data. But I do think we're going to have some
3 application in the metagenomic space.

4 So, with that, I talked about these, right,
5 on just some opportunities and application, and a lot
6 of great dialogue. I'm looking forward to the
7 conversation or to the questions. Thank you.

8 (Applause.)

9 DR. SHAW: Thank you, Angie.

10 And then, so now our next presenter is
11 Samadpour. Mansour is from IAH Laboratory and
12 Consulting Group, and he's going to talk to us about
13 the commercial laboratory perspective.

14 DR. SAMADPOUR: Thank you.

15 In our function as a lab resource to the
16 industry, we are between the industry and the
17 regulatory agencies. And in many, many instances
18 where they are contacted about these type of issues,
19 they come to someone like me or one of our colleagues
20 in other laboratory groups.

21 And what we have seen is that a lot of
22 excitement overblowing the role that this thing can

1 play, as good as it is, and then a lot of what I call
2 irrational fears.

3 It brings the memories of PFGE. If that
4 one was *Scary Movie 1*, next-generation sequencing is
5 *Scary Movie 2*.

6 For those of you who were around when PFGE
7 came along, we had like meetings like this on a
8 regular basis, just trying to explain what that thing
9 did and its applications.

10 So I can sum up some of the things that
11 have already been mentioned, but one fear is
12 epidemiology without epidemiology. Could we just
13 have a connection through whole genome sequencing,
14 next-generation sequencing and say that this
15 processor is behind such and such outbreak?

16 These type of things don't happen.
17 Epidemiology is the king. Without consumption
18 history, linkage, no one is going to be able to come
19 in and ask you to recall your product, or link it to
20 an outbreak.

21 We had at least one instance where a
22 mistaken linkage happened. That was an outbreak that

1 happened in Atlanta, Georgia. This was beginning
2 days of PFGE. And that was a lesson that everyone
3 learned and emphasized that you have to have the
4 epidemiology backing you up. Otherwise, you know,
5 just the fact that you had three PFG patterns, you
6 know, making a connection doesn't mean much.

7 Enhanced ability to link a food processor
8 to a small cluster, that is really true. Now, an
9 outbreak is defined with just one case. A single
10 case could be an outbreak. There is the ability to
11 make that connection.

12 And the last one, linkage to historical
13 cases. You know, a company could be linked to an
14 outbreak that happened 6, 7, 8 years ago. And in
15 personal injury cases, you have 3 years to file, but
16 you just become aware that this outbreak happened, so
17 that opens a lot of legal issues.

18 Foodborne epidemiology doesn't get a lot of
19 resources, chronically underfunded. And the way it's
20 structured, it relies on, people get sick, they go to
21 their physicians. A portion of them will get tested.
22 And as was mentioned by John yesterday, clinical labs

1 are no longer -- you know, they've started not doing
2 culture confirmation.

3 And we don't resource our epidemiologists.
4 You know that most states rely on receiving grants
5 for foodborne investigations. So we have that.

6 As a result of that, we know for a fact
7 that we have many, many clusters at any given time.
8 Our colleagues from CDC would tell you, at any time
9 there are tens of clusters all around the country.
10 But there is the ability to link very few of those to
11 a given food or a food processor and that is because
12 of lack of resources.

13 There came next-generation sequencing,
14 which has been seen as a hack, basically, to shortcut
15 the system. And it has been very effective, as it
16 was mentioned yesterday.

17 So as I said, this fear that a mere linkage
18 is going to cause the Agency to link you to an
19 outbreak is what I call irrational fear. It's not
20 going to happen. Everyone realizes that a given food
21 company can have a clone in this environment, but
22 this clone can present from other places at the same

1 time.

2 This one is for real. There is now enough
3 resolution to go to these databases, make an initial
4 linkage. But once whole genome sequencing points to
5 a possibility of a food processor, at that point,
6 there has to be an epidemiological connection.
7 Epidemiologists have to go and re-interview, and that
8 would result in potential linkage.

9 And you have seen several reports where
10 they say, of the group of nine cases, six of them --
11 once they did a -- so they start with a PFGE match.
12 After that, they go to whole genome sequencing,
13 eliminating some of those. After that then, you
14 know, they will have to do another interview to
15 establish the linkage.

16 The good news is that next-generation
17 sequencing has far more sensitivity and precision
18 than what PFGE gave us.

19 This has happened couple of times where
20 larger windows of time were open and cases were
21 identified. And again, there are some legal issues
22 that are going to come into play.

1 This is what I call trademarking a clone.
2 This PFGE pattern is such-and-such company's. I've
3 heard it. You guys probably have heard that. This
4 is not necessarily, you know, a good practice. We
5 have seen, we have data to show that we see exactly
6 the same clone and same whole genome sequence
7 presenting from more than one company.

8 And this is just scratching the surface.
9 So the more of this type of data becomes available,
10 the more understanding we'll have of the movement of
11 these clones in the environment and the way they're
12 traveling in the food supply.

13 This is probably the issue that is a real
14 issue, because the term "harborage" is being used
15 quite loosely. So the trigger for asserting that
16 there is harborage is when we see the same clone
17 isolated from the same production facility, or food
18 from that facility more than once.

19 So at that point, rightly so, flags are
20 going to go up. But some of us look at harborage as,
21 you have an infection point in the facility; you have
22 a contaminated spot where this organism is growing,

1 and is allowed to now go and spread.

2 So the easy definition for harborage is
3 where you no longer have a pest; you have a pet
4 roaming around in the facility.

5 But we could see the same isolate
6 repeatedly if you have a -- now, you have raw
7 material coming in, which is constantly seeding your
8 environment. Then you'll have a frequent transient
9 that could be mistaken as a resident strain. Or you
10 could have a contamination event where, you know,
11 that through beginning of stage of sanitation they
12 get these high-pressure hoses and spread everything
13 all over the map.

14 So you could have your contamination in
15 large area, happening once. And if you look at those
16 as like land mines, during this environmental
17 monitoring, we start working on these things, over
18 time.

19 So these are -- these were there, but the
20 resolution of the environmental monitoring is such
21 that we are not going to capture them, you know, next
22 week. We may find them over the next 2 years, 3

1 years.

2 For the epidemiologist, and people who do
3 this type of work, it's really -- this is a very
4 over-simplified diagram of movement of microbes
5 through different stages of production. You have
6 ranches, you have dairies, you have feed lots. You
7 have people who go around and start buying animals
8 and taking them to slaughter houses.

9 You know, they end up in -- same clone,
10 same group of animals that have a resident strain can
11 go to many slaughter houses. From there, they can go
12 to many grinding operations. Things are going to get
13 mixed. Products from four different countries are
14 going to, you know, come in and play a role.

15 And then we see them in, presenting in
16 production facilities and in patient populations.
17 So, again, this is extremely over-simplified, but can
18 show the complexity of the system.

19 Now, I'm just going to go briefly over some
20 of the uses that can help us, you know, those of us
21 who are working with the food industry.

22 Lab contamination, it happens. So it's

1 just one of those facts of life that when you have
2 food labs receiving samples, and then they have
3 positive controls, highly contaminated samples can
4 potentially contaminate the environment and your
5 positive control can also contaminate the
6 environment.

7 This was a situation where the lab, lab's
8 positive control ended up in the product.
9 Some of these things have like millions of dollars of
10 products at risk. And through whole genome
11 sequencing, we showed that there was only one SNP
12 difference between this, the food isolate and the lab
13 positive.

14 This was really interesting. This was a
15 lawsuit that dragged for years. And PFGE patterns
16 were done for the isolates. Isolates no longer
17 existed. And one of the sites for this lawsuit made
18 a huge deal that serotyping wasn't done. And they
19 were asking the court to dismiss.

20 So we managed to find the plugs that were
21 used for PFGE, and did the whole genome sequencing,
22 identified the serotypes through that.

1 Serotyping is just like -- we are routinely
2 now using whole genome sequencing for serotyping and
3 getting rid of the classical methods for that.

4 It's an amazing tool for shelf-life
5 extensions, that is, and for cause of spoilage
6 analysis. The situation where we had spoiled roast
7 beef, and there's an indication here that you cannot
8 spoil a spoiled product, so even when we enrich it,
9 you know, the population doesn't change.

10 But we do this all the time. We do several
11 different types of enrichment. And we do the
12 metagenomics. And this is where you get the spoiled
13 product compared to a non-spoiled product from the
14 same batch.

15 And it's clear that, you know, the
16 population difference is just tremendous. By just
17 looking at this, you know that you have a clostridium
18 problem.

19 In 2 days of metagenomics, you had -- we
20 had identified 95,000 hits here, and 65,000 in the
21 control. It takes a microbiologist a lifetime if you
22 want to do that.

1 This is another situation. This was a
2 veggie puree. And metagenomics on the bacterial
3 site, no difference. Then you go on the yeast and
4 mold site, bang. There's the tremendous difference.
5 And this was identified. *Cryptococcus* was identified
6 as a cause of spoilage.

7 We are doing a lot of work on antimicrobial
8 resistance. We have several active projects. This
9 was NDM-1, which we published the draft genome.
10 Another cause of spoilage, in this case, the kind of
11 stock.

12 Again, when you look at the control versus
13 spoiled product, it just hits you right there. Just,
14 all you need is a couple of days of metagenomics.

15 Once in a while we get bored and we do our
16 own kind of a market survey on different things.
17 This was on shrimp, imported shrimp. And so first we
18 did the metagenomics, and these are the major
19 components that were identified through metagenomics.

20 Then we looked at the minor components, and
21 Vibrios. In that group, I'm not sure in the data
22 here, and the imported shrimp, finding *Listeria*

1 *monocytogenes* was not surprising, *Salmonella*, okay.

2 Who cares.

3 We had *E. coli* O157, a classical toxigenic.

4 That was kind of surprising, but again, given the

5 fact that, you know, they come from contaminated

6 waters, you could have expected that.

7 And the *Vibrio*, we had *vulnificus* and

8 *parahaemolyticus*. And then we had this bundle of

9 joy, *Vibrio cholerae*. But that's a kind of a "oh

10 shit" moment for lab owners, or people who operate

11 labs. At that point, you have to notify a lot of

12 people.

13 So while we were confirming this using the

14 FDA methods, we used the next-generation sequencing,

15 and we found that the *cholerae* toxin wasn't there.

16 So when we gave a package to FDA and notified that we

17 had found, technically, a *Vibrio cholerae*, you know,

18 kind of, okay, it's not -- it doesn't have the

19 *cholerae* toxin. So it's just a tremendous shortcut

20 to what we used to do.

21 So far, we have been able to identify eight

22 or nine new species of microbes. We have published

1 one. This is the second one that -- slide -- we are
2 working on publishing this one. It's new species.
3 This came from a spoiled pear.

4 And this was a situation where there was a,
5 you know, spoilage, and they thought it was
6 *Clostridium botulinum*. And when, you know, they did
7 a recall, major recall, it came to us. Once we did
8 the whole genome sequencing, we said okay now, it's
9 not -- not only it's not *Clostridium botulinum*, we
10 have never seen something like this before, and this
11 was published.

12 Did I miss one? Okay. So, in summary,
13 there are lots of concerns in epidemiology, the power
14 of the method. There is increased chance of linking
15 food companies to events, to public health events.
16 This could be as few as one case, a person getting
17 sick. Previously, we relied on larger numbers to be
18 able to detect outbreaks. Epidemiology is all about
19 numbers.

20 On the regulatory side, it truly allows
21 regulators to micromanage your microbiology data and,
22 you know, contact you and say well, you know, we are

1 get this -- repeatedly, you are seeing the same thing
2 and you have a resident strain, stuff like that,
3 which I think that requires a broader kind of a
4 discussion on the subject of harborage and residency.

5 Has tremendous utilities in plant and
6 animal genetics, in shelf life extension, and it is
7 changing the way we do food microbiology. Most of
8 the methodologies that we use today are going to be
9 obsolete in 5 to 10 years because of this -- advances
10 in next-generation sequencing.

11 Thank you.

12 (Applause.)

13 DR. SHAW: Thank you, Mansour.

14 And then our next speaker is Alvin Lee,
15 from the Center for Processing -- and I want to make
16 sure I say this right -- Processing and Innovation.

17 DR. LEE: All right, thank you.

18 Good -- I guess it's still good morning.

19 So, again, thank you to USDA for the invitation to
20 talk today. And I'm going to give you a little bit
21 of the perspective from the government and academia
22 and industry partnership side of it, in terms of what

1 IFSH, or the Institute of Food Safety and Health, is
2 actually working on.

3 So I guess the Institute has been mentioned
4 a couple of times. I thought I'd just have a slide
5 or two here just to describe what the Institute is.

6 IFSH, or the Institute of Food Safety and
7 Health, is an FDA Center of Excellence. It's one of
8 four Center of Excellence within FDA itself.

9 So our charter here, our mission here is to
10 cascade and work with industry on the research and
11 the outcomes between academic and government, and be
12 that conduit to industry, so basically trying to have
13 this three-way private-public partnership.

14 We have, as one of the centers, and
15 probably the only center with a division of FDA --
16 the Division of Food Processing Science and
17 Technology is located there with the University --
18 and also, we try to work on projects that also have
19 industry inputs. It's not all the time, but we try
20 to encompass all three elements into our projects.

21 We are located in Chicago, and again, being
22 associated with a university, we do have graduate

1 students, have the graduate students do work in our
2 lab, and also in FDA labs as well. All staff move
3 across FDA space and our space quite commonly, and we
4 share a lot of facilities.

5 So with outbreaks, what we are seeing
6 nowadays, if you look at the outbreaks over the last
7 few years, you know, we've seen outbreaks from
8 traditional sources of foods, you know, things like
9 raw milk, raw soft cheeses, sprouts and eggs.

10 But over the last few years, we have seen
11 also outbreaks that are also a lot more novel as
12 well. You know, think about, at this time of the
13 year, probably a couple of years ago, we had caramel
14 dip apples was around. And then more recently, the
15 ice cream outbreaks, these are quite novel foods and
16 they have never been really classed as high-risk or
17 foods that may be of concern

18 And they have all been tracked with whole
19 genome sequencing, or next-generation sequencing if
20 you like to call it that way.

21 This is one that I pulled from the CDC
22 website, where the ice cream outbreak was tracked.

1 And you can see from the slide here where, you know,
2 the distribution of where the cases were is actually
3 over a very large space. And they can track this
4 down to a particular factory or plant.

5 So if you look at Cluster 1 and Cluster 2,
6 they all came from a very different plant itself. So
7 I guess with industry here, is that they -- you know,
8 and in terms of academia, we know what the power of
9 whole genome sequencing or the technology can
10 actually do.

11 So, with that, I think, from that outbreak
12 where the ice cream was, the sharing of the data
13 between FDA and CDC with the company here, I guess it
14 helps to sort of stop a contaminated product from
15 being produced and going into retail sale, but also
16 allows them to recall the product.

17 And if you look at some of the recall
18 notices, they have also very specific time frame as
19 to when the recall of product actually happens as
20 well. And also, at the same time, you know, if you
21 look at ice cream, and it was never attributed to be,
22 or recognized to be a hazard or something that is of

1 high risk foods.

2 But the outcome of the outbreak, based
3 upon, you know, the tracking and all that, led to a
4 novel food being implicated, but also it led to
5 changes in the industry in terms of the companies'
6 practice, in terms of sanitation, in terms of how
7 monitoring is conducted, but also in terms of
8 implementation of preventive controls.

9 So in light of the FSMA, or Food Safety
10 Modernization Act, foodborne outbreaks, as I see it
11 here, is -- the outbreaks are going to be more
12 dispersed, is going to be more spread over time, is
13 going to be spread, probably, over large distances.

14 You know, given that how food are being
15 traded, food are being manufactured and transported
16 across large distances, even through various
17 countries as well, in future, potentially, those
18 outbreaks are going to be much harder to track.

19 The outbreaks are then also probably
20 associated with foods that we have probably not
21 really accustomed to, in terms of looking at those
22 products. So things like fresh produce, mainly

1 processed foods, you know, as a result of what
2 consumer wants, food companies are producing foods
3 that are a lot more minimally processed, so to speak.
4 You know, preservatives are being taken out.

5 There's a call for salt and sugar reduction
6 in foods. So this all leads to changes in terms of
7 how food are being manufactured, and obviously, also
8 changes in the ecology of the food itself.

9 We also see more of the imported foods. We
10 also see foods that are previously not recognized as
11 hazardous, but we are also seeing routes of
12 contamination that has never been identified before.

13 So with what IFSH has been working on with
14 an initial request from FDA was to explore the
15 technology with industry, getting industry sort of
16 accustomed to what is that technology, right, and
17 then how is that technology used.

18 So we have quite a few of the CDC people,
19 the FDA people and USDA at one of these three
20 meetings as well. And we have already three face-to-
21 face meeting where industry participated with
22 government and academia in terms of looking at how

1 the information was used, what is that technology,
2 getting their toes wet in terms of what those
3 technology can be used for, or can potentially be
4 used for.

5 So, with that, what happens was, it
6 resulted in the formation of an Industry Advisory
7 Committee that meets sort of quarterly, and also the
8 Industry-Government Council as well, so as to tease
9 out exactly what are the issues that faces industry,
10 but also what the regulators want from the
11 technology.

12 So some of the concerns here, I guess,
13 industry issues and concerns, you know, I think we
14 just opened up Pandora's Box here is, you know, what
15 information and identifiers can be collected?

16 And what kind of identifiers will actually
17 be put out on the databases, and what can be
18 retracted; there was a lot of discussion about that
19 one. How would the information be used by
20 regulators?

21 So I think some of the concerns there with
22 industry was well, the sequences are going to be

1 available, but how are they going to be used? Is
2 there a model that they can follow on and actually
3 look at how the information can be utilized?

4 There's also, I guess, some concerns within
5 industry companies as well, is that if the sequences
6 are out in the public domain, can another company use
7 that sequences for benefit over their competitors? I
8 think those are genuine concerns from the industry.

9 What are the implications in the absence of
10 a culture? So we are talking about going away from
11 culture-dependent methods, then, you know, what are
12 the implications in future?

13 We know that we still have some ways to go
14 in terms of trying to distinguish between live and
15 dead, but what about pathogens, for example, with
16 viruses that occur in very, very low levels? What is
17 the sensitivity there?

18 At the same time, you know, if it's derived
19 from -- do we know if it's derived from a dead versus
20 a live? Right. So we -- a lot of these technologies
21 that we are looking at right now, in terms of how
22 foods are being processed, the technology does not

1 necessarily kill the bacteria.

2 It may hold the genomic materials. If you
3 look at high-pressure processing, there are certain
4 bacteria that will burst open during processing, but
5 not all do. Okay. So, for example, like viruses
6 don't really break open. They remain more or less
7 intact. But they do not function as a live virus
8 anymore.

9 So how would that technology be used in
10 that way to differentiate between live and dead?

11 Can the company self-incriminate? So one
12 of the things here was, when we spoke to industry
13 here, was for them to donate isolates that can be
14 used as a way of demonstrating how the technologies
15 can be used. Right.

16 So the companies will ask, will say, well
17 if I give you those strains, and you put them up on
18 the database, would I be shooting myself in the foot
19 here by saying we have an issue? Or if you -- if we
20 sequence -- do a shotgun sequence from a swab that
21 they have taken, for example.

22 So those are concerns over there, and also

1 the legal issues relating to the use of whole genome
2 sequencing as well.

3 And then I think one of the things that
4 came out quite strongly was, what was the benefit
5 does industry get from whole genome sequencing? I
6 think it's -- there's still some ways, in terms of
7 educating industry, because I think they know the
8 power of the technology, what it can do, what it
9 cannot do, but how does it benefit them? Right.

10 So we have seen cases where whole genome
11 sequencing or next-generation sequencing can be used
12 to track and evaluate quality of a product, or
13 ingredients that are coming in. But, you know,
14 between government and industry, what kind of benefit
15 does it flow back to the industry side?

16 Legal issues in donating sequences from
17 plant-derived isolates, so again, I think this touch
18 back on, you know, if a company donates samples for
19 research purposes, and then they are being put up on
20 the public space, then what are the legal
21 implications from that donation.

22 So at the same time, as well, you know, we

1 have been also establishing our own capability on
2 whole genome sequencing. So you see a dedicated lab
3 has been established with two MiSeqs. And we will
4 also work quite -- work collaboratively with Eric's
5 group at CFSAN as well.

6 We noticed all the, that the uses here, and
7 this was one that we sort of have spoken to industry
8 about is, you know, that the power of the technology
9 can be used to identify closely related isolates, and
10 then used as a way of tracking sources of
11 contamination.

12 So we have been talking to companies to say
13 hey, you know, can you donate and send us samples,
14 where we will blind them, or we'll get a third party
15 to blind them, for example, and then look at how the
16 technology can be used to track contamination within
17 a food plant, within a processor and things like
18 that, and at the same time also used to track
19 contamination that might be coming in through
20 ingredients, and also monitoring of a production
21 environment.

22 So if you use sanitizers, do you want to

1 know how effective that sanitizer is? Does it change
2 the ecology of a food production plant in terms of
3 the microbial ecology that might be in that food
4 plant.

5 So if you use a certain sanitizer, do you
6 change that bacteria from one resident strain to
7 another? But also at the same time, do you also
8 induce evolutionary changes to the bacteria as well,
9 that causes it to become a lot more virulent, so to
10 speak?

11 And at the same time, you know, spoilage
12 events, can we predict spoilage events out of it?

13 The technology also has the ability to also
14 prevent outbreaks. And you can see from the numerous
15 talks over the last day or so that the technology has
16 the power and resolution right now to predict events
17 a lot quicker. And some of the things that FDA has
18 been working on, and CDC, was to try and get time of
19 results to be shortened.

20 So I think here helps them to be a lot more
21 targeted. And we understand where they are coming
22 from. Helps them to be more targeted in their

1 recalls. You know, how many of you have seen some of
2 the recalls out there? I think there was one in
3 Europe where they thought that Spanish cucumbers was
4 attributed to the outbreak, right. And then after
5 that, people were dumping out cucumbers. And then
6 they say, well, it's not Spanish cucumbers.

7 We have the same thing here in the U.S.,
8 too. There was the outbreaks with, I think it was
9 with green onions, where they said well, it was
10 initially Florida tomatoes, and then it shifted to
11 California and then, and so forth.

12 I think it puts the industry a little bit
13 uneasy that way. So here, I think the regulators now
14 has the power now to provide a lot more targeted and
15 a lot more focused type of recalls, and actually
16 prevent financial losses as well, to companies, so
17 they don't have to dump out products just because
18 they thought it was a suspected product that might be
19 in the market.

20 So some of the initiative, or the
21 objectives that what we want to achieve here in this
22 private-public partnership here is to try to promote

1 that technology to the industry. We want to
2 collaborate with the food companies here, along with
3 the regulator, side by side, on the research side of
4 it, to see how this technology can be used, can be
5 pushed out and used by all.

6 The research and laboratory services, I
7 think, is one. You saw Mansour's presentation.
8 There are people out there. I think the larger
9 companies may have the capability to actually do this
10 in-house, but look at the smaller companies as well.

11 So now they need to have someone on staff
12 trained in order to interpret some of these results.
13 So it can be a financial cost for them to have that
14 manpower within their company.

15 So having some sort of third party, where
16 they can go to, to help interpret the results, or
17 help decipher some of the results that the regulators
18 are giving them, that would be helpful.

19 We started off initially thinking that we
20 could get pathogens from industry. And we were
21 definitely pretty wrong, because no company said they
22 would be willing to give us anything that might be

1 pathogens. So we started adding on environmental
2 isolates as well, and I'll touch on that one a little
3 bit later.

4 And also, with some of the environmental
5 isolates and the sequencing projects that we have,
6 basically also, our charter here is to contribute to
7 the database, to GenomeTrakr.

8 So just to highlight in terms of where
9 whole genome sequencing is going, I saw listed a
10 couple of various projects that is happening at IFSH
11 right now. It may or may not have all three
12 elements, but I think the one that I'm really excited
13 about is the last one there, where we are looking at
14 environmental monitorings. Right.

15 So the first one here that I have here
16 listed was pulsed light. So here we wanted to look
17 at how pulsed light, for example, when it's used for
18 surface decontamination, changes different types of
19 ecology. Can certain strains of bacteria be a lot
20 more resistant to pulsed light to -- than others?

21 And then, what is the changes in terms of
22 the genetic makeup when you use that technology?

1 It shouldn't be the role of plasmid in the
2 second one. It should just be regulation of toxin
3 production for *Clostridium botulinum*, and what they
4 are looking at in terms of, can certain types of acid
5 and all that trigger or regulate toxin production for
6 *Clostridium botulinum*?

7 *Clostridium botulinum* has always been,
8 toxins analysis has always been either using the
9 ELISA methods or the mouse bioassay. But I think if
10 you can go into whole genome sequencing and start
11 predicting toxin production in certain types of
12 foods, I think this gives that power now to, rather
13 than just using ELISAs or the mouse bioassay, which
14 are all very expensive to do.

15 Alfalfa seeds, for example, I think in the
16 last few years we have seen outbreaks in sprouts. So
17 is there a way of actually looking using sequence
18 information here to have a more targeted approach in
19 terms of how monitoring can be done with the
20 irrigation water that's using in sprout production?

21 So I'll touch on the last one a little bit
22 towards the end here, on environmental isolates. All

1 right.

2 So with whole genome sequencing in
3 production environment, I think that approach there,
4 what we would -- what we are looking was definitely,
5 hygiene is definitely essential in food production.
6 And there are papers out there, for example, this one
7 that was published this year by Rodrigues, for
8 example, looked at 16S rRNA, and actually showed that
9 in milk, for example, high somatic cell counts can
10 always lead to abundance of certain types of bacteria
11 in that sample.

12 And also the ecology of the processing
13 environment can also influence food quality at the
14 end.

15 So with food plants as well, food plants
16 can also potentially harbor very unique bacterial
17 ecology in the food plant. And there are literature
18 out there that shows, for example, like sprouts and
19 certain types of fresh produce, there are abundance
20 of certain types of bacteria in them.

21 Can we utilize those types of information
22 here to help sort of track a potential contamination?

1 And what is the food commodity, for example, that
2 might be, potentially be implicated in outbreaks, so
3 basically predicting the outbreak a lot -- operating
4 issues a lot quicker before an outbreak actually
5 happens.

6 So that project that I was talking about,
7 we are really excited about how it was going, was
8 because we finally got a company that says yeah, we
9 will do this, but we have to blind a lot of this
10 information here.

11 So, you know, a lot of the administrative
12 stuff and the logistics are still being sorted out.
13 But what we thought was, here, you know, to look at
14 how sanitation, how effective it is, looking at
15 samples that might be taken, sent to IFSH for
16 sequencing. And then we will definitely discuss some
17 of the results with the company first before
18 uploading them onto the database.

19 But it will also require some sort of, sort
20 of removing of certain identifiers and in certain
21 datasets and things like that from that information
22 as well. But again, we are still trying to tease out

1 some of those.

2 But so the challenge here that we are
3 seeing is can we use the technology to determine how
4 effective, or can we determine harborage points
5 within a processing facility as well? So if you
6 remove something, you are always upsetting that
7 balance, that microbial balance in that facility.

8 So can we predict changes, for example, in
9 that facility? And also, basically it allows them to
10 be a lot more targeted in their food manufacturing
11 process, and also provide much more information to
12 their food safety plan as well.

13 So, with that, I'll leave it as that. I
14 just want to acknowledge so the IFSH and IIT
15 collaborators. Behzad and Melissa are the ones that
16 are running the facility. They've been hard at work
17 trying to establish that capability, and also
18 responsible for those projects as well.

19 I also wanted to acknowledge the FDA
20 collaborators as well, who are -- most of them are
21 here in the room, for providing us with the expertise
22 and a lot of the discussion.

1 So if you want to hear more about our
2 future, sort of, events where we will have the
3 discussion with FDA and industry, do let me know and
4 we'll try and keep you in the loop.

5 So, with that, thank you.

6 (Applause.)

7 DR. SHAW: Thank you, Alvin.

8 And then we have one last speaker in this
9 session before we go to lunch, and that is Vanessa
10 Coffman.

11 MS. COFFMAN: Good afternoon. My name is
12 Vanessa Coffman, and I don't have a fancy title
13 anymore because I gave it up to go back to school.
14 So I'm actually a Ph.D. student currently. I'm at
15 the Johns Hopkins University. I'm going to finish up
16 in a few months.

17 And my dissertation work focuses on
18 industrial hog farming and the different respiratory
19 health implications to workers and community members.
20 And we're using a community-based participatory
21 research approach to collect that data.

22 And I am also a fellow at the Center for a

1 Livable Future, although these are my own views and
2 not that of the Center's. That's me.

3 Can I have the next slide? The next slide.

4 So I wanted to talk a little bit about
5 consumers, in general. So we all are consumers, of
6 course. But we have a different interest in food
7 safety than I believe the majority of people in the
8 U.S. do. We're more educated on the topic; we have a
9 vested interest, right.

10 So there was a study that came out this
11 year from Michigan State University, and it was a
12 poll online of a thousand different consumers
13 throughout the U.S. It's nationally representative.

14 And they asked, "Using a 1 to 5 scale where
15 1 is not concerned at all, and 5 is very concerned,
16 how concerned are you about the safety of the food
17 available for purchase in your community compared to
18 other communities?" And 50% said they were very
19 concerned.

20 They also asked, "How often do you seek
21 information about where your food was grown and how
22 it was produced?" And about 50% of people said that

1 they rarely or never looked for this information,
2 although half of people were looking for this
3 information at some point.

4 They further asked, "Please tell me whether
5 you think the following statement is true or false.
6 Genetically modified foods have genes, and non-
7 genetically modified foods do not." Two-thirds of
8 people got this correct, and hopefully all of you did
9 as well. But unfortunately, a third of respondents
10 didn't get this correct.

11 So we need to think about what information
12 we're putting out there, how we're putting it out
13 there, and how consumers are consuming that
14 information.

15 They then asked, "Using a 1 to 5 scale," so
16 the same scale, "where 1 is you do not trust at all,
17 and 5 is completely trust, how much do you trust the
18 following individuals or groups when it comes to the
19 health and safety of food?"

20 Now, I must say, the way that they have
21 presented their data, 4 and 5 are together, 1 and 2
22 are together, and 3 is not shown. But I'm pretty

1 happy, because academics, 60% of people trust us to
2 provide them information that is correct.

3 And when it comes to government scientists,
4 50% of people trust the information that is given by
5 government scientists. And I'm sorry, industry
6 folks; only about a third of people trust you.

7 There's another poll that came out in 2017,
8 and this is from the Pew Research Center. This is
9 also nationally representative data from 4,000
10 people. And they found that 1 in 6 Americans both
11 actively seek out and frequently consume science
12 news. So some people seek it out. Some people
13 actively consume it, and then 17% of the population
14 does both.

15 And when they asked people where they got
16 their information from and if they thought that that
17 information they were getting was reliable, so the
18 yellow bar here is the facts gotten right the
19 majority of the time, and the red bar are news
20 outlets that cover those facts.

21 So people said that they went to science
22 and technology centers and museums, 12%, to go look

1 for information about science. And they were pretty
2 confident, 54% of them, that they were getting
3 accurate information.

4 If we go down to something like science
5 podcasts, 12% of consumers got information from
6 science radio programs or podcasts, and they thought
7 that 28% of that was -- 28% of people found that the
8 facts were right most of the time.

9 And if we see documentaries, people are
10 consuming more information from documentaries. And
11 if we look at science forums, people are looking at
12 science forums -- about 11% of people consume their
13 news from science forums.

14 And government agencies, we see it's just a
15 little bit lower than science forums, although people
16 are more confident in the information they're getting
17 from government. So that's a good thing.

18 I'd also like to talk a little bit about
19 the literacy rate in the U.S. This is pretty
20 surprising, I think, to a lot of people. This is
21 pretty hard to read. I did take out some of the
22 cells, so -- but it's still pretty data-heavy so I'll

1 just explain what's going on.

2 The -- so we have prose literacy here,
3 document literacy in the middle, and quantitative
4 literacy on the far-right side. And we see that
5 about 14 -- somewhere between 14 and 11% of people in
6 the U.S. are below basic when it comes to document
7 literacy, and about 20% below basic when it comes to
8 quantitative literacy.

9 And if we break this out by race and
10 ethnicity, this might not surprise you, more whites
11 have higher literacy rates than other ethnic groups,
12 and this is both in document and quantitative
13 literacy.

14 And as we move through our educational
15 system, more people, the higher educated you are, the
16 more literacy you have, and the less education, the
17 lower the literacy that you have.

18 So moving into talking about consumer
19 groups and what consumer groups think about whole
20 genome sequencing, so stepping away a little bit from
21 consumers themselves, so I found it to be really
22 positive.

1 I'm looking at the *Federal Register*
2 announcement for this meeting. "All whole genome
3 sequencing data will continue to be uploaded to a
4 federal database" -- sorry -- "that is readily
5 accessible to all food safety and public health
6 partners and stakeholders, including consumers."

7 This is really great, to have this
8 transparency and the availability to consumers, but
9 are we able to actually consume that information? Is
10 that going to be in a format that is readily usable
11 by consumers?

12 And so, also thinking about a unique
13 database for food safety, I've heard a lot through
14 the last day or so, about using NCBI, but will there
15 be a food safety-specific database that's easily used
16 by all the people who are interested in using this
17 data?

18 Whole genome sequencing will definitely
19 increase consumer confidence in results and safety,
20 especially if we can show, through epidemiology, that
21 cases are going down, outbreaks are going down, and
22 that we're doing a better job to protect the health

1 of the public.

2 And we've heard a little bit about this.
3 So decreases in illnesses and outbreaks, we might
4 actually see an increase in outbreaks. How are we
5 going to communicate that to the public? What does
6 that mean? We really need to talk about getting
7 ahead of outbreaks and making the caseload smaller.

8 The whole genome sequencing seems to be
9 rapid. Can we make it faster? How do we streamline
10 this? How do we improve our ability to get ahead of
11 these outbreaks?

12 And, of course, it's a sensitive and
13 specific test. And we want to be able to track
14 antimicrobial resistance. Antimicrobial resistance
15 is a huge problem in the U.S. and in the world, and
16 consumers are really understanding this. You know,
17 there are so many headlines in the news every day
18 about antimicrobial resistance.

19 And how can we start to think about not
20 just single pathogens that we're interested in, but
21 how can we look for a whole host of different
22 pathogens in our food, and make sure that we're

1 really getting ahead of outbreaks?

2 So, of course, there's some drawbacks. Who
3 can upload information to these databases, and what
4 quality controls are there? Will this translate to
5 significant improvements in public health? I believe
6 so, and it seems like everyone else in this room
7 agrees. But how are we going to track that? How are
8 we going to communicate that? What does that
9 actually mean? How does that translate?

10 Transparency: Transparency seems like a
11 great advantage, but we've heard a lot from our
12 industry stakeholders that they don't want to upload
13 t a database. They're worried about regulatory
14 action. How can we help protect them and protect
15 consumers at the same time?

16 And what happens when the system fails?
17 Inevitably, every system fails. Is this going to be
18 a human error? Is this going to be a computer
19 problem? What happens when we don't trust this data?

20 We are working, in our lab, on the
21 bioinformatic bottleneck that we perceive to be
22 there. You have so much data available. How are you

1 going to crunch all this? Who's going to crunch all
2 this? How are we going to train all the people who
3 need to be able to analyze this data? And I think
4 this goes back to developing an efficient reference
5 database.

6 Something that I'm interested in and nobody
7 has spoken about yet, is a new definition of
8 adulterants. If you can say that you have *E. coli*
9 O157:H7, but it doesn't have the attaching and
10 effacing LEE gen gene, does that count as an
11 adulterant? Do you need to recall that product? Is
12 it going to make somebody sick?

13 And as this technology advances, we've
14 heard the idea of next-gen, next-gen sequencing.
15 Just getting to this point has been very expensive
16 and time consuming, and are we going to come to the
17 point where we've had all these public meetings,
18 we've invested all this money, and now there's new
19 technology, and we need to upgrade to that new
20 technology and have a whole host of other meetings,
21 and invest, and train people on that?

22 And this brings me to Moore's law. So

1 Moore is one of the cofounders of Intel, and he
2 hypothesized that as technology advances, it gets
3 smaller, it gets cheaper, it gets faster. And Moore
4 predicted that it would follow in this manner. But
5 we see that the cost of sequencing a genome is
6 decreasing exponentially.

7 So just wrapping up, and thinking about the
8 path forward for consumers and for consumer groups,
9 we have to ask ourselves some questions.

10 Do we communicate? I think the answer is
11 obviously yes. We communicate, and we just need to
12 think about what we communicate, who we're
13 communicating to, and in what manner do we
14 communicate.

15 If people are consuming information from
16 blogs, and they're consuming it from podcasts, and
17 they're consuming it from documentaries, you know,
18 maybe publishing isn't the best way to communicate to
19 people who actually consume food and make decisions
20 with their dollar. You know, we need to meet people
21 where they are.

22 And thank you for your time and attention.

1 And I didn't use up all my time. So I guess if
2 there's questions I would take them, or we can break
3 for lunch early.

4 DR. SHAW: So thank you, Vanessa.

5 And so what we are going to do, since we do
6 have a few minutes before lunch, we're going to take
7 some questions from the audience for any of our
8 speakers.

9 And so I think if our speakers who are
10 present, if you wouldn't mind coming up to the front,
11 up on the panel. And we'll do a quick Q&A before we
12 go to lunch.

13 So while we're assembling, do we want to do
14 the question that's from the -- from online?

15 DR. ABLEY: Yes. We have one question from
16 online right now.

17 Instead of only applying WGS in response to
18 repeat positives in a facility, would you recommend
19 running WGS on all isolates from all routine
20 environment samples taken, and raw product, to
21 determine the microbial ecology of that facility? At
22 what time frame between repeat positives would you

1 recommend applying WGS?

2 DR. SAMADPOUR: I usually tell my clients
3 that you don't need to turn every sample into a Ph.D.
4 thesis. So there is a time and place for doing work
5 like that. If you want to do an initial
6 investigation, you know, you want to do a mapping,
7 you understand your environmental flora, you can do
8 work like that in the beginning.

9 And then, as Angie mentioned, your job at
10 that point is to monitor intensively, and eliminate
11 not only the positive spot that you had, but all the
12 root causes that resulted in that. And that's what
13 people don't really, you know, take seriously often.

14 The question should be, why is it there?
15 How did it get there? What are the root causes for
16 it being here? And by addressing those, then you can
17 really start to take it to a point where it becomes
18 very irregular, or not frequent events.

19 There is definitely some -- and whole
20 genome sequencing may be an overkill. You could do
21 any manner of subtyping that would give you the same
22 information. So it has to be a gradual approach, and

1 kind of measured.

2 DR. SIEMENS: I'll second that. And the
3 reason, one of the reasons that I mentioned before
4 that we had previously done some PFGE work on
5 *Listeria*, and it was really, the work that we did was
6 to validate the principals and practice of sanitary
7 design and our GHPs that we had in our facilities.

8 And essentially what it ended up doing was,
9 all of the papers that had been written about product
10 flow, and people flow, it actually validated that
11 those were the right principles on a day-in, day-out
12 process control that I needed to do.

13 So if you know those, and those have been
14 validated, there's no reason to do it on an ongoing
15 basis. If you don't understand how organisms travel
16 through your process, or that the control practices
17 that are in place impact, then I would go back and do
18 it more on an investigational basis and take a look
19 at it.

20 But as an ongoing monitoring piece, it does
21 not give you any information than some of the other
22 either species level or even, you know, those kinds

1 of things. You can do a control program without
2 going to a genetic level.

3 DR. McENTIRE: If I can just add, all of
4 these things also cost money. And so if you know,
5 for example, that you're working within an operation
6 where -- Angie mentioned they're validating sanitary
7 design in their practices. If you know that you have
8 poor sanitary design, I would recommend putting money
9 at improving deficiencies, known deficiencies, rather
10 than doing testing to see what you have. So it's --
11 you know, it's a balancing act.

12 DR. SHAW: So do we -- oh, we have a
13 question.

14 DR. CARRILLO: Hi. Is this on? Yeah.
15 Dr. Siemens, you alluded to, you know, bias of the
16 database, or I think that was you, and interpretation
17 of the database. And I agree, that is a big problem,
18 that we don't have nearly enough food samples in
19 there.

20 How would you propose -- you know, could
21 there be a partnership between industry, regulatory
22 agency to develop some properly sampled databases so

1 that we can address this, so data isn't
2 misinterpreted?

3 DR. SIEMENS: That's a really great
4 question. And I'm not sure, right at the moment
5 because, you know, part of the reason that we're here
6 is to understand the dynamics of if you are
7 contributing in food sources, what are the potential
8 regulatory and/or compliant actions that can be.

9 We've talked about the fact that once
10 you're in the database, you know, the metadata, in
11 some cases, in some public-facing are not available,
12 but we still have it. You mentioned ATIP, right, in
13 Canada. What's the availability of that if you go
14 through the legal Freedom of Information in the U.S.,
15 right? What is that?

16 And there's a lot of those questions that I
17 think has to be continued dialogue on, before we
18 start getting the voluntary, you know, increase of
19 non-regulatory-type samples and analyses put into the
20 database. I think that's the reason why you haven't
21 seen the rush today, because there's still some
22 pieces in there that we don't understand the

1 dynamics. So that's a continued dialogue question.

2 DR. SHAW: Peter, did you --

3 DR. LEE: I think also, from our industry
4 members as well, I think what they are telling us is
5 that, you know, is there -- can there be some sort of
6 guidance or a policy development there in terms of
7 how those sequences will be used and deposited. And
8 is there sunset clauses there as well?

9 You know, how long is this, the sequences
10 going to stay on the database? And, you know, it
11 looks as though it's going to stay forever, but then,
12 what -- you know, can it be implicated in something
13 which happen 15 years later down the track. All
14 right.

15 So if they find an outbreak today but they
16 cannot link it to anything, right, then 15 years down
17 the track and they find the same strain in a plant,
18 then what happens there?

19 So, you know, so I think that there's still
20 some more work to be done there on policy and usage
21 of the database and sequences.

22 DR. SAMADPOUR: One other problem with

1 metadata is that you can read too much into it. For
2 instance, let's say you have a *Listeria monocytogenes*
3 that came on corn. Has nothing to do with corn. The
4 contamination, in all likelihood happened in the
5 field, animals impacting the -- around culture
6 commodities.

7 And then we see exactly the same *Listeria*
8 in another place that got onions from, and they have
9 a shared field with the plant that was -- had the
10 corn.

11 So if you have, let's say, if you in a
12 fermented -- dry fermented meat operation, and you
13 find *Salmonella* or *Listeria*, chances are it came
14 from, you know, pork, raw pork that went to many
15 other places, or spices could bring the same thing
16 in.

17 So just because you have a tag in that
18 database doesn't mean anything. The ultimate source
19 of microbial contamination, aside from some
20 propagation that you see in plants, is going to be
21 fecal material, human and animal.

22 MS. COFFMAN: That's a very interesting

1 application, though. If you are finding two
2 different crops and they are contaminated, and
3 they're in the same field, then you have the ability
4 to go into that field and make some corrective
5 actions, right?

6 DR. SAMADPOUR: It goes to, okay, what are
7 the animals that are impacting, and then what's the
8 radius of activity for these animals. So, again,
9 it's a very complicated issue, and just having it
10 tagged in the database does not mean much.

11 DR. SHAW: So I wanted to follow up on
12 something that Vanessa mentioned. And she was
13 rightly to point it out, and we haven't really talked
14 about it yet, is that traditionally when we think
15 about a pathogen, as microbiologists, we've sort of
16 lived in this genospecies serotype sort of paradigm.

17 And I'm wondering what the panelists think
18 about what whole genome sequencing in the future may
19 sort of, how it may impact that, that sort of
20 paradigm that we've been working with all these
21 years.

22 DR. SAMADPOUR: It's changing it. We are

1 moving a lot of organisms from one group to another
2 group based on -- this is an amazing shortcut that
3 now you have to do proper taxonomy.

4 DR. SIEMENS: We've already started that
5 discussion in non-O157s, right. I mean, when we
6 really got in and started looking at the body of non-
7 O157s as described by, you know, kind of the level of
8 identification we have, we got in and realized
9 there's a lot of them that doesn't have the ability
10 to cause illness, missing one of those components,
11 you know, in there.

12 And -- but today, if they're at this level,
13 they're an adulterant. I do think it will advance.
14 And the technology, and somebody mentioned about the
15 cost and technology and the timing, you know, when is
16 that technology going to catch up that you can get a
17 result as quick?

18 We should have that conversation, because
19 if it's found in there, and it's not going to -- it
20 doesn't have the attachment gene, or it doesn't have
21 the ability to produce toxin, the possibility of
22 illness -- I don't ever say anything's zero, is very

1 limiting.

2 So you no longer have a potential public
3 health outbreak. Shall I be held at the same
4 regulatory compliance implications as if I have a bug
5 that has the ability to produce illness?

6 So I do think it's going to advance that
7 conversation. The other piece of it is, I still
8 think we ought to look at some dose response pieces
9 as well. There is just some differences in organisms
10 and its ability to cause illness. Whole genome
11 sequencing does not get us into that.

12 And so, do we walk away from some of this
13 discussion on dose response as we move forward with
14 organisms, and as we find more? So I think that's
15 another component that gets tied in to this
16 conversation.

17 DR. SAMADPOUR: And we had a situation with
18 STECs, that we wrongly called them Shiga toxin-
19 producing *E. colis*, fully knowing that most of them
20 are non-pathogenic. And some of us were arguing that
21 we should call them EHECs instead of STECs, and
22 define the virulence factor components.

1 So I look at that as a kind of artifact of
2 using the wrong nomenclature. But now we have the
3 ability, and with the example I gave you on *Vibrio*
4 *cholerae*.

5 Immediately we go in and do whole genome
6 sequencing and now we are defining these pathogens
7 not by classical microbiology, but do they have the
8 ability, for example, is it a *Clostridium botulinum*?
9 Does not have the toxin gene? Don't worry about it.

10 So we now have that added ability to have
11 the shortcuts to do a lot of things that we couldn't
12 do and with changing taxonomy also.

13 MS. COFFMAN: So thank you. I did present
14 a lot of questions without a lot of answers, so I'm
15 glad to have this opportunity to have these
16 conversations.

17 I am concerned that if you do find an O157,
18 but you don't have the genes that encode for, let's
19 say, attachment, they you release that product and
20 something bad happens.

21 DR. SAMADPOUR: Okay. There are known
22 virulence markers. Just because something is O157

1 does not mean anything. All it means is that it has
2 the O antigen, number 157. We have O157 that are
3 intra-pathogenic. They have only the eae, or mostly
4 eae -- alpha eae.

5 We have situations that we have the O157,
6 we have gamma eae. At that point we hold the
7 product, because of the fear that it may have lost
8 its toxin genes. So these type of decisions are not
9 done flippantly. There is a -- we go with the FSIS
10 definition of the pathogen. And based on that, we
11 follow the protocols and then we make disposition
12 decisions.

13 Angie, do you want to --

14 DR. SIEMENS: No. I -- we make those
15 decisions today. What your comment is, is not any
16 different than the decisions that are made today
17 around the definitions and what he just mentioned.

18 I still have a possibility of something
19 that I have released that's negative, that may,
20 because of just the difficulty in sampling product,
21 and running analyses, that there are products that,
22 you know, go through the system that are negative

1 that cause outbreaks.

2 So that is not any -- your comment is not
3 any different than what we deal with in reality
4 today.

5 DR. SAMADPOUR: There is a fear of sending
6 a positive or something that could make people sick,
7 and they are always very conservative in making the
8 decisions.

9 DR. SHAW: So, Jennifer, I'll give you one.
10 Did you want to say something?

11 DR. McENTIRE: No. No.

12 DR. SHAW: Okay. So --

13 DR. McENTIRE: I want to hear what
14 Martin --

15 DR. SHAW: Oh, so Martin -- so we'll take
16 one question from Martin, and then we'll -- I promise
17 we'll break.

18 DR. WIEDMANN: Okay. This is a follow-up
19 to this question, and specifically for Vanessa.

20 What would it take for consumers or the
21 general public or politicians to accept that we have
22 sufficient scientific evidence, for example, to say

1 that some *Salmonella*, given X, Y, and Z genetic
2 markers are not virulent, and regulation follows?

3 I don't want to discuss the science,
4 because it's tricky, but we'll get it right. And for
5 some of you have already shown it as you can get it
6 right. But what would it take, or would it be seen
7 as a relaxing of the standards, because that's the
8 trick?

9 MS. COFFMAN: I mean, I think that a lot of
10 consumers would see that, and a lot of consumer
11 groups would see that as a relaxing of the standards.
12 However, if you have sound science, which we are
13 definitely getting there, and we are understanding
14 day by day, more and more, then you know, there's a
15 possibility for discussion.

16 But I think the problem, and that I just
17 mentioned, is that most people would say, well what
18 happens when you get it wrong?

19 DR. SAMADPOUR: There is something else
20 that can be done and probably should be done is to
21 re-examine the taxonomy, and maybe move them out to
22 another group, the ones that are truly don't have the

1 virulence factor determinants to be a pathogen.

2 DR. SHAW: So I think that was a really
3 interesting conversation. And it's just sort of how
4 technology sort of moves our conversations along, and
5 how we think about things as microbiologists. It's
6 sort of this constant sort of movement.

7 But anyway, so we are at 12:30. We are
8 going to break for lunch. I just have a couple of
9 things.

10 Remember, the cafeteria is down the hall.
11 And I also want to put in a plug. So today, if you
12 didn't know and you wanted to take a little -- you
13 wanted to get some fresh air, today is the last day
14 of the, sort of, USDA Farmer's Market that we do
15 every, sort of, spring and summer season.

16 And so if you wanted to take a walk over,
17 there's some special events happening because it's
18 the last one. And if you have never, sort of, seen
19 the Farmer's Market, it's next to the Whitten
20 building like on the east side of the Whitten
21 building. So if you're looking this way, it's on the
22 right side.

1 So take that opportunity if you want to
2 take a little walk. And I think we're going to be
3 back at 2:00.

4 (Whereupon, at 12:33 p.m., a lunch recess
5 was taken.)

6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

1 Uday told me it was too messy to put up, so you're
2 just going to have to listen to me through talking
3 points.

4 For those of you that don't know me, I've
5 been in the public health regulatory arena for about
6 30 years now, 28 at FDA, and I'm going on almost 2
7 years at FSIS now.

8 So I do think I have a unique perspective
9 because I've been in both FDA and FSIS. I started
10 FSIS on the Field Operations side for a year, and
11 then I came over to Policy just about 6 months ago
12 when Dr. Engeljohn retired a little bit sooner than
13 we thought he was going to retire.

14 So this has been a huge learning curve for
15 me. I am going to stick to some notes, just because
16 I'm still actually learning the language over here at
17 FSIS, but I still think I can, I'll make some salient
18 points.

19 And I am going to have a little bit of a
20 diatribe here because I -- honestly, in listening
21 over the last 2 days, and thinking about this a
22 little bit, I honestly do think that FDA and FSIS

1 will likely approach the use of whole genome
2 sequencing, with the exception of outbreaks, a little
3 bit differently.

4 And I think there's a number of reasons for
5 that. So I just think it's the right forum to kind
6 of bring that up and remind people that we have a
7 very different regulatory foundation and law, and it
8 dictates the way we do business. It dictates how
9 often we're in establishments. And so it really has
10 framed the way we do business.

11 And I just want to point that out, because
12 I think it's important. I think you're going to hear
13 me say perhaps different things than you heard Steve
14 or others say yesterday about how FDA is using whole
15 genome sequencing, so I just think this might be the
16 right time to kind of remind people of the
17 differences between FSIS and FDA.

18 So -- and again, what I'm going to do today
19 is I will talk to you a little bit about how FSIS is
20 using whole genome sequencing. I'm going to point
21 out where I believe we have some pretty solid policy,
22 and where we likely need to relook at our policy and

1 see if we need to fill some policy gaps.

2 I'm going to talk to you about things we've
3 been talking about internally, kind of future
4 applications and how we may use whole genome
5 sequencing in the future. And I don't want the
6 industry folks to get excited. This is all -- when I
7 talk about future applications for whole genome
8 sequencing, it's hypotheticals at this point.

9 It's just things we've been throwing
10 around. And you're not going to hear anything
11 different than you've heard through the other
12 presentations, quite frankly. But I just think this
13 might be a good time to reiterate.

14 We're going to get ready for a roundtable
15 discussion. So some of this can be used as memory-
16 joggers, so when we come up here and we start
17 discussing what we've heard over the last day or two,
18 I think, and we'll have some great topics. So I'm
19 going to do some of this as memory joggers.

20 So I wanted to start by reiterating a few
21 points that we heard yesterday, or I should say over
22 the last day and a half now. Whole genome sequencing

1 is a powerful tool that will replace traditional
2 methods for routine microbiology characterization and
3 subtyping of foodborne pathogens. And FSIS will
4 certainly use it in that way.

5 It is a tool that can characterize
6 bacterial pathogens with far greater accuracy, I've
7 heard the word precision, granularity, I mean,
8 there's been a lot of words used around that, than
9 previous methods such as PFGE.

10 It's a tool that holds promise of being
11 able to facilitate an in-depth understanding of
12 harmful traits and pathogens such as bacterial
13 virulence, and of course, antimicrobial resistance.

14 I also want to reiterate an important point
15 made yesterday regarding how important it is for all
16 stakeholders, so that's all of us sitting in this
17 room and participating in this meeting, to continue
18 to collaborate, coordinate, share and openly
19 communicate, harmonize, standardize, these are all
20 the words we've heard over the last year and a -- or
21 the last day and a half, and assure data quality
22 regarding the use of whole genome sequencing.

1 And I want to emphasize the importance of
2 the Gen-FS partners continuing their work together.
3 You really are out there to make sure we have a
4 unified front in terms of the use of whole genome
5 sequencing data, particularly in the food safety
6 arena. So I just want to put that out there.

7 And again, before I kind of jump in to how
8 FSIS is using whole genome sequencing and where we
9 are relative to policy development, as I mentioned,
10 I've worked in both FDA and FSIS, and in both
11 organizations I started out in Field Operations, on
12 the ground -- boots on the ground, folks -- and then
13 moved over to Policy. I did that in FDA. I did that
14 now over at FSIS.

15 First of all, you know, we are alike in
16 that we are both public health regulatory agencies,
17 FDA and FSIS. Our mission is to protect public
18 health, and relative to food, it's to reduce
19 foodborne illness. That's what we're about.

20 With that said, there is a lot of
21 differences, and again, as I mentioned, that's
22 grounded in law and regulations. So let me go

1 through some of those.

2 You're aware we regulate different foods.
3 I mean, I think we say that often enough, but these
4 foods have different risks associated with them.
5 They have different groups that consume them at
6 different rates. So we are different in that
7 respect. There's different risks associated with the
8 foods that we regulate.

9 Of course, FSIS regulates raw meat, and now
10 that includes Siluriformes fish, and poultry. And
11 then we also do egg products. And then all the --
12 you know, most of what we're doing is the raw meat,
13 so that has some -- you know, that has some
14 challenges in and of itself, but then we do also have
15 kind of those non-ready-to-eat that kind of look like
16 ready-to-eat products, ready-to-eat products, etc.

17 And then on the FDA side, they regulate
18 basically all other foods. So -- and it's about 80%
19 of the foods that are consumed in the U.S., FDA
20 regulates. And their foods are a mix of raw, and
21 they also do a lot of ready-to-eat foods, kind of
22 your -- so they do the raw fish, but they also do

1 cooked fish, shellfish, raw shell eggs, raw fruits
2 and vegetables now, non-ready-to-eat and ready-to-eat
3 food ingredients, and many ready-to-eat processed
4 foods. So that's kind of the FDA world.

5 There's a vast difference in the number of
6 FDA establishments that have an assigned inspection
7 frequently versus the number of establishments that
8 have to be under what we call continuous inspection
9 or once per production shift inspection on the FSIS
10 side.

11 So, for FSIS, we have about 6,000 official
12 establishments. Those are our slaughterers and our
13 processors. And I will say that warehouses and
14 distributors in the FSIS world are not routinely
15 inspected, with one exception, and those are these, a
16 small subset of the warehouses that are ID
17 warehouses.

18 Our inspection frequencies are dictated by
19 our laws, so the Federal Meat Inspection Act, the
20 Poultry Products Inspection Act, Egg Products
21 Inspection Act. They tell us how often. Our
22 inspection frequencies are dictated in those laws.

1 And according to those laws, we have to
2 have FSIS inspectors in slaughter establishments, so
3 all meat and poultry slaughter establishments,
4 basically during all hours of operation. So FSIS has
5 to be present onsite.

6 And relative to those further processors,
7 those just processing, we have to have inspectors
8 visit and do inspection tasks in those facilities
9 once per production shift, so basically daily, at
10 least once daily.

11 So in addition to that, FSIS conducts
12 weekly meetings with establishment management. So we
13 have in-plant personnel in those establishments, or
14 you have those going and visiting processors, and
15 once a week they have to meet with establishment
16 management.

17 So they are having conversations. And this
18 is an opportunity for that, those in-plant inspectors
19 and their managers to talk to the establishment about
20 non-compliances, about positive samples, what are you
21 going to do with these positive samples.

22 I would also say they, the establishments

1 and USDA-FSIS, they get their results, sampling
2 results emailed to them basically real-time. At the
3 same time we get the results and they've been
4 cleared, they're getting the results. They get
5 emails through their LIMS system.

6 They also get quarterly reports of all
7 their sample results, and that way they can trend if
8 they choose to, once a quarter. So our
9 establishments have a lot of information coming to
10 them, that we expect them and require them to do
11 something about pretty much immediately.

12 Okay. So in contrast, FDA -- and maybe my
13 numbers are a little off. Somebody can correct me if
14 I am. But when I left a year and a half ago, we had
15 over 60,000 domestic establishments that require to
16 be -- that were required to be inspected under a
17 mandated minimum inspection frequency.

18 And that inspection frequency was
19 established under the Food Safety Modernization Act.
20 It is a minimum inspection frequency, and it
21 basically is that those establishments need to be
22 inspected once every 3 years if they've been

1 designated as high risk, and once every 5 years if
2 they have been designated as low risk.

3 And -- but with that said, FDA, a lot of
4 states are doing contract inspections for FDA. I
5 think about half of the inspections, food
6 inspections, establishments inspections that FDA
7 reports out on annually are now done by the states.
8 So those states are helping FDA, usually to exceed
9 that minimum inspection frequency.

10 So, again, there is no routine conversation
11 or opportunities for routine conversation between FDA
12 inspectors and plant establishment management. In
13 fact, if you're hearing from FDA, it's likely not a
14 good thing, quite frankly. So --

15 Let's see. What else can I say in here?
16 Our sampling models are completely different.
17 Basically, FSIS inspectors collect thousands of
18 regulatory samples of product. We focus on product
19 sampling for pathogen testing annually.

20 And what they're doing is they're
21 collecting those samples to verify that the HACCP
22 systems in those establishments are continuing to

1 work, that the establishments are producing
2 unadulterated product, or that they're meeting
3 pathogen performance standards, which means we're
4 really looking to see if they're maintaining process
5 control where applicable.

6 We do have pathogen reduction performance
7 standards, for example, for *Salmonella* in poultry
8 establishments, slaughter establishments.

9 There is a limited environmental sampling
10 done on the FSIS side. And, of course, we do focus
11 that to our ready-to-eat food product establishments.

12 In contrast, FDA, in the domestic arena,
13 and I should make that clear. You know, we both have
14 to resort to border sampling relative to imports.
15 But in the domestic arena, FDA has been focusing on
16 environmental sampling, taking environmental swabs.
17 And again, they focus on ready-to-eat establishments
18 but may venture into others as well. They do collect
19 for-cause product samples, but it's on a very limited
20 basis.

21 But with that said, where we are alike is
22 both FDA and FSIS do collect product samples to

1 establish prevalence baselines. So FDA, it's a
2 little bit newer. Maybe they're not even doing it
3 anymore, but I know when I left, we were focusing on
4 getting some prevalence baselines for certain high-
5 risk commodities, and FSIS does that routinely.

6 Lastly, we have very different enforcement
7 approaches. We don't have different enforcement
8 tools, necessarily, but our approaches are very
9 different. We both do take -- we do progressive
10 enforcement, so we'll put you on notice first before
11 we move up to something more severe than that.

12 But while we take a progressive enforcement
13 approach on the FSIS side, it really is the locals,
14 the districts and those inspectors that can
15 independently -- they're empowered to independently
16 take some pretty strong enforcement very quickly.

17 They can suspend establishments from
18 inspections, which has the effect, for those that
19 know the FDA side, of an injunction. Because you
20 legally cannot operate if you don't have inspection
21 in those facilities.

22 So our inspectors, they can note things.

1 They can literally elevate that to a frontline
2 supervisor and make that decision. No OGC needs to
3 be called. Headquarters doesn't need to be called.
4 It happens very quickly. But at the same time, they
5 typically resolve the issue fairly quickly, as
6 quickly as possible so we can get those
7 establishments back up and running.

8 And then on the FDA side -- and I worked in
9 the compliance arena quite a bit on the FDA side --
10 when we're going to even issue, take an
11 administrative action on the FDA side, like issuing a
12 warning letter, there were often -- there's some
13 direct reference warning letters where the districts
14 could issue them, but often they had to come into the
15 center for approval, and the center would have to go
16 to the Office of General Counsel for approval, so
17 just to issue a warning letter. So they're taken
18 very seriously.

19 And then, of course, seizures, injunctions
20 and prosecutions, you have to work through the Office
21 of General Counsel and FDA for those.

22 So all of that to say, if it seems like FDA

1 and FSIS may be taking a different approach relative
2 to how they're using whole genome sequencing, I think
3 there's reasons for that. And I think it's okay,
4 too, quite frankly.

5 So how has FSIS been using whole genome
6 sequencing? FSIS, like FDA, has been using it in the
7 outbreak investigation or response arena. I believe
8 we have solid policy established regarding the use
9 of -- you know, initially, it's PFGE, but it morphs
10 into whole genome sequencing quite nicely, some of
11 the policy we have out.

12 It's in the *Federal Register* notice. We
13 put out a *Federal Register* notice in 2012. It really
14 is talking about your raw product, your non-ready-to-
15 eat product, but it makes it very clear when we will
16 consider something associated, a food associated with
17 illness and when a product becomes adulterated
18 because of that, because again, one big difference --
19 and boy, I'm still getting used to this -- on the FDA
20 versus FSIS side is we do raw meat. *Salmonella* is
21 not an adulterant.

22 It only becomes an adulterant when that

1 product is associated with illness. So we've made
2 that very clear in the *Federal Register* notice. And
3 we made it very clear, kind of, what the threshold of
4 data is to link that non-ready-to-eat or raw product
5 to illness.

6 FSIS, again like FDA, is also using -- so
7 that's a reactive manner. When we're, you know,
8 using whole genome sequencing for food, foodborne
9 outbreak response, something's already broken down in
10 the system. So I consider that being -- using it as,
11 in a very reactive manner.

12 We are also using it like FDA in a
13 proactive manner to identify harborage in food
14 processing establishments. We are starting to use it
15 on a more limited basis when warranted to detect
16 evidence of repeated introduction of a strain into a
17 non-ready-to-eat food environment, such as through
18 animals coming into the slaughter establishment.

19 You've heard a little bit more about that
20 from other folks. I think it applies to produce as
21 well. You have -- you kind of have -- it's
22 transient, because it's coming in from that field;

1 it's coming in from the animal. It may not actually
2 be harboring in the facility. It's just coming into
3 the facility more than once, maybe quite a bit.

4 So we're starting to use it to identify
5 those instances. And then we are using it, again, in
6 a limited fashion to assess whether we're having
7 cross-contamination issues in some of our facilities.

8 FSIS communicates any -- you know, if we
9 have evidence of harborage, during our weekly
10 meetings and, you know, through their emails, the
11 industry and the establishments understand that very
12 quickly. And then they know they are required to
13 take action as appropriate.

14 Our inspectors are to verify that they've
15 taken appropriate corrective actions. Typically, we
16 will go in and do some environmental sampling
17 ourselves when we see harborage. So we'll do that.
18 We likely will collect additional product samples as
19 well, to make sure that, you know, what's in the
20 environment isn't making its way into the product.

21 And again, I think -- we believe that our
22 policy around harborage, we do have industry

1 guidelines out there. Folks seem satisfied with it,
2 but we really do want to take a step back,
3 particularly after this meeting, and look at it
4 again, see if there's any areas we need to clarify.

5 We'll probably interact with the industry
6 to see what they have to think about that. And I
7 also think we might have to revisit our instructions
8 to our inspection personnel out there, to make sure
9 they're clear.

10 So, again, what my office does is we do
11 both what I would call operational policy, so it
12 really is setting up those instructions for field
13 inspectors. We want consistency out there to the
14 extent possible with, you know, 9,000 inspectors.
15 But we do that in my office as well as general policy
16 setting.

17 Relatedly, I do want to mention that FSIS
18 is working on developing procedures for reporting
19 evidence-based whole genome sequencing data to the
20 industry. We've heard comments that how are you
21 going to do that. So we are working on that.

22 In addition, we do continue to work closely

1 with FDA to more systematically evaluate whole genome
2 sequencing data as it pertains to our dual
3 jurisdiction facilities.

4 So, and those dual jurisdiction facilities,
5 I can tell you, in the, probably last year and a
6 half, we have supported each other in taking
7 enforcement type actions, because FDA has the
8 environmental samples and FSIS has the product
9 samples. And with whole genome sequencing, we are
10 making connections.

11 So again -- and we're looking at harborage.
12 And we're able to identify harborage. So we're
13 dealing with those facilities in a more systematic
14 way because we're talking to each other and we're
15 sharing the sequence data.

16 So in addition to how we've been using
17 whole genome sequencing, as I just described, so
18 we've been using it for outbreak response, for kind
19 of harborage, cross-contamination, depend -- you
20 know, determining if animals are bringing a
21 particular strain into the facility on more than one
22 instance.

1 I do want to touch on some of the things
2 we're thinking about. And again, Dr. Goldman touched
3 on some of these yesterday.

4 So FSIS believes we could use whole genome
5 sequencing data to evaluate long-term or industry-
6 wide trends which may result in the need to revisit
7 existing policy, or the development of new policy.

8 So I'm just going to list a few of the
9 potential applications for kind of doing these long-
10 term evaluations or industry-wide trending that we
11 are considering. And again, we've already heard a
12 lot of these from others that have presented at this
13 meeting.

14 So we are looking at novel and recognized
15 AMR genes in *Salmonella*, *Campy*, generic *E. coli* and
16 *Enterococcus* species. We're looking at subtyping
17 distribution in animal species, products, global
18 geographic regions. And we're not looking at, we're
19 thinking about looking at.

20 We're thinking about looking at the
21 presence or absence of pathogenicity islands or genes
22 associated with STEC, *Salmonella*, *Campy*, and *Lm*, and

1 then the presence or absence of genes associated with
2 resistance to commonly employed intervention. We
3 really think that this -- there's a real possibility,
4 and we really want to delve into that piece.

5 So we want to look at -- what we're hearing
6 is that some of these pathogens are becoming
7 resistant to some of our interventions. So they
8 become heat resistant, pH resistant, resistant to
9 chlorine, so they can survive high levels of
10 chlorine.

11 So we want to use it in that way, too, to
12 figure out maybe some of our interventions are no
13 longer going to be usable. And we need to move on,
14 so we want to look at that.

15 Such evaluations and trendings, of course,
16 were not possible with PFGE. It is possible with NGS
17 or whole genome sequencing. If we were to use data
18 in this manner, we believe we would need to answer
19 the following questions and modify or develop new
20 policy based on the answers to those questions.

21 So we're already thinking, if we were to do
22 this, what are the questions we're going to have to

1 answer?

2 And so some of those questions are, how
3 will we use those results? So we did the trending
4 analysis, the long-term analysis; how will we use
5 those results generated to influence the design of
6 future exploratory or baseline studies conducted to
7 determine the need to set or modify performance
8 standards?

9 Or how will we use them to influence
10 sampling programs, lab detection methods, risk
11 assessments, attribution measures?

12 And hypothetically speaking -- and I want
13 to say that, because I don't want folks leaving and
14 saying, Roberta said -- if we were to look at the
15 presence or absence of pathogenicity islands or
16 genes, kind of those virulence genes associated with
17 STEC, *Salmonella*, *Campy*, and *Lm*, we might need -- and
18 a question we would have to ask ourselves is, do we
19 need to define or redefine adulterants, adulteration
20 based on the presence or absence of virulence genes
21 for pathogens, for example?

22 And others have mentioned that today.

1 Might we have to look at that in the future?

2 When contemplating future uses of whole
3 genome sequencing, FSIS has also been thinking about
4 how we would use it as a tool to further evaluate
5 pathogen-positive sampling data that we have for
6 individual establishments.

7 So now we often use that data to basically
8 calculate percent positive rates and determine if
9 folks are meeting performance standards, pathogen
10 reduction performance standards.

11 So, obviously, with whole genome
12 sequencing, we could do a lot more than that with
13 those positive results. And so, what we're thinking,
14 again, you know, we could look at those results in
15 the context of, for a specific establishment, are the
16 pathogens we're seeing, are they pathogen lineages
17 historically associated with outbreaks?

18 So, you know, CDC has -- these are, for
19 example, *Salmonellae* that have caused illness before.
20 Might we look at *Salmonella*-positive isolates from
21 specific establishments and say, hey, that's a
22 particular strain that has caused illness, or no,

1 that's one that really hasn't, and might we use that
2 in some way?

3 You know, basically again, if we might look
4 at this relative to pathogenicity islands genes, gene
5 combinations associated with virulent strains, the
6 presence of genes associated with resistance to
7 antibiotics, antimicrobials, and commonly employed
8 interventions.

9 So, again, just taking a step down, we have
10 positive isolates that have been sequenced from
11 specific establishments and what can we learn about
12 those.

13 And again, the way we would likely use it
14 is share it with the industry. It's kind of -- you
15 know, it's part of what we know, and let's just
16 continue -- you know, we're about continual
17 improvement in these establishments. And again, how
18 can we further reduce the presence of pathogens in
19 the food products that we regulate?

20 So, again, if we were to do this, there's a
21 couple of questions. You know, there's a main
22 question we would have to answer. And again, based

1 on the answer, we may have to establish policy or
2 make changes in existing policy.

3 So how should such data influence the level
4 of concern and subsequent assignment of agency
5 resources to such things as follow-up samples, public
6 health risk evaluations, food safety assessments and
7 enforcement?

8 So, again, this was this whole ideal of
9 not -- you know, we might learn through whole genome
10 sequencing that all *Salmonellae* aren't the same, all
11 STECs aren't the same, you know, all *Lm* isn't the
12 same, and might -- how might we use that information
13 to make decisions on how we're going to utilize, for
14 the Agency, our resources? There's other ways I'm
15 sure the industry would use that information.

16 Just wanted to mention that FSIS signaled,
17 in a February 2016 *Federal Register* notice, and the
18 beginning of the title was, "New Performance
19 Standards for *Salmonella* and *Campy* in Non-Ready-to-
20 Eat Chicken Parts," and it moves on to comminuted
21 chicken and turkey products.

22 We mentioned, and we kind of signaled in

1 that *Federal Register* notice how we will use whole
2 genome sequencing data to prioritize and then assign
3 inspection resources in establishments. And I just
4 wanted to read it really carefully.

5 Again, what I have found is FSIS, they put
6 pretty much everything they're doing in the *Federal*
7 *Register*. So if you want to know what we're doing or
8 what we're thinking, go to the *Federal Register*.

9 So in that particular *Federal Register*
10 notice, it states in part that FSIS will schedule a
11 public health risk evaluation, possibly a food safety
12 assessment, and those are -- for FDA folks or those
13 that are regulated by it, those are full-blown
14 inspections. Okay. So that's what we're talking
15 about there, with sampling, environmental sampling.

16 So we might -- we'll basically schedule
17 those based on FSIS test results for establishments
18 that do not meet pathogen reduction performance
19 standards, for establishments that have produced
20 products with repetitive *Salmonella* or *Campy*
21 serotypes of public health concern, or repetitive
22 antibiotic-resistant *Salmonella*, and for

1 establishments with *Salmonella* or *Campy* PFGE patterns
2 or whole genome sequences as they become available,
3 matching those patterns or sequences found in recent
4 outbreaks, or epidemiologically linked to illnesses.

5 So I just want to point out that we are
6 signaling how we're going to be using whole genome
7 sequencing in current -- and this is actually from a
8 year ago, *Federal Register* notices.

9 So let me close by stating that again, Dr.
10 Goldman mentioned this yesterday, whole genome
11 sequencing technology is in our 5-year strategic
12 plan. It's in our action plans. First develop
13 capacity, then move on to developing the compliance
14 and enforcement strategies, looking at other
15 applications for the technology. More to come. It's
16 evolving.

17 We recognize that whole genome sequencing
18 improves on PFGE's ability to detect and confirm
19 illness clusters, and as such, FSIS is using whole
20 genome sequencing data to detect clusters,
21 potentially representing outbreaks or incidence of
22 harborage, and is developing procedures for reporting

1 results directly to establishments.

2 FSIS also recognizes that whole genome
3 sequencing provides an unprecedented ability to
4 characterize pathogen isolates, and that we can use
5 these data to understand temporal, geographical, or
6 industry-specific trends, enhance our sampling
7 programs, pathogen-detection methods, and risk
8 assessment attribution studies, and ultimately, food
9 safety policies.

10 And we understand that establishment-
11 specific results can be used to prioritize assignment
12 of agency resources, so again, just summarizing
13 everything I just said about some potential
14 applications in the FSIS world.

15 And lastly, we also recognize that
16 establishments can use whole genome sequencing
17 results to inform the design and verification of
18 HACCP controls and supply chains.

19 So let me see where I'm at. So what I
20 wanted to do next, because we are going to have the
21 round table. We have 12 esteemed stakeholders that
22 are going to come up here, a very diverse group. And

1 we want to pose some questions to them and kind of
2 get their perspectives around that.

3 But before we do that, I just want to -- I
4 want to read through a list of my take-homes from the
5 public meeting. And so this is -- these are mine.
6 Hopefully some of them are yours as well. And I do
7 want to mention, I'm not a microbiologist, so if I
8 misused any terms in the micro world, I apologize.
9 I'm a chemist by degree. So apologize there.

10 But anyway -- and again, I want to do this
11 to just spark our memories so when we have the
12 roundtable up here, and you know some of this is
13 fresh in your mind.

14 So what I heard over the last day and a
15 half, we need standardization, harmonization across
16 all whole genome sequence networks relative to whole
17 genome sequence metadata, data reporting, analytics
18 tools.

19 And around analytics tools, I heard that we
20 need web-based easy to use tools. And then we also
21 need standardization and harmonization around the
22 interpretation of the data.

1 I heard that all stakeholders need to
2 continue to communicate, collaborate, learn together,
3 share together in multiple venues and forums.

4 I heard that, you know, we -- I think we
5 heard over and over again how powerful a tool whole
6 genome sequencing, new generation sequencing is, but
7 that we need to use it in combination with other
8 evidence, data, information, and we need to use it in
9 the context of the questions that we're trying to
10 answer.

11 Whole genome sequencing will likely change
12 how we define and characterize hazards. And again,
13 you know, a lot of folks talked about, you have whole
14 genome sequencing data, you need to consider, you
15 know, things like chain of pathogen transmission,
16 gene expression, environment, food matrix production
17 environment, and many more when you're using that
18 whole genome sequencing data. So you need to put it
19 in context.

20 We must develop and follow data quality
21 standards, particularly as data is being uploaded
22 into public centralized databases.

1 Investments in training are crucial to
2 ensure consistency in data interpretation. We need
3 to address this live/dead cell issue. We need to
4 address the sharing of data, so we could have --
5 basically, so we can continue to grow the sequence
6 database. And folks brought up that we really need
7 to get those food sample sequences into that
8 database.

9 And if we can do this, if we can figure out
10 a way to get more and more sequences into NCBI for
11 example, what a powerful tool we have to improve food
12 safety. So we need to overcome these hurdles of, you
13 know, industry being concerned of being penalized in
14 some way if they upload sequences for pathogens.

15 With that said, you know, I do want to
16 mention -- and I'm speaking for FSIS here, that we
17 are certainly open for further discussions on
18 creating a safe harbor for industry, so they can use
19 this new technology.

20 We want them to use it, of course, to
21 enhance and advance their food safety systems. We
22 want to encourage its use, not discourage its use, so

1 we really do want folks to come to the table and
2 let's really try to sit down and tackle this issue of
3 perhaps how we might create a safe harbor as the
4 industry is learning how to use, or is experimenting
5 with whole genome sequencing.

6 One thing I took home from this is we had
7 no food lawyers present. I think we really need to
8 get the food lawyers at the table. There seem to be
9 an awful lot of legal questions. You know, when --
10 if I upload, what's going to happen to me? Should we
11 sunset data, etc., etc.

12 So, you know, maybe that's the next
13 discussion. We need to have some discussions with
14 the food lawyers out there, and get their thinking.

15 We need to establish policy around
16 retrospective investigations. We heard that today.
17 We heard this is a great tool for other things
18 besides those we've talked about during this meeting,
19 such as shelf life studies, causal spoilage analysis,
20 determining if pathogens in raw materials are seeding
21 the environment, so repeat transient pathogens.

22 Or are they resident harborage? We could

1 do some more work around that, understanding how
2 pathogens move through the processing environment,
3 learning the microflora of the processing
4 environment. So we heard many, many different uses
5 for new generation sequencing and whole genome
6 sequencing.

7 As a regulator, what I heard very clearly
8 is that we need to establish clear policy regarding
9 the use of whole genome sequencing and whole genome
10 sequencing data, and we need to publish those in
11 something, a compliance guideline or something like
12 that.

13 And last two, we need to figure out what
14 and how to communicate relative to whole genome
15 sequencing to consumers. So we need to consider the
16 consumers in all of this. What should we be telling
17 them? How can we explain this in kind of a plain
18 English way that's not overly complicated?

19 And then maybe when we do move to
20 suggesting that certain Salmonellae -- well I can't
21 use Salmonellae. I can use -- I'll pretend I'm from
22 FDA. Certain Salmonellae aren't an adulterant. It

1 might be easier for them to understand. So I think
2 we got to pave the way for that.

3 And then we need to -- and the last take-
4 home, we -- what I heard was we need to very
5 deliberately and thoughtfully move forward with
6 using whole genome sequencing data. So we need to do
7 it very carefully.

8 So, with that, I don't know, is Bill down
9 there? Am I supposed to move on to the roundtable,
10 or --

11 Are you doing that part or am I doing it?

12 DR. SHAW: Okay. So yeah. So thank you,
13 Roberta, for giving us your perspective.

14 And then I think we're going to bring up
15 the panelists, and you know all who you are. So you
16 want to -- you want me to read them out, Peter?

17 So Martin Wiedmann, and then John and Steve
18 and David, and then Bill and Jorgen and Jennifer, and
19 Mansour, and Tommy. There's Tommy. And who am I
20 missing? Suelee?

21 MS. WAGNER: Okay. And what question do
22 you want?

1 Okay. So, first of all, we have a great
2 diversity of folks sitting at the table, and I think
3 we're going to ask you, we'll just start at that end,
4 and introduce yourselves.

5 And I want to say thank you for taking time
6 out to do this. We appreciate it. Again, I think we
7 have a real diverse group.

8 DR. GOLDMAN: David Goldman, FSIS.

9 DR. KLIMKE: Bill Klimke, NCBI.

10 MS. COFFMAN: Vanessa Coffman, Johns
11 Hopkins Center for a Livable Future.

12 DR. WHEELER: Tommy Wheeler, USDA ARS.

13 DR. BESSER: John Besser, CDC.

14 DR. WIEDMANN: Martin Wiedmann, Cornell
15 University.

16 DR. McENTIRE: Jennifer McEntire, United
17 Fresh Produce Association.

18 DR. SAMADPOUR: Mansour Samadpour, IEH.

19 DR. MUSSER: Steve Musser, FDA-CFSAN.

20 DR. ROBBE-AUSTERMAN: Suelee Robbe-
21 Austerman, APHIS and NVSL.

22 DR. SCHLUNDT: Jorgen Schlundt, GMI and

1 Singapore.

2 MS. WAGNER: Okay. And what I think I want
3 to ask you to do, I'm going to put you on the spot a
4 little bit. So I think the majority of you have been
5 here for the majority of the meeting. I'm sure you
6 had to step out here and there.

7 I think Jennifer, you came in today. Were
8 you here yesterday?

9 DR. McENTIRE: I was at FDA yesterday.

10 MS. WAGNER: Okay. So that's okay then.

11 What I think we want to do is, can you just
12 share a learning from the last day and a half? And
13 so that's part one.

14 And then part two, where do you think we
15 need to have additional discussion?

16 So, I mean, I don't know about you, but my
17 head is spinning. And, you know, it's where like
18 where do we even start? So that's why I think we
19 want to pick your brain a little bit on kind of what
20 are those next topics we likely will have to meet
21 around.

22 And I don't care which side. David, you

1 could start.

2 Oh, you have the microphone. Go ahead.

3 You can start.

4 DR. GOLDMAN: I'm sorry. Okay.

5 (Off microphone comments.)

6 MS. WAGNER: No. Yeah. I was going to
7 say, I want you in the middle so you can, you know,
8 liven it up as we go afternooon.

9 DR. GOLDMAN: I think I'll be quick to try
10 to set a model here, because there's a lot of us up
11 here. And a lot of what I will say has been said by
12 FSIS in various talks throughout the meeting.

13 One of the things that I note and have
14 noted in previous discussions is, the more we know,
15 the less we know, in some respects. There are lots
16 more questions. Whereas I think maybe 5 years ago,
17 just for me, personally, I thought it would pretty
18 straightforward. We'd see -- we have a goal in mind;
19 we'd get there fairly readily.

20 It's much more complex than that, and I
21 think we all need to appreciate that. And a
22 consequence of that is that as Roberta just said, and

1 it's a good chance to echo, we're necessarily
2 deliberative as a regulatory agency. We're going to
3 be even more so with this particular issue because of
4 the complexity. So I think that's one takeaway.

5 In terms of next steps, I think it was
6 really hard and challenging to pull together a
7 meeting with the breadth of participation we have
8 here today, although it was hugely beneficial. I
9 think we need to have more discussions, maybe with
10 smaller groups representing some of the same broad
11 audience, but more of what's been occurring already
12 around the world.

13 And if we could replicate this sort of a
14 meeting sometime in the future, I think we'll need to
15 do that as well.

16 MS. WAGNER: Next.

17 DR. KLIMKE: I think I heard some people
18 say that they think we need more sequencing, and I'm
19 looking forward to seeing those sequences deposited
20 at NCBI.

21 MS. COFFMAN: I've been really impressed,
22 and I want to applaud everyone who's been working on

1 this issue, because you can tell, obviously, that you
2 all care and, you know, we're really going to,
3 hopefully, make some headway on foodborne illness in
4 this country and around the world. So it's a very
5 exciting time, and I appreciate all your hard work.

6 As someone who's about to graduate with a
7 Ph.D. in epidemiology, I'm really excited to hear
8 that you guys still value epidemiology. So that's
9 great. And I wonder how we can take our epi findings
10 and translate those into something that is digestible
11 for the consumer.

12 DR. WHEELER: I think one of the things
13 that I learned is that we're about to have a lot more
14 information about some of these pathogens than we've
15 had, and there are going to be a lot of opportunities
16 to take advantage of that. And we just have to fine
17 tune how is the best way to do that.

18 And I also think that there's a lot of
19 questions still out there that are really not
20 answered yet. And I think there's some work that we
21 need to do to get to that point, to understand, you
22 know, how much variation is out there, and what's the

1 distribution of that variation. And that really can
2 end up impacting how some of this data is going to be
3 interpreted.

4 DR. BESSER: There's all these different
5 agencies and different terms and different new
6 technology terms. But I think I'm coming away from
7 this with a little more appreciation how, below the
8 surface, we're more on the same path than we thought
9 we were.

10 I think we're all headed in the same
11 direction. I think I have a little more appreciation
12 for some of the industry concerns. And my
13 understanding that not all of this will ever be
14 understandable was reinforced by this meeting.

15 DR. WIEDMANN: I think I've come away with
16 being very encouraged about some of the data we've
17 seen and the move we've seen to being able to use
18 whole genome sequence data to redefine what is a
19 pathogen and ultimately what is an adulterant.

20 And I'm very encouraged by some of the FSIS
21 data I've seen on comparing food isolates to human
22 isolates to get at some of the issue of *Salmonella*

1 Kentucky, which has always been intriguing to some of
2 us, and how application of these tools to redefine
3 pathogens of concern or whatever we're going to call
4 it in *Salmonella* might move us to a better point
5 where we can better address this pathogen where we
6 have not made much progress.

7 DR. McENTIRE: I've been encouraged at the
8 openness, just in having the discussion and then
9 surfacing many of these issues.

10 And Roberta, I was particularly pleased to
11 hear your openness to consider the opportunity to
12 develop safe harbors, because I think that that will
13 allay a lot of industry concerns. And then we'll be
14 able to move forward much more readily.

15 DR. SAMADPOUR: Angie and Jennifer did a
16 good job talking about some of the industry's
17 concern. Something that may be useful is to have a
18 similar educational symposiums.

19 In the next year there are several
20 industry-specific meetings, the poultry industry,
21 beef industry, and FSIS and FDA regulated. It would
22 be nice to take this to the industry and educate

1 various members of the food industry on the
2 technology, what it does, what it cannot do, and make
3 sure that they understand that, you know, doors you
4 have used are not there, you know, no one is going to
5 make a decision without clear epidemiology, and there
6 is always going to be a scientific discussion.

7 DR. McENTIRE: That's a great suggestion.

8 DR. MUSSER: So, first of all, I'd like to
9 thank everyone that organized this public meeting.
10 For those of you not in the federal government,
11 putting on public meetings is a very heavy lift.
12 It's not something you just decide to do and have it
13 happen.

14 And in addition to organizing it, just
15 putting it on day to day is a very difficult task.
16 So my hat's off to you, FSIS, for pulling this off,
17 and thanks very much.

18 For my part, I've learned once again that
19 we are still not communicating very well, that there
20 are a lot of points that we need to communicate
21 better, that we continue to need to reach out to our
22 industry, regulated industries and explain how we're

1 using this technology, and what it means to them, and
2 to us, as well as the public.

3 We, as a federal government, are often
4 criticized for this, and not doing a very good job at
5 it. So we will continue to -- for me, it reinforced
6 I got to pay for more seminars. I got to pay for
7 more, not really public meetings, but symposia.

8 I think we're going to have another in, at
9 IFSH in the spring time, probably another one
10 following that. We'll continue to have them as long
11 as we have interest in people attending.

12 And finally, I think my concerns about data
13 analysis and software availability continue to be
14 reinforced in that there's many ways to get to the
15 same answer, but that in many cases, we don't even
16 have a way to get to the same answer. So a software
17 bottleneck still exists in how we use them, so we
18 need to work in that area as well.

19 DR. ROBBE-AUSTERMAN: So I'm really excited
20 about the opportunity of whole genome sequencing
21 being a point of interaction of agencies at the time
22 of need, and allowing for that efficient

1 communication during outbreak investigations.

2 And I also -- although I haven't been
3 involved in these meetings, I run the core at NBSL,
4 and watched the FDA start whole genome sequencing and
5 see how far everybody's come since 2011. And it's
6 been impressive how fast this technology has rolled
7 out and how quickly everybody has come to work
8 together.

9 So I think it's going to continue, and it's
10 also so impressive of how much inherent knowledge
11 that we've gathered just with this little *Listeria*
12 project that was done for a couple of years where
13 everybody decided to focus on that. And I think
14 it'll kind of continue to do this with all the
15 pathogens that we evaluate.

16 MS. WAGNER: Thank you.

17 DR. SCHLUNDT: Yeah. I'd like to say that
18 I'm very encouraged that there is this, I think,
19 general recognition that this is an international
20 issue. It should not be a national issue, not even
21 in the U.S., because we have this opportunity that we
22 can actually use this as a global machine.

1 And then I would just have one warning
2 because even though I also agree that it's fantastic
3 how quickly it has been moving forward, especially in
4 the U.S., if we are moving forward too fast, without
5 coordinating what we are doing, we might squander
6 this, I think, rather fantastic opportunity that we
7 might end up with a global machine and a global
8 solution to a lot of different things that would not
9 only help countries outside the U.S., but would
10 actually also help the U.S. consumers because more
11 than half of your food, you import from elsewhere.

12 And if you had a common system, based on
13 whole genome sequencing and metagenomics, by the way,
14 I think we have to mention that also, that would
15 really be to the benefit of the consumers, and I
16 would also say the food producers in the U.S.,
17 especially if it was around the world.

18 MS. WAGNER: Okay. Now, what -- I'm going
19 to look at some other folks. What question do you
20 want me pose up here? We have 15 of them.

21 I guess I'll do one question. I have a
22 list of 15 questions. Is there a topic that you

1 really want the panel members to address, any of you,
2 in particular? Is there just something you really
3 want to hear from -- hear about from everybody on the
4 panel?

5 (Off microphone remarks.)

6 MS. WAGNER: Okay. So what I'm asking is,
7 is there a topic that you would really like everybody
8 on the panel to address? Any of you. Because
9 otherwise I have a list of questions, but I really
10 want this to be about you, too.

11 (No response.)

12 MS. WAGNER: Nobody has any burning desire?
13 Okay. What are key next steps, in your opinion, for
14 the regulatory community?

15 DR. MUSSER: You pointed to me --

16 MS. WAGNER: No, no. You don't get to --

17 DR. MUSSER: -- because I have the
18 microphone.

19 MS. WAGNER: You don't get to pass the
20 baton. Everybody has to answer.

21 DR. MUSSER: You know, for -- at least in
22 FDA's case, we have a number of activities we're

1 pursuing. One is, we are preparing -- we understand
2 that communication, education and training are very
3 important. And as a result, we're preparing a number
4 of training videos that we'll post on our website for
5 people interested in learning how to use software,
6 various software approaches, various -- you know, if
7 you want to see how we do sequencing or, we do
8 sequencing, how we do the analysis.

9 We're even going to enlist NCBI and have
10 them do a little video on how to use their software
11 and how the database works.

12 In addition to that, we're going to
13 continue to look at expanding partnerships, both
14 domestically and internationally, particularly
15 internationally because as Jorgen correctly points
16 out, we import a lot of our food.

17 We're going to work very -- continue to
18 work very hard on adding partners to the contribution
19 of the -- contribution to the database. This has
20 really been a huge effort on our part, to get people
21 to contribute to the database.

22 We've been to WHO. We're going to FAO in

1 the fall, trying to establish partnerships with
2 international organizations and governments and
3 academia to raise awareness about submitting samples
4 and the fact that we all get our food from around the
5 world. We live in a global food commodity system.

6 And so if we have an outbreak in the United
7 States, and it comes from an import, there's a good
8 likelihood that someone else is importing the same
9 food and their citizens may be getting ill as well,
10 so how we approach that issue.

11 And then we will continue to try and
12 develop software solutions that are available free of
13 charge, and maintain our relationship with NCBI,
14 because that's also free of charge.

15 It's expensive to set up these computer
16 systems, and it's really nice to have a partner like
17 NCBI that doesn't charge us for all of the computing
18 and all of the data analysis, and all of the software
19 development that they do.

20 And, you know, I would encourage you, if
21 you have a lot of information and you want to talk to
22 them, they're a great group of people to talk to

1 about, I'd really like to see a tool like this, I'd
2 really like to see this in my data stream.

3 They have all the data and the ability to
4 look at it. So I think that's something we will
5 continue to pursue. So that's probably enough for
6 me.

7 MS. WAGNER: Okay.

8 DR. SAMADPOUR: You know, from what I see
9 in the field, we have different types of events that
10 can impact a food company. So we have investigation
11 for cause. This is when epidemiological data
12 indicate that a company may be linked to an outbreak.

13 So by the time they are contacted, CDC has
14 done a lot of work. They have established -- state
15 health departments and CDC have established a
16 linkage. They called FSIS. Then FSIS or FDA,
17 depending on the industry, will get involved. And
18 they have to go and do now their own internal
19 investigation as to why this thing has happened.

20 And in that scenario, next-generation
21 sequencing is a tool that was used in the beginning
22 of the process, maybe to make the connection. But

1 what the regulatory agencies need to do to establish
2 better protocols for communication, to be able to
3 give them the data, answer the questions.

4 And in my experience, they have been very
5 good, actually, in situations that we have been
6 involved. There are phone calls, you know, we
7 request records. They are immediately supplied,
8 provided. And there is some level of delay once in a
9 while because labs are behind or, you know, there is
10 not enough resource to process some samples.

11 But from a point of view of what the
12 industry or what regulators should be doing is better
13 communication at that level, and a lot of it is
14 already there.

15 Then you go to situations where, you know,
16 you are doing your food safety assessment, or FDA is
17 sending a team to look at a food safety system that
18 may or may not include taking samples, and other
19 sorts of samples, there is a level of delay in that
20 regard, that sometimes samples are taken, products
21 have to go on hold. There is a delay that could
22 cause and does cause economic harm.

1 So some level of coordination that labs are
2 in the loop and they are going to give a positive or
3 negative result in couple of days, an expedited way
4 of dealing with this to prevent economic damage,
5 that's going to be essential.

6 Under what protocols will you go in and
7 start taking samples? Because the moment you go in
8 during production and take samples, they have to go
9 on hold. They cannot release products.

10 Now, if it's peanut butter, you know, it
11 lasts for like 10,000 years. Many of the people have
12 products with shelf life of 14 to 17 days. In that
13 case, you know, there's a lot of economic damage that
14 can be done.

15 So more sensitivity in that part is really
16 important, and established protocols. How do we go
17 there? How do we collect the samples? What type of
18 samples will we take? Would it impact the industry,
19 and do they have to go on hold? And if they are
20 going to go on hold, what are the protocols for
21 giving them the results much faster?

22 After that, not much has changed. We used

1 to, you know, use PFGE to make the connections and
2 look at our databases and stuff like that. And now
3 you're using whole genome sequencing, which is
4 actually better, because of the more specificity.
5 So false clustering is not going to happen as much as
6 we had it before.

7 So, again, better communications and some
8 better protocols as, you know, when you know that you
9 are going to impact them in terms of products on hold
10 and stuff like that.

11 DR. WIEDMANN: Maybe three sort of
12 hopefully pretty quick ideas. I think one of them is
13 more clarity around when, where and how whole genome
14 sequencing and next-generation sequencing data are
15 used to define unhygienic conditions.

16 We talked about harborage, but harborage
17 doesn't -- one could argue it doesn't always mean
18 unhygienic conditions. Mansour threw us a curve ball
19 with, you know, it's introduced, and it survives over
20 2 to 3 years.

21 Some people could argue survival over 2
22 years indicates unhygienic conditions because you

1 haven't got a system in place that eliminates it that
2 quickly. Some people might argue otherwise.

3 In some facilities, some might argue that
4 constant reintroduction, if you have a ready-to-eat
5 facility that produces under what should be very high
6 levels of hygiene, might be a problem, per se.

7 So how do we define that across different
8 industry, different facilities?

9 Number two, and more specific for FSIS is
10 really -- and I think it was mentioned multiple
11 times, can we come up with a performance standard
12 that's not percent positive carcasses or percent
13 positive samples but is adjusted for public health
14 potential in *Salmonella*, and use that to manage
15 *Salmonella* more?

16 And one thing that hasn't been mentioned
17 that I think we're going to need at some point is,
18 can we move towards a risk assessment that looks at
19 presence of genes in a food sample, or presence of
20 genes in a commensal organism, a non-pathogen,
21 obviously a specifically antimicrobial resistance
22 gene.

1 If I find an exotic antimicrobial
2 resistance gene that would have huge potential to
3 cause drug-resistant infections in a food a gene, I
4 don't know, is it a live or dead organism, what do I
5 do what that food? Is it a public health hazard?
6 How do I react?

7 What if I find a commensal that has one of
8 these antimicrobial resistance genes? It's not a
9 pathogen; what's the public health risk associated
10 with that? How do we deal with it?

11 These data are going to be created,
12 intentionally or as a byproduct, as we do
13 metagenomics, etc. Once we have these data, how do
14 we react on them?

15 Industry is going to need guidance.
16 Regulator is going to need guidance. And I don't
17 think we want to make those decisions ad hoc for the
18 first time we find a bunch of hits for an
19 antimicrobial resistance gene in a metagenomics
20 sequence.

21 DR. WHEELER: I think I would have to say
22 that we definitely need a little more clarity in

1 developing the policies and -- that are going to come
2 around, how exactly whole genome sequencing is going
3 to be applied, and communicate that clearly.

4 And along with that is, you know, how to
5 address, you know, some of the issues such as what --
6 some of the shortcomings, such as long-read and
7 short-read, and what does that really mean, and what
8 is that information that you're not getting, and how
9 does that reflect on how you interpret that data?

10 I think there's questions about, across the
11 system that we need to address relative to how much
12 variation do we see. And, you know, several comments
13 have been made about there's -- you really can't set
14 a specific cutoff for what's the same and what's
15 different but -- and clearly that's going to vary
16 among organisms, but more clearly, how is that going
17 to be addressed in the policies, going forward, I
18 think need to be defined.

19 DR. WIEDMANN: We need a consumer
20 communication strategy around whole genome
21 sequencing, all aspects of it, because otherwise
22 we're not going to be able to utilize it fully. It's

1 going to be the next GMO. Maybe we have a great tool
2 that we can't use.

3 MS. COFFMAN: Thank you. I thought I had
4 already hit on that, but yes, absolutely. So yes,
5 let's get the messaging right about the science.

6 DR. MUSSER: So I just want to follow up on
7 something I -- that Jennifer reminded me of this
8 morning in her talk, and that is that, at least in
9 FDA, and I'm certain FSIS, when we do an inspection
10 of your facility, or we have any kind of regulatory
11 or other communication with you as an industry, you
12 have a right to that data. And moreover, you have a
13 right to understand what the data means.

14 So I encourage you always to please contact
15 us if you have questions about this. If you get a
16 letter from us, or you get a communication from an
17 inspector and you don't understand it, don't go away
18 and say oh, I just feel, you know, particularly
19 impugned by this and I don't know what to do.

20 It's our responsibility to make sure that
21 you understand this. And believe me, we really do
22 want to work with you in understanding what this

1 information means and how you can apply it to make
2 food safe, because at the end of the day, you're in
3 business to provide a safe product for your
4 consumers, and we're, we have the same concern. We
5 want to have you producing safe food.

6 So we're in this together, and we would,
7 want to make sure that you understand all of the
8 information.

9 DR. SAMADPOUR: This is a very important
10 point that a lot of members of the industry don't
11 understand that they can have a conference call with
12 FSIS, with CFSAN, by just asking the district, during
13 emergencies.

14 And when I tell them that, they kind of
15 think that they have to go and, you know, okay, so
16 how do we do that? Do we have to hire attorneys to
17 do that for us? It's a matter of just calling the
18 district and asking for it.

19 And I think the more you guys advertise
20 that, that you know, you are available during all
21 these crisis and emergencies to them, I think that's
22 a very valuable resource.

1 MS. WAGNER: Yeah. I mean, I -- two points
2 I want to make for the FSIS piece. Obviously, if --
3 and the establishments that are under our
4 jurisdiction understand this, but if they don't
5 understand, don't agree with anything that's
6 happening out there relative to our inspectors, we
7 have an appeals process. So it just works its way up
8 through the system. So you can use that.

9 And what we've been doing during outbreak
10 investigations is we have been having -- usually in
11 the FSIS world, if a food's implicated we have to
12 have a -- we go to a recall committee. But we'll
13 usually have, we've been having pre-conversations
14 with the industry just -- and actually, very early
15 conversations when we might have an implicated food
16 product, but we really don't have a common source
17 yet, it's kind of going in a couple of directions.

18 You know, we're having conversations with
19 those folks before we even, you know, move into any
20 other conversations, so --

21 And during the -- we have -- industry was
22 in recently, and we -- they said, you know, sometimes

1 we have data that kind of refutes what you're saying.
2 And we said, we want to see it. Give it to us.
3 Explain it to us. We will take that under
4 consideration.

5 So we are having -- we have pretty open
6 discussions, and very fluid discussions when we're
7 going through these situations, where we're trying to
8 implicate a food with illness.

9 DR. McENTIRE: Roberta, if I can just add
10 a -- Steve, I appreciate your comments and your
11 offer, and I have found, over the past couple of
12 years, a better relationship with FDA, and in
13 particular, in embarking on these conversations and
14 having that open line of communication.

15 I think, from the industry, individual
16 members who see FDA so infrequently -- which is in
17 contrast to FSIS -- you know, FDA-regulated industry
18 rarely is inspected, doesn't have that expectation of
19 an open line of communication with the Agency.

20 So I think that it's very intimidating when
21 anyone from the Agency shows up. So there's a
22 natural reluctance and fear to question that person,

1 that I don't think is a reflection on the willingness
2 of FDA to have that conversation. I think it's just
3 kind of the environment in which the community's been
4 raised.

5 And so I do view part of my role as an
6 association representative as serving as a liaison.
7 I know my other association colleagues try to provide
8 that same service to their members, to facilitate
9 that discussion and with the hopes that eventually I
10 can take myself out of it because the relationship
11 has been established.

12 But I don't think we're quite there yet,
13 but I certainly appreciate the, you know, the
14 willingness of FDA, and certainly FSIS as well, to
15 have that conversation with regulated industry.

16 MS. WAGNER: But I would also add -- I
17 mean, and I know this happened on the FDA side as
18 well as the FSIS side, we often have CDC at the table
19 because we usually go over the epi data with the
20 establishments as well. And they created and
21 generated the epi data, so who best to speak to it?

22 So, you know, I think that's a real -- I've

1 been around for a long time, and that's a real change
2 over the last 5, 6 years, you know, really bringing
3 CDC to the table to explain their part of the puzzle
4 rather than us speaking for them during these
5 meetings. So --

6 DR. GOLDMAN: I guess I should say
7 something since you asked about what the regulatory
8 agencies need to do.

9 I think it's been very clear in this
10 meeting, and certainly FSIS has had almost a dozen
11 opportunities at smaller venues, usually trade
12 association meetings, to hear from the industry, very
13 clearly, that unless there's a safe harbor, and
14 unless we surmount these regulatory legal issues, the
15 conversation's going to have a premature end.

16 And I think we just have to acknowledge
17 that. I mean, it's been said here clearly. And I
18 think the burden is really on FSIS to forge a way
19 forward there.

20 I think that we have, we've been struck
21 with the allure of the fact that the industry does
22 orders of magnitude more testing than FSIS does, and

1 probably many more orders of magnitude than FDA-
2 regulated -- or FDA does.

3 And so, you know, we've said, you know,
4 we'd like to see your data, or give us your data, or
5 at least, at the very least, send it to the NCBI
6 database. Well, it's not quite that easy, and I
7 think we hear clearly that it's not that simple. So
8 we have to somehow get past that. And we need to do
9 some work on our side to do that, I believe.

10 DR. BESSER: I would just like to say,
11 repeat what I said yesterday, that we actually did,
12 have created a safe harbor for exactly this reason,
13 which is a Voluntary.net at the University of
14 Georgia. And a lot of the intricacies of maintaining
15 this firewall with all the FOIA laws and all the
16 other laws, have been worked out already.

17 So at a minimum, this can be used as a
18 model. And there's several large food producers
19 involved in Voluntary.net. Many industry members are
20 nervous about, how strong is this firewall; are we
21 really protected? But several large food producers
22 are very adamant that they find this an incredibly

1 useful tool for assessing the risk of their findings.

2 So if there's a possibility that their
3 products are making people sick, they want to know
4 quickly, to stamp out the problem before it becomes a
5 big issue.

6 And so I think what we have is a cultural
7 problem, or an education problem, or a trust problem.
8 But I think the mechanisms are there, and at a
9 minimum, we have a model that we can always work on.

10 MS. COFFMAN: So then I have a question for
11 the industry stakeholders. What does need to be done
12 to make other people upload their data into this
13 protected safe harbor? You know, consumer groups
14 have been asking for data around antimicrobial
15 resistance. We get met with so much pushback. But
16 if it's beneficial to you, why not use it, and what
17 are the reasons? And what can we do about it?

18 MS. WAGNER: I'll let the industry folks
19 can answer that.

20 DR. McENTIRE: I think that, in large part,
21 it is getting the attorneys involved and
22 understanding how strong is that firewall, having

1 that trust established, having case studies, perhaps,
2 of where industry has voluntarily uploaded their data
3 and has not suffered adverse consequences, has not
4 been penalized, case studies where it's been
5 demonstrated to be beneficial to the company itself.

6 I think right now it's viewed as very one-
7 sided. We see the benefit to the agencies, but it's
8 less clear how a company would directly benefit. And
9 so I think that it's -- in having some early adopters
10 move forward and be willing to share their stories,
11 and have their attorneys support those stories, that
12 that'll probably be what I perceive it will take to
13 evolve to that state.

14 DR. BESSER: I would be happy to provide
15 the names of the early adopters, but I think I'm
16 going to ask their permission first.

17 DR. SAMADPOUR: I think the drive -- there
18 are two different things. One is that there are food
19 companies that want to have a place to send their
20 samples to get the subtyping results, to see whether
21 they have harborage in their space or not, in their
22 food production facility. And I think that was the

1 main driver for Voluntary.net, and then to start
2 having a larger database and then see how things are
3 moving.

4 What the other utility is, I have what I
5 know to be a harborage situation, or repeatedly I see
6 the same organism. At that point, one of the
7 concerns that maybe your client has is, is this
8 thing, has it made anyone sick? What kind of
9 situation are we in?

10 In that case, there are two options. One
11 is, you know, to give it to someone who has a portal
12 to do the work and upload. The other one is to --
13 that there are several companies that can get the
14 isolate, do the whole genome sequencing and download
15 the Listerias, and then do a comparison.

16 So that venue is also available, for these
17 two different types of cases.

18 MS. WAGNER: So then it's really, it's the
19 laboratories uploading the sequences. So you don't
20 know which establishment --

21 DR. SAMADPOUR: Yeah. So you don't
22 necessarily need to put the client's strain --

1 MS. WAGNER: Yeah. No. You have the
2 lab --

3 DR. SAMADPOUR: -- there. You bring in the
4 CDC data, the human strains, and then you do your
5 comparison, if you have the --

6 DR. WHEELER: I think one of the direct
7 benefits to the companies is potentially that by
8 populating the database and getting more isolates in
9 there, we start to learn what we don't yet know,
10 which is how much variation in sequence is there out
11 there in certain products, and how often do we see a
12 individual sequence around in different places and
13 over time and space.

14 And once we get that kind of information
15 established, then it becomes more clear how
16 definitely you can make attribution. Because we've
17 heard a lot of talk today and yesterday about how,
18 you know, you have ranches, and you have feed lots,
19 and you have processing plants, and they have a lot
20 of the -- plants have a lot of the same sources of
21 animals.

22 And if there are specific sequences that

1 are coming from locations to multiple places, then
2 you potentially -- and we have some preliminary data
3 that demonstrates that, that you're going to see the
4 same sequence in multiple places over time and space.

5 And so then it becomes less risky for you
6 to have your sequences in the database and contribute
7 to the learning process when it becomes more and more
8 clear that a single isolate isn't necessarily going
9 to have specific risk, or as much risk.

10 DR. WIEDMANN: I think there are two ideas
11 of safe harbor. One of them is Volunteer Net. I
12 want to be able to search CDC database. I have a
13 zone 3 positive. I know my distribution path. I
14 want to know whether that organism caused disease
15 cases that are consistent with the distribution at
16 the time of contamination I have.

17 That's there. That can be done. I'm not
18 sure the human data are accurate enough that I can
19 ask. My distribution is these three states. Can I
20 map, with time, that there were cases over the last 6
21 months? If there is, then that's really all that is
22 needed.

1 The second one is for industry to know, if
2 they do subtyping, whole genome sequencing, that they
3 know that they're not going to be forced, through
4 some mechanism or another, to turn those data over.
5 And then, you know, FDA, FSIS, whoever, is going to
6 analyze them and then prove something to them that
7 they don't want to see and use the data for something
8 they were not generated for.

9 So those are both, you know, fall under the
10 definition of safe harbor, potentially, but they're
11 slightly, or maybe very, very different types of
12 requests.

13 I think what Tommy said, and the challenge
14 there is that industry's worried about that if
15 industry acts, let's say, produce processor put all
16 the data in there and then there's a match, how do we
17 know the match is the produce, not the cows that
18 were, you know, grazing next to the produce?

19 And whoever populates the database first,
20 or with more data, is more likely going to be
21 implicated. So no one's going to want to start,
22 unless we put a baseline in there with everything

1 across at a high level, that people are comfortable
2 with.

3 DR. MUSSER: So maybe a bit of history
4 here. We -- when we first started doing sequencing,
5 the first people we approached to add data to the
6 databases was industry. We reached out to a number
7 of the larger industry partners that we have, and
8 they all said no.

9 We didn't immediately understand why that
10 was, but a lot of it's based in law and what they're
11 required to do. So, for example, most of our
12 industry doesn't test for *Lm* at their facilities.
13 They test for *Listeria* species, because if they test
14 for *Lm*, and they have -- obviously, if they're
15 sequencing and they note more than it's just *Lm*, that
16 they probably -- that there's a reporting requirement
17 for that.

18 Likewise, if they've gotten something in a
19 product, and it's positive for a pathogen, you know,
20 they're -- there's a recall and a reporting burden on
21 that.

22 And so that's the -- there's the legal

1 issue. And then the other issue, which I think is
2 probably more overriding for the regulated industry,
3 at least for FDA, is civil litigation.

4 So everything is fine, well and good when
5 you're dealing with the regulatory agency. You know,
6 you might get a letter, or you might have to do some
7 cleanup. You might have to do a recall, which no one
8 wants to do. But really no one wants the civil
9 litigation problem, because with civil litigation,
10 everything is discoverable.

11 When did you know it? What did you record?
12 Who did you give it to? And if it went
13 Voluntary.net, I can guarantee you that all of that
14 will be subpoenaed. There will be no safety; there
15 will be no firewall. We've looked into this.

16 If there is an outbreak where people are
17 injured and there's civil litigation, it's all
18 discoverable. So it's a when you knew it and what
19 you did about it problem. And this has been the
20 fundamental problem with industry uploading data.

21 There's a number of other very positive
22 things that industry has done with this, but the fear

1 about uploading sequences is, what happens if someone
2 gets sick and it's linked to the sequence and I get
3 drawn into civil litigation.

4 DR. SAMADPOUR: A couple of points here.
5 One is that there is another use in situation where
6 the industry may want to actually put this strain in.
7 And when they are about to make a decision themselves
8 as to the extent of a recall, then the pathogen has
9 been discovered in product that is in chain of
10 commerce.

11 There is a lot of value to be able to go in
12 and see if you have matches to, you know, historical
13 matches. And that could make a difference between
14 recalling a month of the product versus, you know, a
15 year and a half of the product. So that's something
16 that I could see as a kind of a, you know, need that
17 can be addressed.

18 The other thing is that sometimes we read
19 too much into whether there is one base difference or
20 five or ten. The mechanism is what's important.
21 There is a biological clock. And then Martin kind of
22 started talking about that.

1 It's really, is a function of how many
2 times these things are multiplying, how many
3 generation times they have had, how many replication
4 cycles they have had. And that's what we are
5 measuring. And that's what determines the number of
6 mistakes that -- or changes that we are detecting.

7 And so you cannot really look at these
8 things in absentia. You can have a same strain going
9 to 10 places. In one place it is highly evolving;
10 it's growing. It's a very good environment. You are
11 going to find a lot of differences. And that place
12 is going to have the huge problems that we may not
13 find because, guess what, there are like more SNP
14 differences that we thought, right.

15 And in another place, if it's immobilized
16 and it's not growing, then it's going to be an exact
17 match, and they may get into trouble. So just, we
18 should not lose sight of the molecular basis of these
19 changes that we are seeing.

20 MS. WAGNER: You know, the one thing I was
21 thinking, you know, and some of the work we want to
22 do is really around the product and the environment.

1 And I think folks are very nervous about putting that
2 in with the clinical data.

3 So just thinking about, is there a way that
4 certain of that data could be separated? Because I
5 think that's the real issue here. It is. I don't
6 really have a problem if you're -- you know, I'm
7 doing my sampling, I'm, you know, implementing my
8 environmental sampling programs, or product testing
9 programs.

10 That's not the issue. I want to know where
11 is it going, how is it moving through this --
12 those -- it's when it -- you put it in combination
13 with that clinical sequencing data that it becomes a
14 problem.

15 So maybe we need to think about, is there
16 something we can do around -- you know, just so we
17 can build up the database and we can do some
18 trending. The industry could actually use the data.
19 We could use the data. So --

20 I mean, the illness piece is the critical
21 piece, I get. But maybe that's -- if we want to
22 think about it, maybe that's the piece. Is there a

1 way to disconnect that in some instances? That's all
2 I'm saying.

3 DR. BESSER: I just want to clarify a few
4 things. I don't know all the details of how
5 Voluntary.net works, but my understanding is, is that
6 the data is anonymized before it gets into the
7 database. Actually, the manager of Voluntary.net
8 does not have access to that data. Therefore, it
9 cannot be what you -- if it was subpoenaed, they'd
10 get anonymized data.

11 If -- the CDC can ask for permission to see
12 if there's any matches. They can say yes, there's a
13 match. The database manager then sends a message to
14 the entire contributors to Voluntary.net and said,
15 whoever had Isolate ABC, if you would like CDC to
16 have permission to see that strain, contact me.

17 So it's -- the manager actually doesn't
18 even have access to that information, if I understand
19 it correctly. And also, the database manager at
20 Voluntary.net has access to the full data of
21 PulseNet, not that minimum dataset that you've seen,
22 so the clinical data, the dates, the states, not the

1 patient identifying information.

2 And the last piece I wanted to say is that
3 we've seen a slide quite a bit that suggests that
4 where we want to go to is detecting outbreaks before
5 they occur. And in order to do that -- well first of
6 all, there's only a subset of outbreaks where that's
7 possible, and those are the outbreaks where we are
8 aware, there are vehicles that we're aware of causing
9 problems.

10 Probably a lot of the vehicles out there
11 causing problems are unrecognized at this point. And
12 so we're always going to have a tremendous number of
13 outbreaks with new vehicles. And, you know, just the
14 last year, the last 2 years, the list is very long
15 with new vehicles.

16 But even among those vehicles that we
17 recognize, I think the only way to have data in
18 advance of human cases is to have the information
19 from industry and all the regulatory agencies. I
20 don't see any other way to achieve this goal of
21 detecting outbreaks before they occur.

22 DR. SCHLUNDT: Could I add? I understand

1 that I'm in the U.S., but surely this must also be
2 about protecting consumers. I mean, we've only
3 been -- consumers. We are basically only talking
4 about protecting the industry here. I thought that
5 this was, the basic purpose was to protect consumers,
6 avoid consumers, American consumers and other
7 consumers from dying from eating food.

8 There must be something there. I realize
9 this, that if a good industry starts putting
10 something up, they will be punished, and that's not a
11 good thing. But that could be solved, for instance,
12 by government saying that you have to do something.

13 Now, I know that's not always the way you
14 do in the U.S., but still, you can actually learn
15 things from other countries, even in the U.S., I
16 think. So that's the first thing.

17 The other thing is, now we are only talking
18 about outbreaks. Outbreaks are only accounting for
19 maybe 5 to 10% of all the disease burden. So if we
20 are talking about protecting consumers from dying
21 from eating the normal food, we should also talk
22 about the sporadic cases, which whole genome

1 sequencing will really also give us something to do,
2 to actually prevent these diseases in the future if
3 we really want to.

4 So I think you're -- there needs to be some
5 sort of high-level discussion, and at some stage,
6 political level have to be involved, because all of
7 you in regulatory agencies here, you will refer to
8 the law as it is now, but politicians in the end will
9 say, we want to protect the consumers, I think.

10 And if they see a new opportunity -- and
11 this is a new opportunity -- I think that they will
12 also act on that at some stage. So then we are back
13 to being able to explain this is simple language, not
14 because politicians are stupid but because we tend to
15 always use too many words, like I just did.

16 DR. SAMADPOUR: Okay. If I'm -- I may be
17 able to answer that issue. If you look at the
18 mechanism where we have pathogens, pathogens come
19 from pathogen testing programs, and when they are for
20 products, it's always test and hold, meaning product
21 is under hold, and we do the testing.

22 And if we find the pathogen, the product is

1 diverted to a safe end, which is a landfill or
2 cooked, in terms of if it is beef product. So from
3 that point of view, product is -- consumer is
4 protected.

5 Jennifer here said, not always. There are
6 always exceptions. We are still finding Darwinism at
7 work in some segments of food industry, which we say,
8 okay, what happened? Oh. I released my product and
9 I sent a sample to be tested for pathogens. And
10 again, that's a situation where, by law, they have to
11 call FDA or FSIS, let them know, and they're going to
12 have an immediate recall.

13 So we are not talking about these. Any
14 pathogen event will protect -- or pathogen detection
15 event results in protecting consumers, or people will
16 go to jail.

17 We are talking about different. The fear
18 is, if I do my routine pathogen testing and give this
19 strain, or put it on this database, someone is going
20 to say well, I find a match 5 years ago. How to deal
21 with that, that is really the holdup.

22 But from every other aspect of the work,

1 consumers are protected because pathogen testing
2 results in -- they're compelled to let the government
3 know if the product is not under their control.

4 DR. SCHLUNDT: Yeah, but pathogen testing
5 doesn't work. We know that.

6 DR. SAMADPOUR: And -- but your -- if you
7 don't have pathogen testing, then you don't have
8 anything to put on this database anyway. Correct?

9 DR. SCHLUNDT: No. The issue is, we will
10 not solve the food safety problems by end-product
11 testing or product testing in the line because then
12 we would have to test everything.

13 When we have low -- I mean, they -- I mean,
14 we have tons of papers about that. So we are not
15 solving things by testing food. I mean, you have to
16 go Havelaar et al. and all these other papers. This
17 is not helping.

18 DR. SAMADPOUR: It's a catch-22. You have
19 to have a pathogen to do whole genome sequencing, and
20 put it on that database. That comes from this
21 pathogen testing that, in your words, is not working.
22 But everything else is a dream. I mean, food safety

1 is not done through pathogen testing. Food testing
2 is through validated processes. Pathogen testing is
3 verification that we do to verify that everything
4 happened the way it did, correct?

5 But you are not going to be able to put
6 anything in that database if we haven't done the
7 pathogen testing, despite the fact that pathogen
8 testing, in itself, may not make food safe. It's not
9 its function.

10 DR. SCHLUNDT: Yeah. But the point is, you
11 will populate the database by doing strategic testing
12 by smart people here in the agencies saying, how many
13 strains do we need from each production in here.
14 That's sensible, smart testing instead of stupid
15 testing.

16 DR. SAMADPOUR: The agencies, FSIS has
17 their own surveillance. FDA has their own
18 surveillance programs. There is not enough budget to
19 do that, and there are times that they were doing
20 things, and the industry got together, and they got
21 rid of the program, which is -- what was it? The
22 Leafy Green, correct?

1 That's a FDA program that was looking at
2 market surveying and trying to establish a base, and
3 that was taken away because the budget was taken
4 away. It became a political issue.

5 MS. WAGNER: Yeah --

6 MS. COFFMAN: So --

7 MS. WAGNER: Go ahead.

8 MS. COFFMAN: Well, I wanted to jump off a
9 point that my friend from Singapore made. We don't
10 have enough clinical testing, and that is also
11 another stakeholder that's missing from the panel
12 today.

13 You know, if people are getting sick and
14 they're going to see their doctor, which we all know
15 is a small proportion of people that do, are they
16 going to be tested, and is that going to be done by
17 whole genome sequencing, which can then be uploaded
18 into a database?

19 MS. WAGNER: I can tell you I recently went
20 to the doctor for a foodborne outbreak illness, and
21 they gave me the kit. And I was like, you have got
22 to be kidding. That's all I have to say. I felt

1 horrible, and I was like, I am not doing that.

2 But anyway, I mean, the one point I want to
3 make, too, is industry does a lot of testing, end
4 product testing, on the FSIS side, you know. I'm not
5 sure what's going on with the FDA side now. But end
6 product testing and environmental testing, and they
7 do it voluntarily, quite frankly.

8 So the one thing I wouldn't want to happen
9 is if we went to the politicians and we basically
10 regulatorily forced them to provide testing records
11 if they were testing, because they won't test. I
12 mean, that's reality. So you kind of have this fine
13 balance going on as well.

14 DR. McENTIRE: I just wanted to note, to
15 put things in perspective, that as we look at the
16 burden of foodborne illness in the United States, I
17 think we go a long ways by encouraging people to wash
18 their hands.

19 You know, it's -- the majority -- we're
20 talking like all foodborne illness is because of
21 production, because of farming operations and
22 processing facilities. And we know that, in

1 actuality, that is not the case.

2 So even if we can impact food contamination
3 at the point of production, that still, that does
4 not, that will not address all foodborne illness.

5 DR. BESSER: You know, it was brought here
6 what the definition of an outbreak. I think it's a
7 really interesting question now. I think we're
8 stretching the definition of an outbreak. The data
9 shows that 5% of cases in the United States are
10 outbreak-associated. 95% of cases are sporadic
11 cases.

12 I think if we redefine an outbreak, and
13 perhaps in nicer terms, as something that we could do
14 something about, be it chronic contamination being in
15 a true epidemiological point source, I think it would
16 be the other way around. It would be 95%, some of
17 which is washing hands, but a large proportion of
18 which is things that we can control.

19 We can't control, you know, how people wash
20 their hands so much, but we can control the flaws in
21 the industrial food production system. That's
22 something we can do. And probably, a large burden of

1 our illness is due to things that we can do something
2 about.

3 And so I think that this process,
4 investigating outbreaks which are events or
5 realizations that there's a cluster of diseases, can
6 take us a long way towards the ultimate goal, which
7 is protecting consumers, which is exactly what you're
8 after.

9 So I think, every gram of food that's
10 consumed in the United States is tested, because it's
11 consumed.

12 MS. WAGNER: Okay. I'm --

13 DR. BESSER: It just doesn't skip up the --

14 MS. WAGNER: I'm getting the evil eye up
15 here, so I think -- thanks a lot. Great
16 conversation. I hope the folks in the audience
17 enjoyed this as well. I know I got a lot out of it.
18 So thank you so much.

19 (Applause.)

20 DR. SHAW: Yes. Thank you to our
21 panelists. And now we have -- we're moving into our
22 public comment period. And so if there are folks in

1 the audience that want to make a public comment, we
2 have the two microphones in the audience.

3 And then also, I just want to remind
4 everything -- you know, if you haven't been to a
5 public meeting in a while, there is also an
6 opportunity to make written comments. So, you know,
7 we had a *Federal Register* notice that announced this
8 meeting. You know, comments can be submitted in a
9 written format, too.

10 And our first, Betsy.

11 DR. BOOREN: Hi. Good afternoon. Betsy --

12 DR. SHAW: Oh, and as Betsy, who knows the
13 drill, name and organization before you start
14 speaking.

15 DR. BOOREN: Great, thank you. Betsy
16 Booren, OFW Law.

17 I think -- you know, as I look at the title
18 of this public meeting, and we're trying to improve
19 public health and food safety, I think one component
20 here, and I'm going to quote Dr. Goldman, we know
21 what we know, but we know -- we know we now know not
22 a lot. Somewhat -- I think that's sort of what David

1 said.

2 The point that I'm getting to is, Americans
3 get food in a variety of different ways, and they're
4 getting food increasingly in ways that our
5 distribution chain is struggling with. I'm talking
6 e-commerce. I'm talking this technology and the
7 amount of food prepared in retail stores and
8 restaurants.

9 And so one of the discussions that has not
10 occurred in this meeting, and it's probably not
11 appropriate for this meeting, but I want to put on
12 the record is, as we evolve and have these continued
13 conversations about improving public safety using
14 this tool, I think that is a very important part on
15 determining where contamination comes from and how it
16 contaminates food.

17 We've all talked about, it's coming from
18 food-producing facilities that are putting product
19 into commerce. We are getting food in new and
20 different ways, and what does that mean? What does
21 it mean for e-commerce? What does it mean for food
22 kits? And that also stretches our boundaries with

1 who regulates that food at a federal, state, and
2 local level.

3 And I think that's a really important thing
4 that we need to capture in this discussion. We're a
5 little premature now, but as we move forward, that's
6 increasingly going to be a very important part of
7 ultimately having a measurable effect on public
8 health.

9 DR. SHAW: And then I also just wanted to
10 make a comment. Those of you who are attending the
11 meeting online, you can make a comment. You know, on
12 the online version we will capture that. But make
13 sure you include your name and the organization, and
14 so we can have that for the public record.

15 Any other public comments in the room?

16 MR. ROACH: Yes. I'm Steve Roach from Food
17 Animal Concerns Trust. And I really appreciated
18 these 2 days of meetings, and actually coming on the
19 back of the NARMS meetings as well, both. I found
20 I've learned a whole lot from this, with -- I work
21 with a consumer organization, and we're trying to
22 understand what whole genome sequencing means for us.

1 One of the things -- I did want to make a
2 couple of points about things that I -- have been
3 touched on, but probably maybe we'd like to lift up a
4 little bit.

5 I think probably for us, one of the most
6 important things is starting to talk about source
7 attribution. And so that could be -- source
8 attribution, so what -- so how do we find attribution
9 of the clinical isolates? Where are they coming
10 from? What food sources are they coming from?

11 But I also think source attribution, the
12 representative from Cargill talked about oh, well it
13 may not be harbored in the plant, but it maybe
14 bring -- being -- come into the plant from similar
15 sources.

16 From our perspective, as the end consumer
17 of the product, it's just as bad if it's harbored on
18 the farm as it's in the plant if it eventually comes
19 to us. So I think it's, how do we actually address
20 some of those before we get to the plant,
21 particularly with the meat side, which is what my
22 organization is concerned with.

1 So source attribution, as something that a
2 plant could help, okay, you know, we know this set of
3 farms is causing problems. And then once we know
4 there's a problem we can try to manage it a little
5 bit.

6 Another thing that we're interested is in
7 identifying emerging resistance. And I think this
8 tool will be very helpful for that. We don't know
9 where we're going in the world with resistance.
10 Hopefully we won't have routine *mcr-1*. It'll stay at
11 these really micro levels. But I think it's
12 something that we can use these tools to look for.

13 And the final concept that I thought was
14 important, this idea of redefining what is an
15 adulterant. I think that is correct, because what we
16 want to do is -- and for risk management, you want to
17 find the bad things, the real hazards, and not get
18 them confused with things that aren't hazards.

19 But I would say, you know, there may be
20 cases where we need to define new things as hazards.
21 So -- and I think we need to talk about it. What do
22 we think about *mcr-1* in food? And *mcr-1* in *E. coli*,

1 it's a non-pathogenic *E. coli*, but it could easily
2 pass it on to a pathogenic *E. coli*. And the CREs are
3 another area.

4 And I don't have an answer to that. And I
5 don't know what I would tell a plant if you find
6 *mcr-1* or CRE *E. coli* in your, you know, in your
7 processing. What do you do? I think it's something
8 we really need to talk about. Or a farm, if you have
9 a farm and you find large numbers of your animals may
10 end up having these.

11 I think there -- the Ohio farm that found
12 the -- I think it was a CRE, they had quite a few
13 animals that actually contained it. So I think, what
14 do we do about these, is a conversation we need to
15 have.

16 DR. SHAW: Any other public comment?

17 (No response.)

18 DR. SHAW: With that, I think, you know,
19 I'm going to turn thing over to Uday to sort of wrap
20 up for us.

21 DR. DESSAI: Thank you, Bill.

22 Okay. So it looks like it's over, but it's

1 not quite over, because this is just the beginning.
2 This is the beginning. This is the beginning of the
3 dialogue on that continuum that Dr. David Goldman was
4 talking about.

5 So what we heard today, it's rich
6 information over the last 2 days -- actually, last 4
7 days, NARMS and now WGS. And I think we have a lot
8 to process.

9 We made good notes of all the points that
10 we received, candid points, very, very honest points,
11 and we'll be working on those, and working with you
12 on those, because we want this open dialogue to
13 continue through this continuum, because just like
14 one of the presenters said, PFGE was just the chapter
15 length, whereas WGS is reading every letter.

16 So when you read every letter, there is too
17 much information. And digesting that information,
18 making it palatable, communicating it and saying what
19 it means is very important. We have long ways to go
20 with that process.

21 Now, it's needless to say that technology
22 is moving extremely fast. This wasn't there 10 years

1 ago. Today it's in my hand, and we do such high-
2 level processing in this. So don't be too surprised
3 that whole genome sequencing could be easily
4 manageable in the next 5 to 10 years.

5 So before I proceed to one of those slides,
6 let me thank everybody who participated in the
7 meetings. Those of you who flew from overseas and
8 never got a wink, actually, really thank you. And
9 our Gen-FS partners who decided that this is a good
10 idea to share the stage with FSIS in doing this,
11 thank you all.

12 And last but not the least, but everybody
13 who came here, as well as those online, really, thank
14 you very much. I think there is a lot that we
15 learned in this meeting.

16 You will get -- I think Bill said, you will
17 get an opportunity to submit your comments, and have
18 conversations if you need to. And all the
19 transcripts which are being made, those will be
20 available to you at, in due course of time.

21 Particularly the staff in Policy, Field
22 Operations, as well as Public Health Science will be

1 looking at those comments, and we will do our best to
2 capture those high points from today's discussion.

3 Now, the NARMS meeting which happened 2
4 days ago was -- actually, our Secretary came to
5 basically tell his message to the NARMS people.

6 For this meeting, Under Secretary for Food
7 Safety came to open the meeting. We also have our
8 Administrator here to close the meeting in a little
9 bit.

10 But before that, let me take you to a quick
11 slide to put things in perspective. And that is,
12 like David Goldman said, learning, small goals,
13 applications is where we are today. And basically,
14 we rely more on -- and less investigation. That's
15 what you heard a whole lot about it, and that
16 application and prevention, we are talking about.

17 But think of that circle there. A lot of
18 things need to be done before we kind of reverse the
19 process. And more application and prevention will be
20 our focus as we go through these 2020 through 2030
21 Healthy People objectives.

22 And a lot of big goals can be set maybe in

1 the next whatever number of years. That's why I have
2 the questions over there. And what will happen is,
3 more data, more tools, more analytics, more risk
4 assessments, which are required, as well as more
5 guidance, regulations, all that will happen with
6 collaboration.

7 Collaboration, I think, is a key for the
8 success of whole genome sequencing, for us to get
9 right down there where the foodborne illness is. If
10 I take an example of FSIS, we have about 325,000
11 salmonellosis to contain in -- annually, until we
12 reached 2013. And depending upon what we achieve,
13 we'll have our next target.

14 But the bottom line is, we have a lot of
15 work to do. And the success will come out of
16 collaborations.

17 So thank you again, and over to our
18 Administrator, Paul Kiecker.

19 MR. KIECKER: So I know that everyone's in
20 a hurry and getting ready to go, so I won't take a
21 whole lot of your time.

22 For those of you that don't know, my name's

1 Paul Kiecker. I'm the Acting Administrator for FSIS,
2 and I want to really thank everyone for showing up
3 and participating in the meeting today.

4 I think that it's obvious that FSIS is
5 dedicated to modernizing the methods and strategies
6 to protect the nation's food supply. The Agency's
7 position to address the 21st century's public health
8 challenges by building on prior successes, leveraging
9 our collaboration with partners, including those that
10 are here today, so we can proactively ensure that
11 food products we regulate remain safe to eat.

12 We have made great strides in implementing
13 whole genome sequencing at our labs, and FSIS is
14 already conducting whole genome sequencing on all
15 isolates that are regulatory samples that are
16 collected.

17 The results from our whole genome
18 sequencing will help us by characterizing bacterial
19 genomes with greater precision than ever before.
20 That will help us accurately identify and respond to
21 outbreaks, conduct efficient tracebacks, study
22 environmental harborage and the movement of pathogens

1 within establishments.

2 We also want to make sure that we are
3 considering -- that we are coordinating with others
4 in the scientific and regulatory community in
5 developing and using this technology.

6 When developing and implementing and
7 interpreting whole genome sequencing, we have been
8 and will continue to work closely with FDA, CDC, NCBI
9 to ensure standardized laboratory methods, analytical
10 pipelines and communications regarding whole genome
11 sequencing.

12 As you have heard over these last 2 days,
13 FSIS has many food safety initiatives underway to
14 meet our regulatory objective and ensure food safety.

15 I want to make a special -- say a special
16 thank you to anyone that has participated in the
17 meeting, people that were on the panel, people that
18 presented information here, anyone that was involved
19 in setting it up. And I think we should give them
20 all a round of applause.

21 (Applause.)

1 MR. KIECKER: Once again, thank you all for
2 coming. Have a safe trip back. Thank you.

3 (Applause.)

4 (Whereupon, at 3:54 p.m., the meeting was
5 concluded.)

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

