

1 UNITED STATES DEPARTMENT OF AGRICULTURE

2
3 IN Re:4
5 NATIONAL ADVISORY
6 COMMITTEE ON
7 MICROBIOLOGICAL CRITERIA
8 FOR FOODS
910
11
12
13
14 MEETING HELD ON FEBRUARY 13, 2004, 8:30 a.m.
15
16
1718 Sheraton Buckhead Atlanta
19 3405 Lenox Road., N.E.
20 Atlanta, GA
2122
23 TRANSCRIPT OF PROCEEDINGS
24
25
26

27 APPEARANCES:

28
29 (See proceedings.)
30

31 REPORTER: BOB ADDINGTON

32
33 CONTRACTOR (not present): R & S TYPING SERVICE
34
35
36
37
38
39
40
41
42
43
44
45
46
4748 2-13-04 NACMCF Meeting Participants
49R & S TYPING SERVICE - (903) 725-3343
5485 S. Live Oak, Gilmer, Texas 75644

1
2 Vice-Chair: Dr. Robert Brackett
3
4 NACMCF Members: Dr. Gary Ades
5 Dr. David Acheson
6 Mr. Dane Bernard
7 Dr. Larry Beuchat
8 Dr. Peggy Cook
9 Dr. Catherine Donnelly
10 Dr. Stephanie Doores
11 Dr. Frances Downes
12 Dr. Dan Engeljohn
13 Mr. Spencer Garrett
14 Dr. Patricia Griffin
15 Dr. Lee-Ann Jaykus
16 Dr. Robin King
17 Dr. Mahipal Kunduru
18 Dr. John Kvenberg
19 Dr. Carol Maddox
20 Dr. Roberta Morales
21 Dr. Eli Perencevich
22 Ms. Angela Ruple
23 Dr. Skip Seward
24 Dr. John Sofos
25 Dr. Katie Swanson
26 Dr. R. Bruce Tompkin
27
28
29 NACMCF Executive Committee: Dr. Art Liang, CDC
30 LTC.Erik Torring, VSA
31
32
33 FSIS Staff: Ms. Gerri Ransom
34 Ms. Karen Thomas
35
36
37 FDA Staff: Mr. Don Kautter
38 Mr. Brett Podoski
39 Dr. Richard Whiting
40
41 NMFS Staff: Ms. Emille Cole
42 Ms. Barbara Comstock
43
44
45
46 Outside Participants: Ms. Theresa Scheuring, Wayne Farms
47 LLC

1
2
3
4
5
6
7
8

9
10
11
12
13

R & S TYPING SERVICE - (903) 725-3343
5485 S. Live Oak, Gilmer, Texas 75644

P R O C E E D I N G S

February 13, 2004, 8:30 a.m.

1
2
3 DR. BRACKETT: Welcome to today's plenary session of
4 the 2002-2004 NACMCF Committee. I'm Bob Brackett. For
5 those of you who don't know me, I'm the vice-chair for the
6 Committee and Director of FDA's Center for Food Safety and
7 Applied Nutrition.

8 Unfortunately, Merle Pierson will not be here today.
9 And we knew that. He is busy and detained in Washington
10 with some things that he has to do, and I know he sends his
11 regrets. He does enjoy being here.

12 To continue, Dr. Pierson and I wanted to mention that
13 our 2002/2004 Committee has been extremely busy as you all
14 know better than I do. Particularly in assisting our food
15 food safety agencies with a variety of actually quite
16 complex issues that you are dealing with, scientific
17 issues.

18 And the members of this Committee are providing an
19 invaluable service to both USDA and FDA and the other
20 participants, the Department of Defense (the Department of
21 Commerce, CDC). All of these rely upon the deliberations
22 that you accomplish when you come to these meetings and in
23 between.

24 And so I want to commend the Committee on the hard

1 work that goes into these scientific discussions that we
2 have and the important role that they play in helping to
3 provide a good scientific foundation for the decisions that
4 the regulatory agencies must make in terms of regulations
5 and programs that are aimed at reducing foodborne illness
6 and improving public health.

7 These reports that you provide here serve as a part of
8 the basis for science-based decisions on behalf of the
9 regulatory agencies in areas that not only have regulatory
10 issues, but also in directing research that we need down
11 the line. And that also is quite an important function.

12 So on behalf of the full Committee and the Federal
13 agencies that sponsor NACMCF, I'd like to thank each of you
14 for the continued service that you give to the Committee,
15 and also for giving up your valuable time and for
16 volunteering to support the Committee. We really
17 appreciate it truly.

18 Your participation and effort is allowing all of the
19 agencies to move forward on a number of different public
20 health protection and food safety measures and at this time
21 I'd like for all of you to be recognized. And so what I'd
22 like to do is go around the table and have each of the
23 Committee members introduce themselves and also state for
24 the record their affiliation. And I guess we'll start over

1 with Dr. Beuchat.

2 DR. BEUCHAT: Larry Beuchat, University of Georgia.

3 DR. PERENCEVICH: Eli Perencevich, University of
4 Maryland.

5 DR. JAYKUS: Lee-Ann Jaykus, North Carolina State
6 University.

7 DR. DOWNES: Frances Downes, Michigan Department of
8 Community Health.

9 DR. ENGELJOHN: Dan Englejohn with Food Safety
10 Inspection Service.

11 DR. KING: Major Robin King, U. S. Army Veterinary
12 Corps.

13 DR. MORALES: Roberta Morales RTI.

14 DR. KUNDURU: Mahipal Kunduru, Dole Fresh Vegetables.

15 DR. ADES: Gary Ades, independent.

16 DR. DOORES: Stephanie Doores, Penn State University.

17 DR. MADDOX: Carol Maddox, University of Illinois.

18 MS. RUPLE: Angela Ruple, NOAA Fisheries.

19 DR. SOFOS: John Sofos, Colorado States University.

20 DR. SEWARD: Skip Seward, The American Meat Institute.

21 DR. COOK: Peggy Cook, Tyson Foods.

22 DR. SWANSON: Katie Swanson, independent.

23 MR. GARRETT: Spencer Garrett, NOAA Fisheries.

24 DR. DONNELLY: Kathy Donnelly, University of Vermont.

25 DR. TOMPKIN: Bruce Tompkin, retired from ConAgra.

1 DR. ACHESON: David Acheson, FDA.

2 DR. KVENBERG: FDA.

3 MR. BERNARD: Dane Bernard, Keystone Foods.

4 LTC. TORRING: Erik Tarring, DOD.

5 DR. LIANG: Art Liang, CDC.

6 MS. RANSOM: Gerri Ransom, Food Safety Inspection
7 Service.

8 MS. THOMAS: Karen Thomas, Food Safety Inspection
9 Service.

10 DR. BRACKETT: Great. Thanks much. So moving on, I'm
11 also pleased to report that all three of the active
12 subcommittees that we have going on right now have made
13 strong progress towards meeting their objectives this past
14 week as well as during all 2003 meetings in June and
15 August.

16 And the subcommittees include the Subcommittee on
17 Microbiological Performance Standards for Broilers, which
18 is chaired by Spencer Garrett; the Subcommittee for
19 Criteria for Refrigerated Shelf-life Based on Safety, which
20 is chaired by Don Zink; and finally the Subcommittee on
21 Scientific Criteria for Redefining Pasteurization, which is
22 chaired by John Kvenberg.

23 And so at this point, what I'd like to do is turn over
24 the floor to Gerri Ransom, our Executive Secretariat who

1 can also provide you with some additional information that
2 you will need. Gerri?

3 MS. RANSOM: Good morning. Congratulations on making
4 it to the last day of your meeting. I know everybody has
5 worked very very hard this week and we thank you for that.

6 I wanted to mention that as always, if you need any
7 assistance, please let myself or Karen Thomas know, and
8 we'll help you out.

9 First I want to start out by pointing out to you that
10 the minutes from our last two plenary sessions that took
11 place in August of 2003, are under the green tab in your
12 notebook. So if you'll go ahead and review those. Those
13 are also out on the table and they are stapled together, so
14 you can find both the August 20 and 22nd sessions reported
15 on there.

16 Additionally, I'd like to point out to you and many of
17 you have been working on this, under the yellow tab in your
18 notebook we have blank calendars. Karen Thomas is working
19 on scheduling our next plenary session and additional
20 subcommittee meetings. So please fill those out for Karen
21 and provide them to us before you leave or as soon as
22 possible after you get back, because we would like to get
23 additional meetings scheduled.

24 I also wanted to mention there's an address list under

1 the pink tab of your notebook. Please take a look at that
2 and make sure your particulars are accurate and let us know
3 if you've got any changes.

4 Also I wanted to mention for our members of the public
5 we do have a public comment period today. So feel free to
6 sign up out at our desk. You have - - we can give you up
7 to ten minutes for comment and we welcome that. So please
8 go ahead and do that.

9 And also, for our guests, I wanted to point out and
10 I'm sure many of our guests have already found these
11 outside on the table, there are documents available for you
12 related to the NACMCF work.

13 And at this time I will turn the floor back over to
14 Dr. Brackett.

15 DR. BRACKETT: Thank you, Gerri. At this point, I'd
16 like to proceed with some of the issues that have been
17 before us in some of our committees. And the first is the
18 Performance Standards Committee. And this group has
19 actually moved beyond the previous work with ground beef
20 and actually has made some strides with new work on
21 broilers.

22 And I believe Spencer Garrett has a document, and I
23 think he's actually passed it out; to present to the full
24 Committee today for consideration for adoption. And you'll

1 be hearing from him shortly. But also, he realized that
2 this is the first time you've seen it, so you may need a
3 minute or two to read it. Actually, we'll probably give
4 you quite a bit longer than that.

5 This group is moving forward through various raw meat
6 and poultry commodities and evaluating the existing
7 performance standards for *Salmonella* and the Committee has
8 actually worked to define the general principles and the
9 mechanics and requirements for setting up performance
10 standards that will serve as the underpinnings for future
11 decisions that are made.

12 Future plans for this group are to also evaluate
13 performance standards for turkey, beef carcasses, market
14 hogs and ground products. And so the group is focusing on
15 the unique and special considerations that are needed in
16 developing and applying performance standards specific to
17 these commodities.

18 Also, before I turn it over to Spencer, I cannot
19 really move on without mentioning that Spencer and his
20 group actually deserve a special pat on the back as we had
21 double tasked them at our August 2003 meetings. During
22 these meetings, this subcommittee was presented with an
23 additional charge to review the Food Safety and Inspection
24 Service Proposed Microbiological Baseline Study Protocols

1 for Raw Ground Beef Components.

2 The group was successful in completing a review of the
3 Food Safety Inspection Service Raw Ground Component
4 (Baseline protocols), and after the deliberations of the
5 full Committee, a final document was adopted at the close
6 of our August 2003 meetings.

7 The document, for the record, is entitled NACMCF
8 Response to USDA FSIS Request for Guidance on Baseline
9 Study Design and Evaluations for Raw Ground Beef
10 Components. And you will find copies of this outside on
11 the table.

12 FSIS is evaluating this document for incorporation of
13 improvements into their baseline protocols and they've
14 already made some changes based on this.

15 And at this time what I'd like to do is turn the floor
16 over to Spencer Garrett who can provide some background on
17 the document that he's handed out and perhaps any special
18 instructions. Spencer?

19 MR. GARRETT: Thank you, Mr. Chairman. We have before
20 us a document entitled Response to Questions posed by FSIS
21 Regarding Performance Standards with particular Reference
22 to Broilers (Young Chickens).

23 As you recall, we have been asked six questions
24 relative to various classes of food commodities. And the

1 six questions are:

2 1) What constitutes scientific sufficiency to support
3 the use of an indicator organism in lieu of a specific
4 pathogen for measurement against a performance standard?

5 2) What constitutes scientifically appropriate
6 methods for incorporating regional variations when
7 developing performance standards? Also seasonal
8 variations?

9 3) Quantitative standards appear to have more
10 technical challenges associated with them than do
11 qualitative standards. What special considerations need to
12 be attended to in the development of quantitative baseline
13 data? What special considerations need to be attended to
14 in using quantitative baseline data for the development of
15 quantitative performance standards?

16 4) What are key scientific considerations that need
17 to be attended to when developing risk assessment for
18 application to the development of performance standards?
19 What are key scientific considerations that need to be
20 attended to when using risk assessments in the development
21 of performance standards?

22 5) How are these standards working and are they
23 helping to ensure the safety of the nation's meat and
24 poultry supply?

1 And 6) Are there more effective alternatives to these
2 (*Salmonella*) performance standards, and if so what would
3 they be?

4 Now, those are the six questions, but you see they
5 have various facets, so they kind of go up geometrically.
6 But the - - only a government bureaucrat would say that.

7 But, or a microbiologist. We've been working
8 diligently on this. We made quite a bit of progress
9 earlier before this meeting. We had submitted a draft
10 where we were to all the Committee members. And then we've
11 re-worked it since we've been here in our subcommittee.

12 And I realize that you haven't had time to read it, so
13 what I'd like to do is take about 30 minutes and let
14 everybody read the document, obviously. Or take whatever
15 time you need to do that.

16 Secondly, I do want to point out, though that we
17 thought we'd be very clever and that we could make this
18 document one size to fit all so it would also include
19 ground chicken. Well, after our deliberations and so
20 forth, we frankly decided we couldn't do that. We just
21 weren't clever enough.

22 And so if we do address ground chicken it will be a
23 separate document but we're convinced it would be a much
24 shorter document and it would be much more rapid. We just

1 couldn't incorporate it into this document.

2 So with your indulgence, Dr. Chairman, what I'd like
3 to do is ask our colleagues to just quietly read this
4 document for the next half hour or so. There have been
5 changes made to what was sent to you. We started to do a
6 red-line strike out or something to show you all the
7 changes, but quite frankly it would look like a mafia
8 offshore banking account, wiring diagram. It was just so
9 confusing. So if we could just read it for the next 30
10 minutes or so, then we'll start our deliberations.

11 DR. BRACKETT: I think that's fine. My watch says
12 fifteen minutes to nine, and so why don't we take until
13 fifteen minutes after nine to allow you to review the
14 document and we'll start up then.

15 MR. GARRETT: I'm confident that as you look through
16 the document, that you saw that it was very similar to the
17 ground beef document. Because in fact, many of the
18 scientific considerations relative to the questions asked
19 are very similar. They just needed tweaking relative to
20 the particular commodity, being broilers.

21 So what I would like to do is go through the document
22 page by page, as we've done in times past. As I've pointed
23 out in other venues and forms, I'm what you might call an
24 executive dinosaur. I don't do PowerPoint presentations.

1 Well, I do do PowerPoint presentations. But I would like
2 for us to stay with the printed page here.

3 And as were going around and as Dr. Brackett was
4 indicating, the accolades to our group, I, we need to give
5 some special accolades to two of our staff members from our
6 NOAA Fisheries Laboratory, Emille Cole on my left, and
7 Barbara Comstock behind me. They're the people that really
8 truly make all this happen for my participation.

9 And then of course our subcommittee members
10 themselves. I'm just the leader of the band, you know.
11 Score the tunes, waive the baton and try to get us through
12 this stuff.

13 So what I would like to do is start with page one, the
14 title page, and are there any changes?

15 DR. KVENBERG: Remove draft.

16 MR. GARRETT: Remove draft. Very well. We'll note
17 Dr. Kvenberg's contribution. Page two? Page three? Page
18 four? Page five? Page six? And if I'm going too fast,
19 just slow me down.

20 Page seven? Let me take you back to page six. See,
21 you thought this was going to be a walk in the park.
22 Right? I just need to make a technical note, the last
23 sentence on page six. The FAO risk assessment concluded
24 that the existing dose response models for *Salmonella* were

1 inadequate to characterize the dose response relationship
2 observed in outbreak data.

3 I'm not certain if the foot note number six, there,
4 the World Health Organization reference, if that's the same
5 reference or not. But we'll make it technically correct.
6 The document does say that. We'll just make the correct
7 technical notation. Now, back to page seven. Page eight?
8 Page nine? Page ten?

9 DR. DONNELLY: I'm sorry, but a comment on page ten,
10 at the very top, contribution of the meal components.
11 Could we just clarify whether that's meal consumed by a
12 chicken, or a meal consumed by a human that might include a
13 chicken?

14 MR. GARRETT: I presume it's a dietary issue, is it
15 not? So how would you like that to be reworded? Should it
16 be meal serving components?

17 MR. BERNARD: The concept here is the things eaten
18 along with the chicken. So it would be a human.

19 MR. GARRETT: So then how would you write that? Just
20 the - -

21 DR. SWANSON: Other foods eaten.

22 MR. BERNARD: Other foods eaten - -

23 DR. SWANSON: With - -

24 MR. BERNARD: On the risk of - -

1 DR. SWANSON: Chicken - -

2 MR. BERNARD: Contracting *Salmonella*.

3 MR. GARRETT: So I just scribed it quickly,
4 contribution of other foods eaten relative to the risk of
5 salmonellosis. Does that pretty much get it?

6 DR. GRIFFIN: I have a question on page nine.

7 MR. GARRETT: Sure.

8 DR. GRIFFIN: In the fourth bullet, the examples begin
9 with scalding, rather than earlier in the process. And I'd
10 be interested in - - earlier in the process, with relation
11 to end point. Prevalence - - of *Salmonella*.

12 MR. GARRETT: And how far earlier?

13 DR. GRIFFIN: Well, meat's on entry to the plant.

14 MR. GARRETT: Excuse me, Patty. When people are
15 making comments, would you please state your name and your
16 affiliation for the record, please?

17 DR. GRIFFIN: I'm Patricia Griffin with CDC.

18 MR. GARRETT: I mean, that's fine. I'm just looking
19 for an answer. Would any other Committee member like to
20 comment on that?

21 DR. ENGLEJOHN: This is Englejohn with FSIS. I'm
22 sorry Patty; I didn't quite get the question that you had.

23 DR. GRIFFIN: So I think that when the chickens come
24 into the plant, how the proportion of them that are

1 carrying *Salmonella* and the amount of *Salmonella* on them
2 relates to the final product.

3 And here in this example, we give them a scalding.
4 And I'm wondering if we should begin earlier.

5 MR. GARRETT: Patty, I think it may be, and we may
6 want an additional one, but I think the intent here, as I
7 read it, the data relate to specific process steps,
8 strokes, interventions. So there would have to be a
9 process step accompanied with an intervention at that step,
10 e.g., scalding, defeathering, evisceration, and so forth.

11 I think that's the context in which the recommendation
12 for gathering the data is being made.

13 DR. GRIFFIN: Might there not be intervention in the
14 way they are slaughtered?

15 MR. GARRETT: I'm the fish guy. Although a poultry
16 pathologist. Yes.

17 MR. BERNARD: Dane Bernard. I think Dr. Griffin's
18 concern is addressed later in the document when we talk
19 about various sources of variabilities, specifically
20 effects of regionality, seasonality, we do talk about
21 things that go on in the grow-out area and the condition of
22 the birds coming into the facility.

23 In terms of this particular line item, really the only
24 thing that happens in the plant before the scald is the

1 hanging of the birds. So this really captures what goes on
2 in the facilities.

3 DR. GRIFFIN: Well, I felt better when I read that
4 later part because I think it does address it. But I also
5 think that earlier stages relate to the final number of
6 *Salmonella*. And I'm concerned that it's not captured here.

7
8 DR. BRACKETT: This is Brackett from FDA. Patty, are
9 you talking about on-farm sort of things?

10 DR. GRIFFIN: It could include that. But I was
11 thinking more about entry to the slaughterhouse. So what
12 happens before scalding? What are the steps just before
13 scalding?

14 MR. BERNARD: The trucks are received, the birds are
15 unloaded, and they're hung. And prior to the scaling,
16 they're stunned and bled. So we could add stunning and
17 bleeding.

18 DR. GRIFFIN: Well, the fact that you don't know how
19 to intervene doesn't mean that it's not a step that's
20 important in the final contamination.

21 MR. BERNARD: We can add it.

22 DR. GRIFFIN: And transport, as well.

23 DR. ENGLEJOHN: I just, I'm not real sure which bullet
24 you're on.

1 DR. GRIFFIN: The fourth bullet on page nine.

2 DR. ENGLEJOHN: The second bullet on page nine then,
3 identifies that we're looking for statistically valid data
4 on the prevalence and cell numbers throughout the farm to
5 table continuum, so I believe that that would be where it
6 captures your issue. We may need to clarify it, if that's
7 what you're asking, but I think that particular bullet gets
8 at the issue, we need more information about the prevalence
9 and cell numbers throughout the entire system, not just at
10 the point we said.

11 DR. GRIFFIN: Then I don't see why bother listing
12 scalding, defeathering, and evisceration, et cetera, if
13 we're not listing some of those other steps that come
14 before.

15 MR. GARRETT: Patty, it relates to the interventions
16 and what the interventions do to the cell numbers at that
17 step. That's why the steps and the interventions are
18 combined. Because you're trying to determine what in fact
19 the reduction is.

20 John Sofos, you have a question?

21 DR. SOFOS: I think it's implied in this, because it
22 says data that relate specific process step/interventions,
23 to changes in prevalence and cell numbers to know the
24 changes implies that you know what you started with. So in

1 a way it's there.

2 DR. GRIFFIN: For me, it's not there, but maybe I just
3 don't understand.

4 MR. GARRETT: Well, we can come back to it, but let's
5 think about what's been said relative to collecting the
6 data on the farm all the way back, and then these specific
7 steps that relate to interventions that, relative to cell
8 numbers. And then what we say further.

9 First, Dr. Beuchat and then Dane.

10 DR. BEUCHAT: I'm not a poultry person either, but
11 Patty's point, if there's going to be some intervention to
12 steps that might be put in place to enhance reductions of
13 *Salmonella*, then a baseline just preceding scalding would
14 seem to be important. That information.

15 MR. GARRETT: Dane?

16 MR. BERNARD: Thank you, Chairman. I would propose to
17 accommodate this discussion that the following modification
18 be made: That that bullet to be changed to read as
19 follows: Data that relate each specific process step,
20 strike interventions, strike e.g., scalding, defeathering,
21 evisceration, washing, chilling, to changes in prevalence
22 and/or cell number. By including certain steps we've
23 inadvertently made it appear that these were the ones of
24 concern, and I think that we can, with this modification,

1 may take care of the intervention.

2 DR. BEUCHAT: So that would include all steps then.

3 MR. BERNARD: Yes.

4 MR. GARRETT: Is that better Patty?

5 DR. GRIFFIN: I don't know. I guess it's better than
6 excluding those earlier steps.

7 MR. GARRETT: Well, it has everything now, I think.

8 DR. GRIFFIN: Yeah (Positive response).

9 MR. GARRETT: Very well. So that would read then,
10 the data that relate to each specific process step, to
11 changes in prevalence and/or cell number.

12 Without exception?

13 Page ten? We talked about the other foods eaten
14 relative to salmonellosis. Any more on page ten? Page 11?
15 Page 12? Page 13?

16 DR. GRIFFIN: Yes, I have a question. Sort of on a
17 similar line. On these bullets, I think pre-slaughter
18 practices are covered very well on page 14. But they're
19 not mentioned here as something that should be focused on.

20 MR. GARRETT: So you would like to have pre-slaughter
21 practices before regionality?

22 DR. GRIFFIN: Maybe I just need to understand why it's
23 not bulleted here.

24 MR. GARRETT: Would anyone like to explain that?

1 DR. ENGLEJOHN: I would say that the issues noted here
2 are in particular things for which FSIS has control over in
3 the design of its baselines. Since we only take control of
4 the birds once they arrive at the facilities. The issue
5 you raise about pre-slaughter practices are things for
6 which the agency can be asked to find ways to obtain that
7 kind of information. But it wouldn't be things that we
8 would have knowledge of, other than it being voluntarily
9 provided to us.

10 DR. GRIFFIN: Right. But when I read the questions
11 posed to the Committee, the questions don't say only talk
12 about things that FSIS could do.

13 DR. GARRETT: On the next page as you indicate, we
14 cover pre-slaughter practices that may be presented for
15 slaughter. So the only difficulties I'm having, should
16 there be another phrase than pre-slaughter practices? I
17 think that's what I'm trying to wrestle with.

18 DR. GRIFFIN: Right. I guess what I - -

19 MR. GARRETT: It should be on the farm practices, or
20 the regional on farm practices for example, that may vary
21 or differ.

22 DR. GRIFFIN: I think just somehow taking account, and
23 it could just be a bullet that said pre-slaughter
24 practices. But I guess when I read the document, and

1 again, I just read it fast now, I have the sense that it's
2 a little bit focused towards things that FSIS could do, and
3 yet I didn't see the charge written that way. And so I
4 would prefer that the document be broad and include what
5 any regulatory agency or anyone could do.

6 MR. GARRETT: The Chair would like a little help. Can
7 someone help us to add another bullet? I'm just wondering
8 if the word pre-slaughter is the correct word. It would
9 seem to me that you're talking about variations of concern.

10 It would seem to me at least, I know back in the '60's when
11 I was actually in the industry, that there were in fact
12 some regional difference in terms of on the farm practices.

13 Of course, I went from the 2,000 house to the 70,000
14 house, too, during my brief career.

15 MR. BERNARD: Dr. Griffin is correct. The
16 microbiological character of the birds coming in is
17 affected by what happens before they get to the
18 slaughterhouse. Those factors, many are chronicled on page
19 14.

20 My understanding, however, the charge is to make
21 adjustments or to consider factors in making adjustments to
22 the performance standard which necessarily is an FSIS
23 administered standard. I'm not disagreeing at all. And I
24 could certainly entertain adding a bullet here that

1 reflects what's already on the next page. But I too am a
2 bit confused as to what the role of the Committee is
3 relative to the charge. And my understanding of the charge
4 is to look at possible adjustments in a performance
5 standard administered by FSIS which means it starts at the
6 back door of the plant.

7 However, that will be influenced by the nature of
8 those birds coming in, which is influenced by what goes on
9 in the grow-outs. No doubt about it.

10 DR. GARRETT: Yeah, (Positive response). I think just
11 from my personal perspective as well, the questions are
12 being given to us relative to possible adjustments.
13 However, at the same time, they're asking very specific and
14 detailed scientific questions. They go beyond just what an
15 agency has the authority to do.

16 They're asking scientific questions what should be
17 considered relative to a specific action. So I think that
18 the question you raised is certainly appropriate, and I
19 think we can deal with this issue. Just say on the farm
20 practices, and put a bullet here, and then go on.

21 And then that is actually further than explained on
22 the next page.

23 DR. GRIFFIN: Right. So on farm and transport, I
24 would include. And I guess I do get the feeling reading

1 this document, that the push is towards things that could
2 be addressed in an FSIS-regulated plant. But it seems to
3 me that if the contamination is higher than we want at the
4 end, the plant owners could talk to their suppliers and say
5 that there are some critical steps before the animals enter
6 the plant that could be adjusted. So that you have a
7 cleaner animal entering the plant.

8 DR. ENGLEJOHN: In order to be consistent with the
9 next page then, I would just suggest instead of on farm
10 practices, we use pre-slaughter practices. It captures all
11 of that.

12 MR. GARRETT: What I put is just on farm and
13 transportation practices.

14 DR. ENGLEJOHN: And I suggest you just use pre-
15 slaughter practices.

16 MR. GARRETT: Oh, pre-slaughter, pre-slaughter?

17 DR. GRIFFIN: I actually prefer the former because
18 pre-slaughter - -

19 DR. ENGLEJOHN: Well, pre-slaughter on the next page
20 includes the things that you're talking about. And
21 otherwise, we probably should change that title on the next
22 page.

23 DR. GRIFFIN: That's fine.

24 MR. GARRETT: So we'll just put pre-slaughter

1 practices and that's further detailed on page 14. 15?
2 Stephanie?

3 DR. DOORES: Does the term probiotic under 1(c) mean
4 to include things like antibiotic usage or competitive
5 exclusion, or is, are those concepts warranted as a
6 separate concept in here?

7 MR. GARRETT: Stephanie, were are you specifically?
8 I'm lost.

9 DR. DOORES: I'm sorry. Page 14. Number 1(c).

10 MR. GARRETT: I would refer that to one of the
11 subcommittee members.

12 DR. TOMPKIN: I think in this case probiotics are the
13 use - - it's competitive exclusion. It is not, it does not
14 include antibiotic usage.

15 DR. DOORES: Then, I guess my question would be then,
16 should that be included in this list?

17 MR. GARRETT: That would be fine. Should antibiotics
18 be included in the list?

19 DR. DOORES: I would suggest that it would be.

20 MR. GARRETT: That's fine.

21 DR. DOORES: Prophylactic use of antibiotics.

22 MR. GARRETT: I see. I realize that we're all
23 erudite microbiologists, but realizing the people that may
24 read this not understand what probiotics means, should we

1 put in parenthesis competitive exclusion? And then we'll
2 add, antibiotics?

3 DR. DOORES: Do you want to use the term antibiotics,
4 or prophylactic?

5 MR. GARRETT: Prophylactic antibiotics?

6 DR. DOORES: I think that would be preferable.

7 MR. GARRETT: So with the parenthetical phrase
8 competitive exclusion after probiotics, and then we've
9 added prophylactic antibiotics. Any more on page 15? 16?

10 DR. DOWNES: In the, under B, the second to last
11 sentence to me indicates that if there are seasonal
12 variations, or regional variations, that they would be
13 acceptable and that broilers purchased in one area of the
14 country, there would be a higher tolerance for a higher
15 level of organisms than in another part of the country.

16 Then my follow-up question to that is, how does that
17 achieve a food safety objective or a public health impact
18 of this unless there's some additional intervention in
19 those areas to inform the consumers that what they are
20 purchasing are not the same as what they might purchase in
21 another part of the country.

22 MR. GARRETT: Thank you. I didn't read it quite that
23 way. I personally read it that this is merely explaining
24 how one would tease out these different types and kinds of

1 variations through regression analysis, so forth and so on.

2 And not that this is - - that we're advocating a standard
3 for seasonal variation at all.

4 DR. DOWNES: Well, your last sentence says that the
5 performance standard would be based on these differences -
6 - based on these differences should be considered.

7 MR. GARRETT: Under B?

8 DR. DOWNES: Yes. The following sentence.

9 MR. GARRETT: Well, let's chat about that.

10 DR. DOWNES: I mean, I appreciate that it's a reality.

11 But should, does that achieve what is intended with these
12 performance standards? I would say that the Committee has
13 gone way out on the line by making a statement in this.
14 And I think the best thing to do would be to answer the
15 question, which is, what are the seasonal variabilities,
16 and then make no further recommendation as to - - maybe the
17 performance standard is set at that regional or seasonal
18 level that is of most concern.

19 MR. GARRETT: Any other comments? I would note that I
20 would think it would be a regulatory impossibility just due
21 to the impracticality of having seasonal performance
22 standards, quite frankly, just from my regulatory history.

23 But I'm again not disassociated with this food section.

24 David?

1 DR. ACHESON: Would it be fixed if we just changed the
2 should to could?

3 DR. DOORES: How does, still. I get back to the
4 question of how does that achieve a food safety objective?

5 You set different performance standards for different
6 parts of the country. Why have a performance standard
7 then? Because if it's supposed to be set to achieve a food
8 safety objective, then if you have different ones across
9 the country, you're no longer achieving that goal.

10 MR. GARRETT: Bruce?

11 DR. THOMPSON: Could be reworded such that if
12 significant regional or other differences are identified,
13 then this could impact on a processor's ability to comply
14 with a performance standard. That way, you're not taking a
15 position one way or the other.

16 DR. DOORES: I would have no problem with that.

17 MR. GARRETT: Say that again?

18 DR. THOMPSON: Well, the first phrase is the same right
19 up through the comma. Then this could impact on a
20 processor's ability to comply with the performance
21 standard.

22 MR. GARRETT: If significant regional or other
23 differences are identified, this could impact upon a
24 processor's ability to comply with the performance

1 standards and that would take care of it.

2 DR. DOORES: And strike the last sentence?

3 MR. GARRETT: Yes.

4 DR. DOORES: Thank you.

5 MR. GARRETT: Strike the last sentence in the
6 paragraph?

7 MS DOORES: That is the last sentence now.

8 MR. GARRETT: Maybe we'd better go to page 17. Page
9 18? Katie?

10 DR. SWANSON: There are several references to ground
11 product here. I don't think that it hurts the reading of
12 the document. But you had indicated that you were trying
13 to separate it out. So I don't know if it needs to be
14 changed.

15 MR. GARRETT: Thank you. As I recall, our
16 subcommittee deliberations, we thought we'd leave these in.
17 But correct me if I'm wrong, but I think we actually said
18 for particular, we'd leave these in.

19 DR. SWANSON: On that same point, number four, why do
20 you need the parenthetical comment for ground product?

21 MR. GARRETT: It was just that it seemed to have; this
22 had the most relevance for ground product.

23 DR. GRIFFIN: But doesn't it have relevance for other
24 products as well?

1 MR. GARRETT: Sure, sure. We'll get it out. Any more
2 on page 17? Excuse me. I'm on page 18.

3 DR. DOWNES: On page 18. Again, number two, the first
4 point on that page, quantitative data better - - I would
5 suggest changing it to predict achieving public health
6 outcomes.

7 MR. BERNARD: DR. Chairman? Could I have that again,
8 please?

9 MR. GARRETT: Say again? Quantitative data better
10 predict achieving public health outcomes?

11 DR. DOWNES: Correct.

12 MR. GARRETT: 19?

13 DR. KING: On the very last sentence on this page, in
14 assessing the public health impact from *Salmonella* on
15 broilers, cross contamination on surfaces, utensils, and
16 ready-to-eat food should be considered. I wasn't really
17 sure what the subcommittee was trying to get at with that
18 sentence. Did they suggest taking samples from some of
19 those areas, or products, or - - I wasn't sure how this
20 tied in with this section.

21 MR. GARRETT: I think it related to and some of the
22 subcommittee members correct me if I'm wrong. I think it
23 was relating to, that when one does the assessments
24 relative to the public health impact, you have to think

1 also the possible cross contamination possibilities as
2 opposed to just the entrée item chicken itself.

3 DR. GRIFFIN: I have a question about how sampling is
4 done.

5 MR. GARRETT: Well, let's get this one first. Put
6 this one to sleep first.

7 DR. GRIFFIN: I'm sorry.

8 MR. GARRETT: Does another subcommittee member want to
9 - - wasn't that the context in which that was inserted?
10 Nobody seems to remember but me? Does that explain that to
11 you, Robin, then? Now, we're ready, Pat.

12 DR. GRIFFIN: I just have a question about how
13 sampling is done. Again, reading this for the first time,
14 talking about how deep the sample is taken, et cetera. I
15 just would, what I would imagine is that there would be a
16 tool that would take say, five samples from the carcass,
17 each at a certain number of millimeters depth. And that
18 would get a certain weight of sample and that you would do
19 maybe five samples and pool them. And maybe that's what's
20 done, but it sounds a little bit as though different people
21 do different things. And it's hard to know when you're
22 analyzing a sample how many grams were initially taken,
23 whether they were taken from one place on the carcass, or
24 five different places. And I'm just wondering if I just

1 don't understand it, or if it is that variable.

2 MR. GARRETT: I'll refer that question to Dr. Engle.

3 DR. ENGLEJOHN: I assume you mean me. You shortened
4 my name.

5 MR. GARRETT: I'll never get home.

6 DR. ENGLEJOHN: I think in part the discussion here
7 relates to how FSIS conducts its sampling program for
8 broilers. We actually take a rinse sample. So I think our
9 discussion here was that there, in trying to determine how
10 to take samples and a baseline that may include more than
11 just the broiler itself.

12 There are other mechanisms that can be used. But when
13 we do an actual baseline, there's only one procedure used,
14 and it's our FSIS inspectors who actually do that. So it
15 would be a very well defined uniform procedure that we
16 would use, when we ultimately do a sampling.

17 If we were to do something more than just the broiler,
18 such as suggestion here of looking at a weighted sample and
19 so forth, then we would define that procedure, and it would
20 be uniformly applied across the board.

21 MR. GARRETT: And I think also on page 21, when we get
22 there, I'll point that out. That gives a little bit
23 further explanation of standardization of sampling and so
24 forth.

1 Any more on page 19? Page 20? Carol?

2 DR. MADDOX: On the type of establishment and
3 production volume, it would probably be assumed that it
4 would be included, but the number of birds processed in the
5 lot, - - and it might want to be addressed specifically - -

6 DR. BRACKETT: Excuse me. Would you move the
7 microphone closer to you?

8 MR. GARRETT: You have to - -

9 DR. MADDOX: I'm just concerned that the lot may be
10 sized, might be a consideration that might be a
11 consideration that should be specifically spelled out. I
12 know I'm not real familiar with broilers, but when the hen
13 studies were done, it seemed that we made significant
14 improvements on-farm by reducing the number of birds that
15 were infected. But once they're all processed
16 simultaneously, then you dilute that out by processing many
17 together that would inflate then the amount - -

18 MR. GARRETT: I think I may understand - - I may be
19 wrong. I think in the broiler industry the lexicon
20 production volume kind of means that. 21? And Pat, I want
21 to go back to the second full paragraph; methods used for
22 sample collections, shipment, laboratory analysis, should
23 be standardized and validated so that the desired
24 information can be consistently obtained through a

1 subsequent data analysis.

2 I think that brings in the concept of what you were
3 saying.

4 DR. ENGLEJOHN: Excuse me. On page 21, since you went
5 to that paragraph, in the third line at the end, the word
6 much should be must.

7 MR. GARRETT: It's not much?

8 DR. ENGLEJOHN: Must.

9 MR. GARRETT: All righty. 22?

10 DR. DOORES: Before we get into that next section,
11 there's a discussion in this section about the difficulties
12 of providing quantitative data for *Salmonella*. And there's
13 a discussion of the cumbersomeness, if there's such a word,
14 of the MPN procedure. Is there a recognized procedure that
15 you're going to be using for quantitative assessment? Is
16 that to be developed? It doesn't warrant more specifics in
17 here?

18 MR. GARRETT: I don't have the score to that tune.
19 Any subcommittee member like to address that issue? What
20 you're saying - -

21 DR. DOORES: I'm just saying that in general.

22 MR. GARRETT: It's just the overview. MPN's are
23 encumbering, so are you thinking of something else later?

24 DR. SOFOS: On page 23, we state in the middle of the

1 page, the last sentence. In part it says the need is the
2 development of cost effective quantitative methods for
3 pathogens which are not as expensive as the MPN procedure.

4 DR. DOORES: Thank you, John.

5 MR. GARRETT: Thank you, John. 22? 23? 24? 23?
6 John Kvenberg, 24?

7 DR. KVENBERG: I'm not sure any word changes are
8 needed, but I'd like to discuss the findings on 24,
9 recommendations on 25, and the way it relates back to page
10 10, which I had no problems with, in going to.

11 But just for my clarification, because I think there
12 may be a core thing in here I'm trying to understand is
13 that according to the FoodNet data, the way I read this
14 thing, there was no observed difference between 1996 and
15 2002, in point three.

16 It says however, in the text at the bottom paragraph
17 of the page, and I don't know if there's a cause and effect
18 situation that based on FoodNet data, there was a 24
19 percent decrease or decline in campylobacteriosis between
20 the same period, which indicated that poultry is of lesser
21 significance. Then the recommendation of, on page 25 says,
22 should work in collaboration with CDC to measure the impact
23 of performance standards for broilers and salmonellosis and
24 other relevant enteric diseases.

1 And that's reflected back on page ten, the term of
2 other enteric diseases. I guess just for my benefit, and I
3 don't want to slow your process down here. Is there some
4 indication, this just kind of leaves me with - - these are
5 facts. But the Committee's drawn no conclusion on a
6 potential utilization or an effect of the performance
7 standard here on other enteric diseases, specifically
8 *Campylobacter*.

9 Can someone clarify - - this recommendation is that we
10 should pursue it, although there's no findings to this
11 point. Is that right?

12 MR. GARRETT: I think the issue is, and let me
13 articulate what I think you just said. The issue is, that
14 on the one hand while looking at the FoodNet data, the
15 incidence of salmonellosis doesn't seem to be decreasing,
16 it is decreasing dramatically relative to campylobacters.
17 So it is having certainly a decided effect, is point one.

18 Point two, and I didn't quite get your - -

19 DR. KVENBERG: Point one, the paper that the Committee
20 drew that conclusion, that there was a dramatic effect in
21 the reduction of campylobacteriosis, attributable to the
22 performance of management of *Salmonella*. Is that what - -

23 MR. GARRETT: Well, there's certainly a reduction.

24 DR. KVENBERG: Whether it's attributable is - -

1 MR. GARRETT: Yeah, (Positive response). Whether it's
2 attributable is something else again.

3 DR. KVENBERG: And I guess my second point was, just
4 to clarify it, is there's not a specific, it goes into
5 general specific recommendations that performance standards
6 for broilers on salmonellosis and other relevant diseases,
7 it certainly seems like this is the hot lead relative to
8 tracking down specific disease reduction using FoodNet data
9 for campylobacters. Is that true? Is the Committee
10 concluding that, or is this - -

11 MR. GARRETT: Well, we're certainly noting it.

12 DR. KVENBERG: Noting it. No recommendation then?

13 MR. GARRETT: No. We don't want to do away with
14 FoodNet data, obviously, but you can, - - you can't over
15 conclude or over extend what the data is telling you but I
16 think it's dramatically - -

17 DR. KVENBERG: I won't take much more time, just then
18 to get there. How do we connect the dots between the
19 FoodNet data and cause and effect in performance standards?
20 Because I think it's an important dot, dots to connect.
21 We do that, we would do that through strains? Typing?

22 MR. GARRETT: Patty? I'm sure you can tell us.

23 DR. GRIFFIN: Yes. One comment I think you're getting
24 at it, is recommendation number two, is that the

1 relationship between serotypes isolated from broilers and
2 human clinical isolates. We know that there are certain
3 serotypes types of *Salmonella* that are strongly associated
4 with poultry and are less likely to be found in other
5 animals.

6 And so as we're accumulating more years of data in
7 FoodNet, we may be able to do an analysis in which we just
8 look at poultry associated serotypes and look at the
9 incidents of *Salmonella* over time. And see whether there's
10 a difference. Because as the Committee knows, there are
11 over 2500 serotypes of *Salmonella* widely distributed.

12 And so when we're looking at the weight of all
13 *Salmonella* over time, we're just looking at a hodge podge
14 due to many different food vehicles, and non-food vehicles.

15 DR. KVENBERG: Thank you for the clarification. And
16 that being said, since recommendation number two speaks to
17 serotypes, and specifically, as referring to *Salmonella*, I
18 guess my question would be, is FoodNet going to be a
19 benefit in measuring other enteric disease reductions
20 attributable to the practice of the performance standard
21 for *Salmonella* in broilers? Specifically, *Campylobacter*.
22 Is that something worth pursuing?

23 DR. GRIFFIN: Well, every year we measure the
24 incidents of *Campylobacter* in FoodNet sites and compare it

1 with previous years.

2 MR. GARRETT: Thank you. Dane, did you want to
3 comment?

4 MR. BERNARD: I'm not sure that there was any
5 modification that came out of - -

6 MR. GARRETT: No, there was not. There was just some
7 discussion of how do these things connect.

8 MR. BERNARD: We did have - -

9 MR. GARRETT: And we're getting much closer to
10 connecting the dots.

11 MR. BERNARD: We did have an extensive discussion on
12 it, as obviously a temporal relationship between the
13 performance standard on *Salmonella* and the decrease in
14 campylobacteriosis. But absent data on *Campylobacter*,
15 because of methodology difficulties, no baseline, et
16 cetera.

17 It's difficult to say one for one, and that's, I think
18 that's why we made a dot where we did.

19 MR. GARRETT: With that, page 25?

20 DR. MADDOX: Would there then be a recommendation - -

21 MR. GARRETT: Carol, you have to - -

22 DR. MADDOX: Sorry. Would there then be part of that
23 recommendation number one to include *Campylobacter* as part
24 of the parallel studies? As one of the other relevant

1 enteric diseases?

2 DR. SOFOS: I think we state somewhere that enteric
3 pathogens should be considered as part of the studies.

4 DR. KVENBERG: I think that's on page ten.

5 DR. MADDOX: I just wonder if it would be worth
6 specifying *Campylobacter*, since it would make that link
7 with the FSIS and the CDC data.

8 DR. GRIFFIN: I would favor that, as I can't think of
9 another pathogen that we're thinking of other than
10 *Salmonella* and *Campylobacter*.

11 MR. GARRETT: Bruce?

12 DR. TOMPKIN: And on page 25, under recommendation,
13 number one, we could simply change the latter part of the
14 sentence to read, on salmonellosis and campylobacteriosis,
15 to make it more specific. And that was the intent, how to
16 connect the dots, and that's what we would hope FSIS and
17 CDC could do, would be to get together and try to sort out
18 how to generate the right data to allow us to measure the
19 impact. So salmonellosis and campylobacteriosis.

20 MR. GARRETT: Without exception? Page 26? Dane?

21 MR. BERNARD: Just a thought. Does the Committee
22 still have a charge on *Campylobacter*?

23 MR. GARRETT: Um hum. (Positive response.)

24 MR. BERNARD: I was just wondering if there's some way

1 to link, but I think - -

2 MR. GARRETT: I think I would just go on with this,
3 and then when you get to that issue, deal with that issue.

4 I mean, the important point is to get campylobacteriosis
5 in here. And I can say it, I can't spell it.

6 DR. DOWNES: Before we leave page 25?

7 MR. GARRETT: Yes, Ma'am.

8 DR. DOWNES: Point number two, the relationship
9 serotypes, did the subcommittee consider genotype, or
10 specifically PFGE type as an additional source of important
11 information?

12 MR. GARRETT: We really talked about serotypes. But,
13 Dane?

14 MR. BERNARD: That may be a better question for Dr.
15 Griffin, Dr. Liang, in terms of what they can track, or
16 what data can be obtained and fed into a database and
17 utilized, I guess.

18 Right now, I'm not sure that that kind of data is
19 commonly available.

20 DR. GRIFFIN: The clinical isolates are, and it
21 depends on the serotype how frequently they're added to the
22 database. The part I don't know about is the FSIS side,
23 and how regularly those genotypes are posted for
24 comparison.

1 MR. GARRETT: Dr. John, I mean, Englejohn?

2 DR. ENGLEJOHN: I don't have a response to that.

3 Gerri Ransom, can you maybe provide some input on that?

4 MS. RANSOM: I believe we are doing PFGE on all our
5 *Salmonella* isolates. I don't think they're being, they
6 could be being submitted to FoodNet as well. PulseNet.
7 PulseNet. They are submitted to PulseNet.

8 MR. GARRETT: A way to perhaps maybe address this to
9 get us through this, just say and possibly blank. And you
10 know, so we don't lose the concept.

11 DR. ENGLEJOHN: Just another thought on that. Since
12 it is a recommendation. I mean, it clearly, I'm not real
13 sure at the moment if we are doing that yet, but in any
14 case, you could certainly make a stronger recommendation
15 with the agency to pursue that.

16 MR. GARRETT: Give me the correct wording, I mean, I,
17 we fish people use different genotypes. So it's possibly
18 blank, and then you're saying it's a PDDE's, or THDL's, or,
19 talk to me. PFGE?

20 DR. DOWNS: Strongly urge both agencies to submit
21 *Salmonella* PFGE, types to PulseNet for national comparison.

22 MR. GARRETT: Well, what I put down, we can come back
23 to that if you'd like to go that strong. I just said the
24 relationship between serotypes and possibly PFGE's isolated

1 from broilers. If you want to go stronger than that, you
2 know, let's chat about it. What I'm trying to do is get the
3 concept in there that it needs to be done, or at least it
4 needs to be considered.

5 DR. DOWNES: The suggestion over here is just to put
6 in genotype rather than genetic relationship.

7 MR. GARRETT: Just genotypes? So it says and possibly
8 genotypes isolated from broilers. Any more on 25?

9 DR. MADDOX: Spencer, how does that read again?

10 MR. GARRETT: Say again?

11 DR. MADDOX: How does it read again?

12 MR. GARRETT: The relationship between serotypes and
13 possibly genotypes isolated from broilers in human clinical
14 isolates should be investigated. 26?

15 DR. GRIFFIN: I'm sorry. On 25. Do we have to modify
16 that with the word possibly?

17 MR. GARRETT: So it would read serotypes and
18 genotypes. 27? 26, folks. 27?

19 DR. MADDOX: Point three then, should we, also modify
20 enteric pathogens to specify campylobacters?

21 MR. GARRETT: Carol, other than enteric pathogens I
22 didn't get the rest of it.

23 DR. MADDOX: Modify it to specify campylobacters to be
24 consistent with the recommendation.

1 MR. GARRETT: Well, here I think this is more general.
2 This would include *Campylobacter*. I mean, there may be
3 who knows out there.

4 DR. KVENBERG: I think just this point again for
5 clarification. I don't want to mess with the text. But it
6 seems to me that we have a much better shot at
7 *Campylobacter* bacteria than we do with other enteric
8 pathogens getting tied into this story. I mean, I would
9 defer to CDC, Dr. Griffin and those folks.

10 But there seems to be at least some hope for, as I
11 said earlier, to connect the dots on that pathogen side.

12 MR. GARRETT: Would you like to say such as
13 *Campylobacter*, or - -

14 DR. KVENBERG: Yeah, (Positive response). I don't
15 think it would hurt, if that's a solid lead, and it
16 certainly seems encouraging, I don't know why you would, if
17 the committee feels - - in doing that, why not?

18 DR. GRIFFIN: I was happy with the way this one read,
19 being a little broader. Because it could include *innocua*
20 and other organisms which we think are acquired from food
21 and can cause opportunistic infections. But it does
22 include *Campylobacter*, so I was happy with the broad
23 language.

24 MR. GARRETT: Dr. Acheson?

1 DR. ACHESON: I wonder if you could sort of mix the
2 two and specify *Salmonella*, *Campylobacter*, and other
3 enteric pathogens. Would that work?

4 DR. GARRETT: Yeah, (Positive response). That would
5 work. So cell numbers of *Salmonella*, *Campylobacter*, and
6 other enteric pathogens? Page 27? Page 28?

7 Give yourselves a good hand. I think we have a
8 document here.

9 And with that, Dr. Brackett, I'll turn the meeting
10 back over to you. One thing, we'll go through this to make
11 a technical edit for commas, splices and things of that
12 nature, which we do with every document. Thank you.

13 DR. BRACKETT: Thank you. Good discussion. At this
14 point, Spencer, I'd like to thank you for doing this. And
15 I would like to know if we can have a motion to accept the
16 document as it has been modified, subject to these
17 editorial revisions.

18 DR. SWANSON: So moved.

19 DR. DONNELLY: Seconded.

20 DR. BRACKETT: State your names as well please?

21 DR. SWANSON: Swanson.

22 DR. DONNELLY: Donnelly.

23 DR. BRACKETT: Motion to accept the modified document
24 has been seconded. Is there any discussion on this motion

1 at all? All on the Committee signify by saying Aye, if you
2 agree with this.

3 Spencer, it is accepted. Since the discussion ran a
4 little bit of time longer than we had anticipated in the
5 schedule, what I think we'll do now is break for the
6 fifteen minutes, and then start on the next subject
7 immediately following the break. John Kvenberg?

8 DR. KVENBERG: Just, is this an appropriate time to
9 check out for people who are staying?

10 DR. BRACKETT: If you didn't ask for an extension for
11 your check out time, I did, and they were happy to do it
12 till 1:00. But if you didn't do that, now would be a good
13 time to do that, I think.

14 DR. KVENBERG: Thank you, Sir.

15 (OFF THE RECORD)

16 DR. BRACKETT: Just for the record, Gerri Ransom went
17 back to double check exactly what they do with isolates, so
18 she would like a couple of minutes to explain to everybody,
19 so they know what their procedures are. Gerri?

20 MS. RANSOM: I just wanted to mention that as far as
21 FSIS, our *Salmonella* isolates from ready-to-eat product, we
22 do run PFGE on those and those are submitted to PulseNet.

23 We are giving our *Salmonella* isolates on our raw products
24 to ARS (Agricultural Research Service), and they are

1 running some PFGE on these isolates, although there are too
2 many to run all of them.

3 So we are getting some PFGE data on our *Salmonella*,
4 raw *Salmonella* - - isolates.

5 DR. BRACKETT: Thanks. Now I think we'll proceed with
6 an update on the Criteria for Refrigerated Shelf-life Based
7 on Safety. FDA referred this issue of defining criteria for
8 refrigerated shelf-life based on safety to NACMCF in 2001.

9 And this was also an action item in the 2001 *Listeria*
10 Action Plan that was released by USDA and the Department of
11 Health and Human Services in January of that year.

12 The action plan stated that "FDA and FSIS will seek
13 advice from a scientific advisory committee on the
14 scientific basis for establishing safety-based, use-by date
15 labeling for refrigerated ready-to-eat foods." And Don
16 Zink, who is the chair of the subcommittee was going to
17 provide us with an update, Don had to leave as you know.
18 So in his absence, we'll have Dane Bernard provide that
19 update. Dane?

20 MR. BERNARD: Thank you, Dr. Brackett. It should be
21 obvious to everybody I'm not Don Zink. I don't have a Texas
22 accent, don't work for FDA, those are just a few of the top
23 line differences.

24 I think this can best be described as a "work in

1 progress", and I had forgotten actually, that this charge
2 was given to the Committee in 2001. How time flies when
3 you're having such fun.

4 The title of the document, "Considerations for
5 Establishing Safety-Based Date Labels", which we have
6 coined the acronym SBDL's, safety-based date labels, for
7 refrigerated ready-to-eat foods. Based on the output from
8 the FDA USDA risk assessment on *Listeria monocytogenes*, and
9 resulting listeriosis.

10 Aside from the impact of consumer's susceptibility, a
11 key risk factor is the opportunity for LM to multiply to a
12 level of public health concern. Therefore the risk
13 management strategy, one of the risk management strategies
14 being considered is the utility and establishment of
15 safety-based date labeling.

16 As you have noted, Dr. Chairman, we have been asked to
17 consider various aspects, and we have been given five
18 questions to answer in that regard. I won't read those
19 questions. They're obviously in the draft.

20 We do have a draft document. We have found this to be
21 a very challenging and complex topic, but like other topics
22 that this Committee has successfully addressed, we are
23 anticipating that we will eventually wrestle this beast to
24 the ground as well.

1 It is still, and I think the subcommittee's view, a
2 rough draft, needing much more work. At this particular
3 meeting, we've spent the better part of two days reviewing
4 where we are and at the suggestion of one of our Committee
5 members, and accepted by the subcommittee, on these two
6 days, we actually started from the back of the document,
7 since one always starts from the front and that receives
8 most of the attention.

9 This time we actually started from the back and worked
10 forward beginning with question five and moving through
11 question three. So questions two and one, we really didn't
12 address.

13 We also spent considerable time on the appendices
14 attached to the document. And while I think in my personal
15 view, considerable progress was made, it's difficult to see
16 that progress coming to fruition at this point, but I'm
17 sure it will. Don Zink complimented the subcommittee for
18 it's time and efforts, but asked that at least one more
19 meeting be set prior to the August date which we still hope
20 for completion of a final document to present to the entire
21 committee in August of actually 2004.

22 So the Secretary is now looking for dates for the
23 subcommittee to meet between now and August to try and make
24 significant progress. I think we all on the subcommittee

1 note that without some effort between now and even another
2 meeting, that our chances of pulling this together by
3 August are slim, but that's still the plan, Dr. Chairman,
4 thank you.

5 MR. GARRETT: Thank you, Dane. Are there any
6 questions for Dane before we move on at all? Thank you
7 again, Dane.

8 And so that brings us to our last formal issue of the
9 day, which is the scientific criteria for redefining
10 pasteurization. As you may remember, FDA also referred
11 this issue to NACMCF in response to the 2002 Farm Bill that
12 contained language amending section 403-(h) of the Federal
13 Food, Drug, and Cosmetic Act to include that a food is
14 misbranded if it purports to be pasteurized, unless "such
15 food has been subjected to a safe process or treatment that
16 is prescribed as pasteurization or has been subjected to a
17 safe process or treatment that destroys the most resistant
18 microorganisms of public health significance that are
19 likely to occur in the food."[]

20 The subcommittee addressing this issue is reviewing
21 alternative treatments to traditional heat pasteurization
22 as well as the issue of most resistant microorganisms of
23 public health significance that are likely to occur within
24 the food.

1 And so at this time, I'd like to ask the subcommittee
2 chair, Dr. John Kvenberg to give us a status report on that
3 issue. John?

4 DR. KVENBERG: Thank you, Chairman. We've thrown up
5 (information) on the screen just to let you know where the
6 status of how we're organizing our work. We do not yet
7 have a rough draft of our document. However, we have many
8 pieces to it that we plan to collate. And like the dating
9 group that foresees the need for a working group meeting in
10 the interim, our work group also is looking for the ability
11 to get together.

12 Once we have put the pieces together, the outline I'm
13 going to present to you this morning together. Perhaps May
14 or sometime in that timeframe, the working group felt that
15 would probably be the appropriate point.

16 Just to give you the overview of how we're organizing
17 this, the, as the Chairman just pointed out, the basic
18 statement is presenting the problem, we will just start off
19 the paper with stating that and the questions that were
20 asked.

21 We do spend a lot of time, and I'll review this
22 morning the current state of affairs in our struggling with
23 the definition of what pasteurization is. In addition to
24 the Food and Drug Administration's requirement, the USDA

1 has asked us to consider for their purposes what
2 pasteurization means relative to the commodities regulated
3 by FSIS, and so we're dealing with trying to be inclusive
4 on pasteurization and what it means.

5 You'll see under the processes and technologies,
6 although these are just listed technologies, a lot of work
7 has been done already by the working group members, either
8 singly or in combination in teaming up with others. And we
9 do have these papers. Our plans as to how to standardize
10 the text of these papers so that they will be consistent in
11 their format and come up with a table of relevant points as
12 you see under Section (C) under there, for organization of
13 each discussion paper, the technologies that are under
14 consideration.

15 Next, if you can scroll down to four, we are proposing
16 to look at end product performance standards. We're
17 viewing this to begin with, part of the working group's
18 consideration at this meeting, was to step back and - - but
19 I failed to mention on the section above, is we added the
20 section of traditional thermal processing.

21 It occurred to us that we had a discussion of all the
22 new technologies. It would probably serve us well to have
23 the traditional time/temperature relationship of
24 pasteurization discussed as well.

1 And in that regard, here under four, several of us
2 have agreed to get together and consider the history of
3 pasteurization requirements as they apply to fluid milk,
4 liquid egg products, and crab meats, and juice. Juice,
5 we'll point out was a recommendation that came forth in a
6 previous NACMCF document. But these are all examples of
7 how the term pasteurization in the traditional
8 time/temperature situation have developed.

9 Secondly, in the formation, we take note of the
10 activities of the other working groups within NACMCF under
11 the idea of establishing appropriate language that we have
12 coined, and I'm not sure it will end up at this point, but
13 food safety objective type considerations on the considered
14 performance standard that we're charged with looking at.

15 It's also been pointed out to us on point number five,
16 that process is only as good as you operate it. And so
17 that although some of the technologies that have been put
18 forward have got great promise. The actual delivery system
19 on how you validate and verify, terms familiar to us from
20 NACMCF, need to be considered. So we're going to be
21 reviewing that.

22 Also, we are challenged with these new technologies of
23 the organisms of concern behaving differently when we have
24 these kind of lethality treatments put to them. So the

1 whole idea of how you develop the appropriate target, and
2 looking at surrogates and others will be a challenge for
3 us, as well as recommending knowledge gaps and research
4 needs.

5 I think they will be very specific in this document,
6 and the obvious ones, research needs is how do you measure
7 what organism to come up with for a performance standard.

8 In that regard, we considered the concept of what
9 we've coined the black box equation. And we've got
10 examples. Now that we have listed out basically what
11 performance of products are currently regulated, and I
12 guess we should throw refrigerated pasteurized crab meat
13 into this mix, because it's one we're going to be
14 considering, into the log reductions that are to be
15 achieved by the processes that have been sort of bench
16 marked as the end result of what the process should
17 achieve. And we have various other concerns and items that
18 we're going to be dealing with in the paper as well.

19 I would next draw your attention to - - our current
20 definition, I guess is at the bottom there. I'll just keep
21 going after the first two working - - do you have the
22 current definition? There. This is our current working
23 definition of pasteurization, and stay tuned. It is
24 subject to change. We've had some lengthy discussions up

1 to this point trying to come up with a concise statement of
2 what we're dealing with when we're now stepping out of the
3 traditional consideration of what pasteurization means.

4 I think it's a very deep question, and the full
5 Committee will, I think, take note and we need to be very
6 careful in how we define this.

7 And in our discussions this week, these are the
8 questions that we have currently put on relative to the
9 significance of the definition and the working document
10 that we're putting forward.

11 So I guess that would typify that we're kind of into,
12 some deep and broad ranging thinking in our discussion
13 points of exactly what it is. We mean to be clear on what
14 pasteurization means and how you achieve it.

15 That Chairman, is basically where we're at. I can't
16 project that we would have a completed document by August.

17 At this particular point in time, it will be largely
18 dependent on how much progress we make in the interim.
19 What we will do is come up with specific technology
20 documents that have been put together so far, standardize
21 them, send them back to the individuals, then meet in the
22 working group and put the other segments together and we'll
23 have a, I think a clearer picture of what state the
24 document will be in by the next full Committee meeting.

1 DR. BRACKETT: Thank you, Dr. Kvenberg. Does anybody
2 have any questions before we move on?

3 That concludes the working business of the
4 subcommittees and reports.

5 And at this time, we're going to move into our public
6 comment portion of the program. And as of right now, we
7 have one commenter. And so I would ask the person to come
8 to the microphone, state name and affiliation. And I guess
9 the rules are that we will have ten minutes per comment.

10 MS. SCHEURING: Theresa Scheuring, with Wayne Farms,
11 LLC, based out of Oakwood, Georgia.

12 I work for them as their Corporate Laboratory and
13 Regulatory Affairs Manager. And I appreciate being here
14 today. I just had a couple of comments, and one question,
15 actually, regarding the draft for the broiler document that
16 was reviewed.

17 The first comment was on page 14, number 2(a). My
18 comment, there is plant sanitation. You have an example
19 of, and I'm not sure if this is the only thing that you
20 would be looking at for type and number of NR's (FSIS Non-
21 compliance Reports), but that is not - - from an industry
22 perspective, that is not always indicative of actual plant
23 sanitation.

24 Because number and type of NR's will vary from

1 district to district. So I would like to ask that we may
2 redefine, or add additional criteria to determine true
3 plant sanitation.

4 And the next comment was on page 15, 3(a), and this is
5 more of a question. Chilling procedures, will you be
6 monitoring chiller types, pH levels, water pH levels and
7 chlorine levels? Or is, you know, I'm not sure what
8 chilling procedures all includes there.

9 And from an industry perspective, going through the
10 process, I think that would be important to the study.

11 And my last question and comment, on page 21, second
12 paragraph, middle of the paragraph, laboratories that are
13 involved in the testing of samples must be appropriately
14 accredited for these analyses. My question is, for
15 clarification, are we referring to the FSIS 17025 standard,
16 or are we using a different standard there? And that's all
17 I have. Thank you.

18 DR. BRACKETT: Thank you for your comments. Would
19 anyone like to respond at this time to her questions?
20 Spencer Garrett?

21 DR. GARRETT: Thank you, Dr. Chairman. I just might
22 point out, and I certainly appreciate your comments, and
23 your comments have been noted for the record, and I'm quite
24 confident that the USDA and FSIS will consider those

1 comments in addition to the report that we have issues.

2 The first comment relative to the NR's, I think you
3 should understand that what we're trying to do is merely
4 indicate that we're answering question three, what
5 constitutes scientifically appropriate methods for
6 considering variations that may be due to regionality,
7 seasonality, and so forth and so on.

8 From the tenor of your comment there, as well as some
9 of the other comments, I thought I heard a regulatory
10 chapeau if you would, being concerned about possible
11 regulation. But the point simply is, in this consideration
12 for this particular body, we're merely looking specifically
13 as scientists answering the questions. So your comments
14 will be very valid as being part of the record as this
15 whole thing goes forward to the USDA. Thank you.

16 DR. BRACKETT: Dane Bernard?

17 MR. BERNARD: Thank you. I shared Theresa's concern
18 over NR's, as you recall, as we wrote the report. I think
19 there is a general conception publicly, that NR's are in
20 some way correlated with microbiological performance. I
21 think those of us who live with this day in and day out in
22 different plants have a different view, and I think having
23 this here will clarify one way or the other whether that's
24 true or not, and I think that's where we netted out on

1 that.

2 And we were particular and descript about lab
3 accreditation to leave it to the Agency to make that
4 decision since it would be oftentimes agency laboratories
5 that would be developing the data that's asked for in the
6 report.

7 DR. BRACKETT: Thank you Dane. Any other questions?
8 I would ask if there's anyone else that wishes to make a
9 public comment at this time.

10 Seeing that the public comment period is closed,
11 Spencer, did you have a --

12 MR. GARRETT: Yeah, (Positive response). I just
13 wanted to make one other comment. I don't think that I
14 thanked our subcommittee members enough, for really putting
15 up, one, with me. I mean I you know, I said the flogging
16 will continue until morale improves. But it's just a
17 wonderful bunch of people to work for and work with.

18 We certainly appreciate everything everybody's done.

19 DR. BRACKETT: Thank you, Spencer. I'd like to second
20 that. I really would like to thank all the members of the
21 Committee, and especially the subcommittee chairs who do a
22 lot of this in addition to their day jobs.

23 They do a lot of hard work that really answers
24 important questions, considering the issues that we've

1 discussed this morning.

2 I'd also like to thank all of you for coming to
3 Atlanta. Those of you who are from Atlanta, I'm sure
4 appreciate this. But this is a different venue from our
5 normal area in Washington, D. C., and it does require some
6 of us to travel a little bit further.

7 The fact that we have industry and consumer guests at
8 this meeting, it's also an indication of the importance of
9 the work of this Committee. And so it is appreciated, and
10 your participation.

11 So before we adjourn, Gerri Ransom has one more
12 comment to make.

13 MS. RANSOM: Karen is beginning to analyze the
14 calendars that you've been turning in, and we do, as people
15 have been mentioning, we are targeting a meeting in August.

16 And thus far, it's looking like the week of August 16th in
17 Washington D. C., is going to be our next full Committee
18 meeting.

19 And as we've mentioned, there's probably going to be a
20 couple of subcommittee meetings prior to August. But
21 please get your calendars in, but that is what we're
22 looking at, at this point, the week of August 16th, for
23 Washington, D. C.

24 And again, thank you for all your hard work this week.

1 DR. BRACKETT: Thank you, Gerri. And with that, the
2 meeting is adjourned. Have a safe trip home.
3 (Whereupon, the hearing closed at 11:05 a.m.)
4
5
6
7
8
9
10
11
12
13
14
15