

UNITED STATES DEPARTMENT OF AGRICULTURE  
FOOD SAFETY AND INSPECTION SERVICE

+ + + + +

NATIONAL ADVISORY COMMITTEE ON  
MICROBIOLOGICAL CRITERIA FOR FOOD

+ + + + +

September 26, 2008  
9:00 a.m.

USDA Cafeteria (Conference Room)  
1400 Independence Avenue, S.W.  
Washington, D.C.

CHAIR: H. SCOTT HURD, D.V.M., Ph.D.  
Deputy Under Secretary for Food Safety  
U.S. Department of Agriculture

EXECUTIVE COMMITTEE MEMBERS:

STEPHEN SUNDLOF, D.V.M., Ph.D., Vice-Chair  
LEEANNE JACKSON, Ph.D., FDA Liaison  
COL TIM STEVENSON, D.V.M., Ph.D., DoD Liaison  
DAVID GOLDMAN, M.D., M.P.H., FSIS Liaison  
GERRI RANSOM, M.S., Executive Secretary  
KAREN THOMAS-SHARP, Advisory Committee  
Specialist

## COMMITTEE MEMBERS:

DR. SCOTT BROOKS  
DR. V. KELLY BUNNING  
DR. DEAN CLIVER  
DR. UDAY DESSAI  
DR. DANIEL ENGELJOHN  
DR. KATHLEEN GLASS  
DR. LINDA HARRIS  
DR. WALT HILL  
DR. MICHAEL JAHNCKE  
DR. LEE-ANN JAYKUS  
DR. JULIE ANN KASE  
MS. BARBARA KOWALCYK  
DR. JOSEPH MADDEN  
MS. ANGELA RUPLE  
DR. DONALD SCHAFFNER  
MS. VIRGINIA (JENNY) SCOTT  
DR. ROBERT TAUXE  
DR. IRENE WESLEY  
DR. DONALD ZINK

## FSIS:

DR. EVELYNE MBANDI  
DR. JIM RASEKH

## I-N-D-E-X

<u>AGENDA ITEM</u>	<u>PAGE</u>
Open Plenary Session	
Dr. H. Scott Hurd, Chair	4
Dr. Stephen Sundlof, Vice-Chair	8
Introductions	11
Updates - Ms. Gerri Ransom	14
Report from the subcommittee on Parameters for Inoculated Pack/Challenge Study Protocols	
Dr. Donald Zink, Chair	19
Questions and Comments	29
Report from the subcommittee on Determination of the Most Appropriate Technologies for the FSIS to Adopt in Performing Routine and Baseline Microbiological Analyses	
Dr. Uday Dessai, Chair	33
Questions and Comments	40
Public Comment (None)	46
Closing Comments	
Dr. H. Scott Hurd, Chair	47
Dr. Stephen Sundlof, Vice-Chair	47
Adjourn	48

1 P-R-O-C-E-E-D-I-N-G-S

2 (9:00 a.m.)

3 DR. HURD: Good morning. Everybody ready  
4 to roll? You guys look bright and chipper quite  
5 honestly.

6 Well, I'm Scott Hurd, and I'm pleased to  
7 welcome all of you, members and guests, to today's  
8 plenary session of the 2007-9 National Advisory  
9 Committee on Microbiological Criteria for Foods.

10 I'm Dr. Scott Hurd, Deputy Under Secretary  
11 for Food Safety and Co-Chair of this committee,  
12 along with Dr. Sundlof.

13 This is our first plenary session this  
14 year, and it's my first time presiding as Chair. I  
15 came to the Office of Food Safety in February this  
16 year, and I was pleased to learn that I'd be  
17 chairing this committee. I know many of you, and I  
18 respect your science and your contribution to food  
19 safety greatly.

20 NACMCF is one of the most respected and top  
21 producing federal advisory committees. Another area  
22 where NACMCF is strong is that the Committee work

1 has directed its application to the programs of the  
2 sponsoring agencies.

3           Some of the recent past projects of the  
4 Committee include Guidelines for Safe Cooking of  
5 Poultry for Consumers, Safe Cooking of Seafood for  
6 Consumers, and the Analytical Utility of  
7 *Campylobacter* Methodologies. The latter  
8 *Campylobacter* project greatly assisted the recent  
9 FSIS nationwide microbiological baselines for  
10 poultry by assisting the Agency at arriving at the  
11 best methodology for detection of this organism, and  
12 I can tell you it's really important when we're out  
13 there mucking around in people's product that we're  
14 using the best available methodologies and we  
15 appreciate your input on that.

16           FSIS plans to report back to you regarding  
17 their progress on these baselines at a future  
18 plenary session.

19           Although this is my first plenary session,  
20 I've had the opportunity to roll up my sleeves and  
21 work behind the scenes with the Executive Committee.  
22 And I've seen firsthand all that goes into planning

1 this Committee and, in particular, the work of Gerri  
2 Ransom and Evelyne and others, and we appreciate  
3 them greatly.

4           The sponsoring agencies of this Committee,  
5 which are the USDA's Food Safety and Inspection  
6 Service, Department of Health and Human Services'  
7 Food and Drug Administration and the Centers for  
8 Disease Control and Prevention, the U.S. Department  
9 of Commerce's National Marine Fisheries Service, and  
10 the U.S. Department of Defense's Veterinary Service  
11 Activity have all been developing a joint charge on  
12 the control of foodborne noroviruses and the  
13 Department of Defense charge on microbiological  
14 criteria for food being purchased for troops  
15 overseas.

16           Much input and thought from all these  
17 sponsoring agencies goes into these charges for the  
18 committee, with the goal that a maximum food safety  
19 benefit can be achieved through this work.

20           It's possible that a project of higher  
21 priority may end up being brought before the  
22 Committee next, but at this time it appears that a

1 norovirus charge and one on microbiological  
2 criterion will be brought before the Committee next.  
3 And we expect to release these charges soon, they're  
4 not quite done. We're still cooking the charges,  
5 right? (Laughter.) You'd hate that if a  
6 prosecuting attorney said that, wouldn't you?  
7 (Laughter.)

8 Another thing I've been able to witness  
9 firsthand in my short time working with the  
10 Committee is that it's an extremely hardworking and  
11 dedicated group of scientists. I went over to one  
12 of the subcommittee meetings at Aerospace, and it  
13 was like the smoke was just rolling out of the  
14 rooms. I mean, people were hard at it, two  
15 different groups. I stopped in to say hi, and they  
16 said hi and just kept on working.

17 I've seen these subcommittees at work, and  
18 one thing that's evident is that they provide  
19 valuable guidance and recommendations to our  
20 sponsoring agencies and to stakeholders on issues  
21 around the microbiological criterion of foods. And  
22 I'd like to thank each of you for your hard work,

1 particularly those of you who started early in the  
2 week and stayed through the rest of this week for  
3 this plenary. I really appreciate that.

4 At this time, I'd like to turn the floor  
5 over to Dr. Steven Sundlof, our Vice-Chair and  
6 Director of the Food and Drug Administration's  
7 Center for Food Safety and Applied Nutrition.  
8 Dr. Sundlof.

9 DR. SUNDLOF: Thank you, Scott, and good  
10 morning to everyone. Again, I'd like to welcome all  
11 of the members and the guests to this plenary  
12 session.

13 Like Dr. Hurd, I too, joined the NACMCF  
14 Executive Committee just this year and assumed the  
15 position of Vice-Chair when I became the Director of  
16 the Center for Food Safety and Applied Nutrition.  
17 I've known about the work of NACMCF for many, many  
18 years, but as a toxicologist, I figured I'd never be  
19 able to see the actual inner workings of it. So I  
20 have this great opportunity, and I do have great  
21 appreciation for what the Committee does.

22 I wanted to mention that this is the 2007



1 through 2009 committee. So it's coming to a close  
2 next year. It includes the people sitting at the  
3 table before us and has been an extremely productive  
4 session, and I'll just mention some of the  
5 accomplishments that have been achieved during this  
6 session.

7           There are two final adopted reports, and  
8 these include the report, Response to the Questions  
9 Posed by the Food and Drug Administration and the  
10 National Marine Fisheries Service Regarding the  
11 Determination of Cooking Parameters for Safe Seafood  
12 for Consumers. We've got to work on shorter names.  
13 (Laughter.) And the report, Assessment of Food as a  
14 Source of Exposure to *Mycobacterium avium* subspecies  
15 *paratuberculosis*, or MAP.

16           Also during the 2007 to 2009 term, which  
17 ends March 23rd, next year, two subcommittees have  
18 been very actively working and these include the  
19 subcommittee on Parameters for Inoculated  
20 Pack/Challenge Study Protocols and the subcommittee  
21 on Determination of the Most Appropriate  
22 Technologies for the FSIS to Adopt in Performing

1 Routine and Baseline Microbiological Analyses.

2           The Inoculated Pack group is developing  
3 recommendations for the appropriate criteria for  
4 inoculated pack/challenge studies to determine if a  
5 food requires time temperature control for food  
6 safety.       And the New Technologies group is  
7 developing guidance and recommendations for FSIS to  
8 consider on improving laboratory and in-plant  
9 testing methods for pathogens and indicator  
10 organisms.

11           And both of these subcommittees have been  
12 steadily working, and each anticipates having a  
13 draft final report for consideration for adoption by  
14 early next year, and you'll hear from those folks  
15 today.

16           This morning we will hear progress reports  
17 from Dr. Don Zink on the Inoculated Pack  
18 subcommittee and from Dr. Uday Dessai, Chair of the  
19 New Technologies subcommittee. And I just wanted to  
20 say that I do appreciate and am aware of the long  
21 hours that the Committee members have worked on  
22 these projects, and I seriously look forward to the

1 updates today.

2           NACMCF is a very dedicated group of people  
3 and again on behalf of the executive committee and  
4 the sponsoring Agencies, I want to express  
5 appreciation and thanks for your time and  
6 willingness to share your food safety expertise.

7           So at this time, then, I'd like to go  
8 around and have each of the members introduce  
9 themselves, and I'll start over on this end of the  
10 table with Dr. Cliver.

11           DR. CLIVER:     Dean Cliver, University of  
12 California, Davis, mostly. (Retired)

13           DR. MADDEN:     Joe Madden, Neogen  
14 Corporation, Lansing, Michigan.

15           DR. GLASS:     Kathy Glass, University of  
16 Wisconsin-Madison.

17           DR. BUNNING:    Kelly Bunning, FDA, Center  
18 for Food Safety and Applied Nutrition.

19           DR. HILL:     Walt Hill, formerly with FDA and  
20 FSIS, now with the Institute of Environmental  
21 Health.

22           MS. RUPLE:     Angela Ruple, National Marine

1 Fisheries Service.

2 DR. BROOKS: Dr. Scott Brooks, Yum! Brands.

3 DR. ENGELJOHN: Dan Engeljohn with Food  
4 Safety and Inspection Service.

5 DR. WESLEY: Irene Wesley, Ag Research  
6 Service, Ames, Iowa.

7 DR. TAUXE: Rob Tauxe, Centers for Disease  
8 Control and Prevention.

9 DR. HARRIS: Linda Harris, University of  
10 California, Davis.

11 DR. SCHAFFNER: Don Schaffner, Rutgers  
12 University.

13 MS. SCOTT: Jenny Scott, Grocery  
14 Manufacturers Association.

15 DR. ZINK: Don Zink, Food and Drug  
16 Administration.

17 DR. JAYKUS: Lee-Ann Jaykus, North Carolina  
18 State University.

19 DR. JAHNCKE: Mike Jahncke, Virginia Tech.

20 DR. KASE: Julie Kase, North Carolina State  
21 Laboratory of Public Health.

22 MS. KOWALCYK: Barbara Kowalcyk, Center for

1 Foodborne Illness, Research and Prevention.

2 COL STEVENSON: Tim Stevenson, DOD  
3 Veterinary Service Activity.

4 DR. JACKSON: LeeAnne Jackson, FDA, Center  
5 for Food Safety and Applied Nutrition, Liaison to  
6 the Executive Committee.

7 MS. RANSOM: Gerri Ransom, Food Safety and  
8 Inspection Service, NACMCF Executive Secretary.

9 DR. MBANDI: Evelyne Mbandi, Food Safety  
10 and Inspection Service.

11 DR. DESSAI: Uday Dessai, Food Safety  
12 Inspection Service.

13 DR. RASEKH: Jim Rasekh, FSIS, Food Safety  
14 and Inspection Service.

15 DR. CRAY: Bill, Cray, FSIS.

16 DR. LARSEN: Steve Larsen, National Pork  
17 Board.

18 MS. STOMBLER: Robin Stombler, Auburn Health  
19 Strategies.

20 Dr. HUFFMAN: Randall Huffman, AMI  
21 Foundation.

22 (Speakers away from microphone identify

1 themselves.)

2 DR. HURD: All right. Thank you, and  
3 welcome again.

4 At this time I'm going to turn it over to  
5 Gerri Ransom, our Executive Secretary, who will  
6 update you on some of the details. Gerri.

7 MS. RANSOM: Good morning, and I want to  
8 join Drs. Hurd and Sundlof in welcoming you today to  
9 our NACMCF session here, and as always, if you need  
10 any assistance, don't hesitate to come see Karen or  
11 I for that.

12 I want to start off with giving you a few  
13 updates on the committee. I'm happy to report that  
14 NACMCF was rechartered this year, June 5, 2008, and  
15 that gives us an active charter until June 5, 2010.  
16 So that's good news.

17 I want to provide some clarification for  
18 everybody regarding NACMCF membership terms. NACMCF  
19 membership terms do run for two years, and they may  
20 not necessarily run concurrent with the NACMCF  
21 charter, but current NACMCF membership runs, as  
22 we've already heard today a couple of times, runs

1 through March 23, 2009. So this Committee is most  
2 of the way through a two-year term, although we do  
3 have six months left, and I'll mention that NACMCF  
4 members may serve for up to three consecutive two-  
5 year terms.

6 Now, we have already begun work to start  
7 looking for a 2009-2011 committee. We did issue a  
8 Federal Register notice last month, soliciting  
9 nominations for membership for the 2009-2011 NACMCF  
10 term. That Federal Register notice remained open  
11 for a 30-day period and current eligible NACMCF  
12 members were able to reapply. The NACMCF Executive  
13 Committee is going to begin work in October and  
14 November to evaluate resumes that came in and make  
15 recommendations to the Secretary of Agriculture for  
16 the next Committee membership. Ultimately, the  
17 Secretary will be appointing 30 new members to serve  
18 for the next two-year term. We are targeting that a  
19 committee will be in place with little lag time  
20 after the current term expires March 23rd.

21 I have a quick status report update on the  
22 two adopted reports that Dr. Sundlof mentioned. The

1 report on the Seafood Cooking for Consumers, that  
2 did publish in the June 2008 Journal of Food  
3 Protection, and it also can be found on the FSIS  
4 website. The other document, the Assessment of Food  
5 as a Source of Exposure to *Mycobacterium avium*  
6 subspecies *paratuberculosis*, or MAP, we're currently  
7 reformatting that report for publication in the  
8 Journal of Food Protection, and it will soon be  
9 submitted to the journal and also we'll get it up on  
10 the website for you.

11           Next, I wanted to mention something new  
12 this year, and that is that we have been holding  
13 some subcommittee meetings by web meeting over the  
14 internet. Both of our active NACMCF subcommittees  
15 have been successful in utilizing this technology.  
16 Both groups have found this meeting mechanism to be  
17 very beneficial. Web meetings have allowed  
18 subcommittees to meet in between in-person meetings.  
19 We've been using these meetings essentially for  
20 editing documents. We run these meetings by having  
21 one person leading on a computer and the group going  
22 through edits on documents. We are not intending



1 that these web meetings replace in-person meetings  
2 but instead, as I say, a way of getting in-between  
3 meetings in. Generally web meetings have run about  
4 two hours.

5 Meeting notices for web meetings are posted  
6 on the FSIS website, and we are giving the public  
7 access to web meetings through a computer terminal  
8 at the Aerospace Building in D.C. We are very happy  
9 about the way the web meetings have been working  
10 out, and we hope that this will help NACMCF  
11 accomplish final documents sooner.

12 I wanted to move on and mention a few items  
13 of protocol for today's meeting. I think we've  
14 already figured it out, but when you want to speak,  
15 press the button and you'll see a red light. The  
16 court reporter will not get your comments if you do  
17 not speak into the microphone. Also please state  
18 your name and affiliation.

19 For any guests wishing to make public  
20 comment, we do ask that you please register at the  
21 front desk. Each registrant will have up to 10  
22 minutes for their remarks. I also want to point out

1 to our guests that there is a table out front with  
2 documents related to NACMCF. So feel free to pick  
3 those up if you're interested, and also if anyone  
4 would like to distribute materials, please see our  
5 folks at the front desk.

6           Okay. I just wanted to make a note  
7 regarding the next NACMCF plenary session with  
8 accompanying subcommittee meetings. We are looking  
9 at the week of March 16 through 20, 2009. I haven't  
10 heard from all of the Committee members yet, but  
11 most people have said these dates look okay. We  
12 were looking at having subcommittee meetings Monday  
13 through Thursday as we've done this week and a  
14 Friday plenary session.

15           One additional and final item I need to  
16 mention to you today is as soon as you're able,  
17 please fill out your travel expense sheets for your  
18 reimbursement for travel to this meeting and provide  
19 them to Karen Thomas-Sharp along with required  
20 receipts. We are at the end of our fiscal year. So  
21 it's critical that we receive your claims soon. If  
22 you have any questions on this or need assistance,

1 please let Karen know.

2           And with that, I wish you a good meeting  
3 today, and I'm going to turn the floor back to  
4 Dr. Hurd.

5           DR. HURD: All right. Thank you, Gerri.  
6 We'll begin by hearing from Dr. Don Zink, Chair of  
7 the subcommittee on Parameters for Inoculated  
8 Pack/Challenge Study Protocols, known as Inoculated  
9 Pack for short.

10           This subcommittee is nearing its completion  
11 of the work, and Don will provide us with some  
12 details.

13           DR. ZINK: Thank you. I'm glad she's doing  
14 that for me. My computer literacy is declining  
15 annually. I don't know why.

16           I'd like to begin by first of all thanking  
17 the --

18           DR. HURD: Don, can you bring the mike  
19 closer?

20           DR. ZINK: That's a little better. I'd  
21 like to begin by thanking the FSIS and FDA support  
22 staff that's helped the subcommittee with its work.

1 They've done an outstanding job, and actually the  
2 webinars (web meetings) that have been arranged have  
3 worked out extremely well. Any problems we've had  
4 with them have largely occurred when I had some role  
5 of responsibility over the thing (laughter), but  
6 it's been very, very helpful, and let us do a lot of  
7 good work, you know, very economically without  
8 having to have people travel here.

9 I want to begin by saying we had tried very  
10 hard to have this report finalized by this meeting.  
11 Several weeks ago, we realized that we simply had  
12 too many little details still outstanding. We have  
13 virtually a final draft for you, but it was just not  
14 sufficiently finished to give to the committee.

15 I think one of the revelations for me  
16 particularly is that we were called upon to take  
17 several specific product examples and then prove the  
18 value of what we had written using those examples.

19 Initially I thought, well, we'll be able to  
20 do that pretty quickly. That proved to be a  
21 difficult process, and it showed us where we had  
22 need to explain things more fully, need to change

1 things, to make it broadly applicable and, in order  
2 to do a good job on this, we've had to go back and  
3 make sure that we've got everything all self-  
4 consistent and tied together in the level of detail  
5 we wanted.

6 I should also say that I think we're going  
7 to be able to have this report to the full Committee  
8 for review and give you a good month or more to  
9 spend with the document reviewing it. That's one  
10 advantage I think of delaying issuing the final  
11 report because it will take time and some  
12 consideration to review.

13 It's a unique document in a way. What had  
14 been out there before in the literature on this  
15 subject fell into the category of research papers  
16 and monographs and components of larger reports like  
17 the IFT Report. I think you could probably write a  
18 textbook on this, and we knew that it was not our  
19 job to write a textbook. And early on we said,  
20 look, we're not going to try to take somebody who's  
21 a non-microbiologist and give them all of the  
22 knowledge, methods and skills in this document that

1 a non-microbiologist could do this.

2           On the other hand, we realized that part of  
3 our audience, our state and federal regulatory  
4 officials and members of industry, may not have the  
5 expertise of a microbiologist. So we've tried to  
6 strike a balance and point out in the document and  
7 in numerous places that it's critical to involve a  
8 microbiologist. And we have tried to include the  
9 elements in the report that a non-microbiologist  
10 could use to judge whether or not a study was  
11 appropriately designed and adequately reported.

12           Apart from that, you cannot, in the  
13 subcommittee's opinion, get away from the  
14 involvement of an expert food microbiologist.

15           What I'd like to do now is just briefly go  
16 through and talk about some points of the document.

17           By way of background, the genesis of this  
18 was primarily related to food service  
19 establishments. One of the most common areas where  
20 inoculated pack and challenge study protocols are  
21 run is in the food service area, where an  
22 establishment wishes to exempt a product from time

1 temperature control for safety and must prove to  
2 themselves and to regulatory agencies that pathogens  
3 do not grow in that product to any significant  
4 extent during the time period and conditions under  
5 which they wish to hold a product.

6           These kinds of studies are done and  
7 submitted to local and state and federal agencies  
8 virtually every day. Oftentimes, these agencies  
9 struggle with how to evaluate a study, whether or  
10 not it was adequately done, the proper organisms  
11 were used, the proper methods were used and, you  
12 know, whether or not the report is sufficiently  
13 complete. And we think this report is intended to  
14 be a guide in how to do all of that.

15           The subcommittee realized that undertaking  
16 this task, it was more than just food service  
17 establishments and their challenge studies that  
18 needed to be addressed. It's very common. I know  
19 those here who represent USDA and FDA will know that  
20 they often see challenge studies to prove the  
21 adequacy of a process or the lethality of a process,  
22 and shelf life studies to prove the shelf life of

1 perishable food. So what we have done is broadened  
2 the scope of it. It was a relatively easy thing to  
3 do if you were going to write a paper on inoculated  
4 pack and challenge study protocols to make it  
5 inclusive of those other purposes as well. And  
6 that's what we have done.

7           The 2005 Food Code introduced the concept  
8 of the interaction of pH and water activity in  
9 determining whether or not a food was potentially  
10 hazardous. This table is included in that Food Code  
11 and prior to that, the interaction of these  
12 variables had not been considered. Yet, we've known  
13 for years that multiple factors interact to affect  
14 the stability of a food. And the judgment was that  
15 pH and water activity are two of the most easily  
16 measured and significant interactions that can  
17 affect the microbiological stability of a food. For  
18 that reason, it was put into the 2005 Food Code.

19           I suppose the long term vision would be at  
20 sometime in the future, perhaps distant future, we  
21 will have good microbiological growth and survival  
22 models that are user-friendly and highly validated



1 and that we could eventually go beyond these two  
2 simple factors.

3 But one of the things we've done in the  
4 subcommittee is extend this table, if you will,  
5 beyond ranges and at a level of detail that were not  
6 originally included in that Food Code to make it  
7 more useful.

8 The charge to the committee, first of all,  
9 was to identify what are the appropriate criteria,  
10 and the answer to this question, one, is without  
11 doubt the bulk of the report. One of the most  
12 difficult things is how do you select the organism  
13 that you're going to use for a challenge study, and  
14 the approach the Committee has taken is using the  
15 aforementioned expanded pH and water activity table.  
16 We included a table that lists a superset of all of  
17 the microorganisms of public health significance  
18 that could conceivably survive or grow under a given  
19 set of pH and water activity conditions.

20 Certainly you don't have to worry about  
21 *Vibrio parahaemolyticus* in meat loaf perhaps, but  
22 nonetheless, we started out with a superset of these

1 microorganisms and then used, I'll call it a  
2 decision tree for lack of a better word for the  
3 moment, but then used the decision tree to show how  
4 to weed out organisms that you didn't need to worry  
5 about. Obviously if it's not a seafood item, you  
6 don't have to worry about *Vibrio parahaemolyticus*  
7 and *Vibrio vulnificus*. And if you encounter a  
8 situation where *Listeria monocytogenes* is the most  
9 heat resistant or some other organism is the most  
10 capable of growth, then you can focus your study on  
11 that organism.

12           So I'm very pleased with the solutions the  
13 subcommittee came up with for how to answer the  
14 difficult question of what organisms do I use and  
15 which ones do I not need to worry about.

16           We gave a pretty hard look at mathematical  
17 models, and there's a huge variety of models out  
18 there. Some of them are widely used and to a fair  
19 degree, user-friendly, like USDA's pathogen modeling  
20 program, widely used on the web. Another, the  
21 ComBase model and database is widely used. These  
22 are probably the most user-friendly models out

1 there, but they're certainly not for the amateur.  
2 And there are a great many models out there that are  
3 less user-friendly. Some of them are simply Excel  
4 spreadsheets, but we've tried to be fairly  
5 comprehensive in reviewing these models and their  
6 use and their advantages and disadvantages.

7           There are some excellent models out there  
8 for *Clostridium perfringens* that are probably more  
9 useful for example than the pathogen modeling  
10 program, and we try to point this out.

11           We discussed, of course, the limitations  
12 for applying results based on inoculated packs, and  
13 we review in a bit more detail the previous  
14 monographs and reports that have been written on  
15 inoculated pack and challenge study protocols.  
16 There are some excellent materials out there and for  
17 a variety of reasons, each of them has some  
18 limitations.

19           The decision tree was interesting. I  
20 guess, and I was probably the genesis for this idea,  
21 the originator of it, and I admit that because it  
22 didn't work. (Laughter.) The idea that you could

1 use a dichotomous decision tree to, say, ask a  
2 question and depending on the answer, it will take  
3 you down the path and the tree that would lead you  
4 ultimately to some clever experimental design for an  
5 inoculated pack or challenge study, that didn't  
6 work. Despite encouragement and best efforts, we  
7 couldn't figure out a way to adapt this to kind of a  
8 dichotomous decision tree.

9           Instead, what we developed is a set of  
10 questions that must be answered. So what you'll see  
11 when you review this in the examples is you'll see  
12 about a six-page list of questions, there's a lot of  
13 space between the questions that have to be asked  
14 about how a particular food is to be used and  
15 processed and stored, et cetera, packaged. And  
16 essentially if you go through this list of questions  
17 and correctly answer them, then the study design  
18 will be self-evident, we think, to someone with  
19 adequate food microbiology experience. Like I said,  
20 you can't take a certain amount of food microbiology  
21 expertise out of the equation.

22           The examples, they've proved a little

1 difficult to work through because we want one tool  
2 that will work for just about every food. And so  
3 you'll go through one example and find that you need  
4 to do something and then go back and harmonize it  
5 with the other food examples, and that's the process  
6 we're in now is really fine-tuning these examples  
7 and then going back and making changes to the body  
8 of the report to reflect what we're doing in these  
9 examples.

10           At any rate, I expect that with no more  
11 than a few of these webinar style meetings, we  
12 should be able to finish it out, check the validity  
13 of all of our references and hopefully have this  
14 report to you I'm thinking, what, shortly after the  
15 New Year, you know, would be a reasonable time.

16           And also in there, this will probably get  
17 everybody's juices going, we tried to define what  
18 level of expertise a laboratory and an individual  
19 had to have. So we also wondered whether any of us  
20 qualified. (Laughter.)

21           If anyone has any questions, I'll take  
22 them. Dean.

1 DR. CLIVER: Is there a perceived  
2 responsibility on the part of a food service  
3 organization to anticipate temperature of use when  
4 the customer walks out of the shop with the product?

5 DR. ZINK: Yes. We make a point of that in  
6 there.

7 DR. CLIVER: So that's built into the --

8 DR. ZINK: We ask how is this to be  
9 evaluated? Now, one of the interesting  
10 philosophical questions is, for example, we use two  
11 figures, one and a half time shelf life and one and  
12 a quarter time shelf life.

13 One and a half time shelf life is  
14 appropriate for short shelf life products. If you  
15 have 10-day shelf life product, you know, should it  
16 not last at least 15 days to account for potential  
17 abuse, et cetera?

18 If you have a longer shelf life product,  
19 such as a 10-month product, shouldn't it perhaps  
20 last at least a bit longer than 12 months, for  
21 example?

22 So we use those, but the question becomes

1 is that our fudge factor for how the consumer might  
2 store it and use it, or should you factor in how the  
3 consumer is going to handle it, how long they're  
4 going to keep it, how they're going to store it, and  
5 then apply your multiplier? And I think we're  
6 leading towards the latter.

7 In our questionnaire document, if you will,  
8 we asked, all right, you're going to sell this to a  
9 customer. How long is it going to be in the hands  
10 of the customer? You know, if you're talking an  
11 eight-hour shelf life, is the customer expected to  
12 handle it for two hours? That leaves us with 10  
13 hours that it could be out of time temperature  
14 control, and shouldn't we then do a study for 1 1/2  
15 times the 10 hours rather than 1 1/2 times the 8  
16 hours?

17 DR. CLIVER: Since 1995, I've been trying  
18 to work in the land of litigation, California, and  
19 if someone walks out of the store with their  
20 leftovers and leaves it in the back window of the  
21 car --

22 DR. ZINK: Right.

1 DR. CLIVER: -- and then they get sick,  
2 they will sue the food service establishment. I  
3 don't see any way to model that.

4 DR. ZINK: Right. We asked for how the  
5 consumers reasonably expected to do this, and I  
6 think that while you can't model the damn fool, you  
7 have to take into account what a number of people  
8 are likely to do. Any more questions?

9 (No response.)

10 DR. ZINK: Okay. With that, Gerri.

11 DR. HURD: Thank you, Don. There certainly  
12 is time for any more questions, comments about that.  
13 So we can't model the damn fool, but can we model  
14 the fool? (Laughter.)

15 All right. Well, I think then we'll move  
16 on, unless anybody's ready for a break. We just got  
17 started.

18 The second report we'll hear is from  
19 Dr. Uday Dessai on the work from the Subcommittee on  
20 Determination of the Most Appropriate Technologies  
21 for the FSIS to Adopt in Performing Routine and  
22 Baseline Microbiological Analyses. So we're taking



1 votes for a shortened name on that one. You guys  
2 probably have already developed your handle for it.

3 DR. DESSAI: We fondly call it NTSC, New  
4 Technologies Subcommittee. So New Technologies is  
5 the charge.

6 DR. HURD: New Technologies.

7 DR. DESSAI: Yeah.

8 DR. HURD: Thank you.

9 DR. DESSAI: First of all, I want to get  
10 your attention to Tab 7 in your book. (Laughter.)

11 UNIDENTIFIED SPEAKER: There is no Tab 7.

12 DR. DESSAI: What's that?

13 UNIDENTIFIED SPEAKER: There is no Tab 7.

14 DR. DESSAI: Oh, 6. Tab 6. Tab 7, not  
15 quite yet.

16 Well, on the first page there, you see the  
17 members, and I want to really appreciate the  
18 membership of this subcommittee for all their hard  
19 work and given the nature of the charge, the title  
20 itself is a mouthful, and the content of the charge  
21 is humongous.

22 So to capture the charge in its entirety,

1 both the expanse and the depth of it, the  
2 subcommittee met several times to really digest the  
3 charge and then kind of tease it apart and see what  
4 it is that the subcommittee would really address.  
5 And the subcommittee has had meeting after meeting  
6 and has worked very, very hard.

7           At this point, we have kind of a draft  
8 outline, which is, I should say is generally  
9 acceptable to the subcommittee, and the content is  
10 being drafted, reorganized, and different items are  
11 being addressed by the subcommittee and the thought  
12 is that this would result in answering all the  
13 questions.

14           Now, we had four members who could not  
15 attend the subcommittee meeting this time, but they  
16 did submit their assignments, and we had three  
17 members from the public who attended the meeting  
18 also.

19           Now, I'll draw your attention to the  
20 charge, and the charge questions which is the next  
21 page. I'm not going to read all the charge  
22 questions, but the charge was generated by FSIS,

1 keeping in mind that there's much more we can glean  
2 using new technology from the samples that we take,  
3 whether those are regulatory samples or baseline  
4 samples.

5           And what is the technology that would be  
6 adequate or appropriate for FSIS to make better  
7 informed decisions which will provide data for our  
8 risk assessments? And that was the background for  
9 developing the charge.

10           The charge was captured in the text as well  
11 as six questions which are laid out there. The  
12 first question focuses on appropriate technologies  
13 out of the whole universe of technologies that FSIS  
14 might be able to focus on.

15           The second question focuses on the  
16 advantages and the disadvantages of these available  
17 technologies.

18           The third question focuses on what  
19 technologies can be used such that you can maybe  
20 change the sampling part of it, change the  
21 preparatory part of it for the sample, something  
22 that will be done much before you start analyzing

1 the sample. Is there something that could be  
2 suggested by the subcommittee out of all the  
3 technologies available which FSIS can explore so  
4 that the target is presented to a method and is  
5 amplified to a large extent?

6 The fourth charge was a little more  
7 specific about SNP type technology to be used in  
8 screening.

9 The fifth charge was about what  
10 considerations should FSIS have in selecting newer  
11 technologies.

12 And the last charge was about how to make  
13 the newer technologies a reality within FSIS.

14 So that's just a summary of all the six  
15 questions that were asked of the subcommittee or the  
16 committee. And if you look at the verbiage in those  
17 questions, each question has different dimensions to  
18 it. So what the subcommittee decided to do was  
19 basically collect a lot of information through  
20 experts. So the first few sessions, maybe four or  
21 five sessions, we had a number of experts in the  
22 field who were invited on different specialty areas.

1 So the subcommittee learned a lot about what is out  
2 there, what is the cutting edge technology which is  
3 available.

4 Then the subcommittee decided given all  
5 these technologies out there, what is doable in the  
6 near future, what is promising and what is a long  
7 shot.

8 After deciding that, the subcommittee began  
9 to work on the details of all the aspects, and if  
10 you look at the charge, the charge actually focuses  
11 on page 2 on the charge questions, says please  
12 consider both laboratory and in-plant users for each  
13 of the following. And like Dr. Zink said, we could  
14 probably write volumes after volumes on each one of  
15 these charges, and that's what we were leading to.

16 But, at this point, after so many meetings,  
17 we have condensed the content, and it's been  
18 organized in a meaningful manner into seven or eight  
19 categories that address the charge but there might  
20 be certain overlaps. For instance, one category  
21 might answer charge 1, question number 1, 2 and 3  
22 and so on. And we're not ready to discuss that in

1 today's meeting, but probably by the next meeting,  
2 we will get quite there, but we will have the  
3 organizational structure and maybe summary content  
4 under each of those categories.

5 For having said that, let me go to the  
6 summary of what was discussed. This is a summary of  
7 what we really discussed over two days, and many  
8 folks put a lot of overtime in doing what they did.

9 We identified and summarized relevant  
10 methods in the context of FSIS testing, and most of  
11 the recommendations will come, keeping in mind what  
12 FSIS does and also keeping in mind what the other  
13 agencies do.

14 We were able to align the work more to the  
15 public health objectives and identify gaps where we  
16 need to do a little more work.

17 One of the strongest things that came about  
18 was developing a process rather than being  
19 prescriptive about use this method in this fashion.  
20 The subcommittee thought, providing FSIS with a  
21 process to select among the technologies, and  
22 technologies are going to be developing. There will

1 be newer technologies coming down the road. So a  
2 process is better than being prescriptive. So the  
3 process looks pretty good, and it's almost in a  
4 final form.

5 And a number of details were discussed  
6 which we will not talk about at this point, but  
7 we'll certainly talk about where we are and when we  
8 intend to conclude this charge.

9 The committee's term ends on 3/23 which is  
10 at the end there. So we think at the pace that we  
11 are going, we will be able to get the charge  
12 questions completed and report fully developed for  
13 the full Committee to look at before that.

14 Now, we are at 9/24 in person, and our  
15 recent two-day meeting was very successful, like I  
16 said, and then we will be doing that net meeting,  
17 and maybe a couple of more net meetings we haven't  
18 shown here, depending on the progress, and in  
19 person, there will be one meeting in between which  
20 is in January sometime, and then the second meeting  
21 in person which is about 3/16, 3/17, we think we  
22 will have the product almost completed then. I

1 think that's it for now.

2 DR. HURD: Okay. Thank you, Dr. Dessai.  
3 Any questions, comments? I have one. Don't leave.

4 Can you -- back to the slide just before  
5 the timeline if you don't mind.

6 DR. DESSAI: Yes.

7 DR. HURD: You mentioned you wanted to be  
8 sure either the technologies or the methods used  
9 were aligned with your public health objectives.  
10 Can you give us an example of what you meant and how  
11 you kind of thought through that question with an  
12 example methodology?

13 DR. DESSAI: Okay. Rather what I would do  
14 is we were grouped and regrouped into different  
15 pieces of this big part. So I had the group which  
16 worked the process out, and they're putting in a lot  
17 of time. So I would say either Barb or Angela, do  
18 you guys want to take this? There was a lot of  
19 discussion on food safety objectives and how to get  
20 that processed.

21 MS. KOWALCYK: Barbara Kowalcyk, CFI. If I  
22 understand the question correctly, basically the



1 subcommittee had decided that it would really be  
2 difficult to go through and outline all the possible  
3 methodologies. So we decided that it was good to  
4 come up with a process to recommend to FSIS of how  
5 they would go about adopting a new technology, and  
6 just the starting point would be what are your  
7 public health objectives and that should therefore  
8 then drive what your testing objectives would be  
9 which would then drive what the criteria for  
10 selecting methodologies would be. Does that answer  
11 your question?

12 DR. HURD: So, for example, if our  
13 objective is to reduce the *Salmonella* prevalence on  
14 carcasses by X percent, or even further, to meet the  
15 Healthy People 2020 Goal, that's what you mean?

16 MS. KOWALCYK: Well, you would go back  
17 actually to the Healthy People 2020. By the time  
18 this would be adopted, you'd be looking at the 2020  
19 Healthy People Goals and look at that as what is  
20 your public health goal in terms of FSIS' public  
21 health goals, and then that would then drive your  
22 testing objectives and what objectives you feel

1 would help you meet those goals.

2 DR. HURD: Okay.

3 DR. DESSAI: I would also have Dr. Walt  
4 Hill say something about this because he's the one  
5 who got the public health objectives in the  
6 forefront of every meeting saying, "we need to tie  
7 our work to public health objectives." Dr. Hill.

8 DR. HILL: Thank you. Walt Hill from IEH.  
9 In order to make sure that your testing program is  
10 going to give you the kind of data that you can  
11 actually use, you have to understand fully what that  
12 data is going to be used for, and the only way you  
13 can figure that out I think is to work from the top  
14 down. What is your public health objective? What  
15 is your food safety objective? What levels of  
16 contamination are you looking for in products? Do  
17 you have a method that can detect that level of  
18 contamination? Do you have a sampling plan that can  
19 give you the statistical power that will allow you  
20 to have confidence that you've reached those  
21 particular levels?

22 So if you're looking for small changes,

1 then you need a sensitive method and you need a  
2 strong statistical plan. If you're looking for  
3 grosser changes, then perhaps you can get away with  
4 less sensitivity and a smaller sampling plan.

5 So these whole things are coordinated with  
6 each other, and I think that the point we wanted to  
7 emphasize was that the technology in the laboratory  
8 is not isolated from the rest of the Agency's  
9 mission. All of these things have to be coordinated  
10 together.

11 DR. HURD: That's excellent. That's better  
12 than the answer I was hoping for because you talked  
13 about keeping in mind the sampling plan that's going  
14 to be used as well which is very helpful. I mean,  
15 the reason I, not the reason I brought it up, but  
16 part of what occurred to me is there's a tendency to  
17 say, oh, here's a fancy new test, let's use it. And  
18 for FSIS' purposes, fancier may not always be  
19 better, and so I really appreciate that sensitivity  
20 to the objective and the context in which we're  
21 carrying out that objective. So that's very  
22 positive. Thank you.

1 Yes, sir.

2 DR. HILL: I just wanted to maybe make this  
3 a little bit simpler and emphasize a point you just  
4 made. And that is the concept, if you have a brand  
5 new hammer, everything looks like a nail. And  
6 instead of having the programs technology driven,  
7 have them public health and policy driven from the  
8 top down rather than bottom up.

9 DR. DESSAI: And just to add to that, while  
10 the box for public health objectives is an empty  
11 box, FSIS can decide as in when and what they should  
12 have as their objective at that point in time. So  
13 those are not drafted or decided.

14 However, those objectives will also depend  
15 on whether we want those objectives close to our  
16 testing or if they should be far broader. And if we  
17 make them a very high level and broad, then we have  
18 to be cognizant that at the endpoint where FSIS does  
19 not regulate, to the point of consumption, that's  
20 pretty much a black box where data isn't even  
21 available and the uncertainty is extremely high. So  
22 getting those public health objectives, we need to

1 take into account how much uncertainty there is and  
2 how much can we achieve those goals in terms of  
3 numbers if you will.

4 DR. HURD: More questions? I have another  
5 one, and that's okay.

6 In relation to the public health goals and  
7 whatnot, have you had your Committee look at the  
8 FSIS strategic plan? And if you haven't, I  
9 encourage you to look at it and ask the question,  
10 does this plan give you enough information to do  
11 what you need to do? You know, is there enough  
12 specificity in that because I know a lot of people  
13 worked really hard, and it's a five-year plan, to  
14 see if, indeed, it will inform the process. Is that  
15 enough information to do that bullet, align it with  
16 the public health objectives? If it is, great. If  
17 it's not, then we'll have to rethink how to get that  
18 information to your process.

19 DR. DESSAI: Thank you.

20 DR. HURD: Okay. If there's no more  
21 questions for that subcommittee, do you want to  
22 continue on or take a break? All in favor of a

1 break? One. That's good enough. Fifteen-minute  
2 break. We have lots of time, lots of food. The  
3 food came late. So take a break. It's not a vote,  
4 I know. (Laughter.) But, you know, I have respect  
5 for those kind of things.

6 (Off the record.)

7 (On the record.)

8 DR. HURD: All right. Welcome back. I  
9 thought it would be worthwhile for us to have a  
10 break, and I was right, wasn't I? Everyone had a  
11 good time talking. It was hard to come back in  
12 here. So -- plus we had lots of good food that I  
13 didn't want to go to waste up there.

14 This is now the time for public comment,  
15 and I know there's a lot to be said. I see a lot of  
16 conversation going on out there. No one has signed  
17 up for public comment, but you are still welcome to  
18 do that, correct? But please do state your name and  
19 affiliation so our record keepers have that  
20 information down.

21 So I'll open the floor, and also these  
22 folks can say things, too, if they want. All right.

1 Please, somebody say something. I could pick on my  
2 former graduate student, Steven Larsen back there,  
3 but I won't.

4 Okay. This is also an opportunity because  
5 these two Committee reports are not done, the cement  
6 is still wet. So you can provide some input to  
7 them, that sort of thing, but if not, we will -- all  
8 right. You folks are all too nice.

9 (No response.)

10 DR. HURD: So we'll wrap it up then and  
11 particularly say thank you to those members of the  
12 subcommittees who stayed through this week, those  
13 who worked hard pushing a deadline, trying to get it  
14 done. We will have a very busy plenary next time.  
15 We're thinking tentatively in the March area,  
16 correct, Gerri?

17 MS. RANSOM: Yes.

18 DR. HURD: I think we'll have two new  
19 charges to look at and at least two reports to look  
20 at by then. So with that, I will thank you again  
21 and, Steve, do you have anything else to say?

22 DR. SUNDLOF: To just repeat what you said,

1 Scott, that we really again do appreciate all the  
2 hard work that's gone into these two subcommittees  
3 that will be reporting next spring, and again, also  
4 thanks for staying.

5 DR. HURD: All right. So I officially call  
6 the meeting adjourned. Thank you.

7 (Whereupon, at 11:00 a.m., the meeting was  
8 concluded.)