

UNITED STATES DEPARTMENT OF AGRICULTURE

+ + + + +

FOOD SAFETY AND INSPECTION SERVICE

+ + + + +

HACCP SYSTEMS VALIDATION PUBLIC MEETING

+ + + + +

June 25, 2013

8:30 a.m.

Patriots Plaza III Auditorium
Washington, DCCHAIR: MR. ALFRED V. ALMANZA
Administrator, FSISMODERATOR: GREG DINAPOLI
FSIS Office of Public Affairs and
Consumer Education

PRESIDING OFFICIALS:

DR. BILL SHAW
Director
Risk, Innovation & Management StaffDR. MERYL SILVERMAN
Food Technologist
Risk, Innovation & Management StaffPHILIP DERFLER
FSIS Deputy Administrator**Free State Reporting, Inc.**
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

ALSO PARTICIPATING:

MR. TONY CORBO
Food & Water Watch

MR. SCOTT GOLTRY
American Meat Institute

I-N-D-E-X

<u>AGENDA ITEM</u>	<u>PAGE</u>
Welcome	4
Greg DiNapoli, Moderator FSIS Office of Public Affairs and Consumer Education	
Opening Remarks	4
Alfred V. Almanza, Administrator Food Safety and Inspection Service	
Review of the Compliance Guide	5
Dr. Bill Shaw, Director Risk, Innovation & Management Staff	
Updates to the Compliance Guide	9
Dr. Meryl Silverman, Food Technologist Risk, Innovation & Management Staff	
Public Comments	
Scott Goltry American Meat Institute	23
Tony Corbo Food & Water Watch	24
Closing Remarks	29
Philip Derfler FSIS Deputy Administrator	

1 P-R-O-C-E-E-D-I-N-G-S

2 (8:30 a.m.)

3 MR. DINAPOLI: We'll get started in just a
4 minute here, everyone take a seat, and good morning to
5 those of you on the phone calling into the meeting.
6 Can you all hear me? If I don't lean.

7 Good morning. I'm Greg DiNapoli with the
8 FSIS Office of Public Affairs and Consumer Education.
9 This morning's meeting on HACCP Systems Validation.
10 We'll be talking about the updates to the compliance
11 guide regarding HACCP Systems Validation. So without
12 further ado, I'm going to ask our Administrator, Al
13 Almanza, to come on up and give opening remarks.

14 MR. ALMANZA: Okay. Well, Greg was short
15 and I'm going to be shorter. So I want to get on with
16 this.

17 So, this has been a long, long process. In
18 fact, I was trying to remember when we had our first
19 public meeting, so it's been awhile. But I think that
20 this is valuable to have everyone's input and then
21 certainly, to hear everyone's comments and to be able
22 to record them.

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 So, with that, I'm going to go ahead and
2 kick it off and let Bill Shaw, our Director of Risk,
3 Innovation & Management Staff take the podium and let
4 him get down to his business and brief you all.

5 Bill?

6 DR. SHAW: So, good morning, everyone. Yes.
7 And as Mr. Almanza said, this has been an interesting
8 journey we've been on and I think it's been a really
9 educational journey for all of us and talking through
10 these issues around HACCP and HACCP validation. And
11 so I'm going to -- okay. I'm not being able to sort
12 of -- this is like frozen up. Where is the --

13 (Side conversation.)

14 OPERATOR: Folks on the phone, one moment.

15 DR. SHAW: All right. Sorry. We're having
16 -- I think we've got it. I think we've got it. Here
17 we go.

18 So I just wanted to review a little bit
19 about the HACCP final rule. As you all know, that
20 that was published on July 25th of 1996 and the
21 validation regulatory language is contained in 9 CFR
22 417.4, which is the section entitled Validation,

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 Verification, and Reassessment, which has been part of
2 our interesting journey through this is the difference
3 between those three. And I think we've had good
4 discussion over the last couple years as this process
5 has moved.

6 And the -- and that final rule in the
7 preamble also included the Agency's position on how
8 the regulatory language should be implemented, which
9 has guided our guidance document development. And
10 because both of these pieces of information guide our
11 implementation policies, both the language in the
12 preamble and then also, the exact regulatory language.

13 And as we've discussed previously, FSIS
14 re-determined from its -- from our HACCP verification
15 activities that many establishments had not properly
16 validated their systems. And that's not to say that
17 that's everyone. It's -- it -- but there was a -- we
18 determined that there was a sizable section of the
19 industry that had not properly validated their HACCP
20 system and it was sort of a run the gamut, the gray
21 area -- like, it wasn't black or white.

22 There were establishments that were -- that

1 we found that were, you know, completely validated,
2 those that had very little validation and then there
3 were many establishments in between. So there was not
4 one size fits all, you know, positions for validation.

5 And what we were seeing is inadequate
6 validation had been linked to food safety problems,
7 and some of those examples had been the chicken pot
8 pie outbreaks in 2007, the Lebanon bologna outbreak of
9 2011, and various analyses of non-0157 policies in
10 2012 that we had dealt with in the beef industry and,
11 particularly, the veal industry.

12 So, this is sort of a timeline of our
13 compliance guide development. In March of 2010 was
14 the initial draft that was posted and received a
15 little over 2,000 comments. In June of 2010 though,
16 we had our first public meeting to discuss these
17 issues, and in September of 2011, we also took a
18 second draft of the compliance guide to our advisory
19 committee for meat and poultry inspection and gained
20 some valuable feedback from that group.

21 And in response to that -- to the comments
22 that we -- and guidance we received from NACMPI, we

1 issued a third draft in 2012 and that also had a
2 request for comment. And in that third draft, we
3 received 51 comments and those comments were received
4 from, you know, small and very small meat and poultry
5 processors, trade associations representing animal
6 producers, small business owners, corporations, state
7 departments of agriculture and consumer advocacy
8 organizations. So it ran the gamut.

9 And so in response to those 51 comments, we
10 have made some additional revisions, which I think
11 have been, you know, really helpful in moving the
12 process forward. I do want to thank all of those that
13 commented. We received a lot of good information, a
14 lot of -- that has helped this process move forward
15 over the time period. And this last draft that has
16 been released clarifies some previous issues that we
17 received comments on and we will -- and we're having
18 this meeting today on the 25th and our final public
19 meeting.

20 So our next steps, we will follow today's
21 public meeting and we will accept any minor additional
22 comments through July 25, 2013. We will address those

1 comments as necessary. We do believe, as Mr. Almanza
2 said, this has been a process that we've worked
3 through, so we don't anticipate any significant or
4 major comments at this point.

5 And so with that, that's sort of our
6 background and I'm going to -- we're going to hold
7 questions until the end and I'm going to hand it over
8 to Dr. Meryl Silverman, who has been our lead writer
9 and she is going to go through some of the changes
10 that we've made through the comment period and sort of
11 walk you through them.

12 DR. SILVERMAN: So as Bill said, I'm just
13 going to go through the updates to the compliance
14 guidelines since the last draft.

15 UNIDENTIFIED SPEAKER: Hold on one second.

16 All right.

17 DR. SILVERMAN: Okay. Great. So, as we
18 have talked about, we've had many iterations of the
19 guidance document to clarify some questions that have
20 come up, but throughout this whole process, the two
21 elements of validation have remained the same: design
22 and execution.

1 So in terms of design, we're talking about
2 the scientific or technical support for the HACCP
3 system design. And then in terms of execution, we're
4 talking about that initial practical in-plant
5 demonstration data that's supporting that the HACCP
6 system is functioning as intended. But as Bill said,
7 throughout this whole process, we've been making
8 clarifications in response to the public comments, but
9 again, the main concepts have really stayed the same.

10 So in this last draft, the few areas of
11 clarification that we've made have been around those
12 two elements of validation, the types of scientific
13 support establishments may use, applying and matching
14 scientific support to the actual process, how to
15 select a product within a HACCP product -- within a
16 HACCP category to gather execution data for, the
17 difference between initial validation and ongoing
18 verification, and then the need for guidance in this
19 area.

20 So, in terms of the two elements, again, the
21 principles of design and execution have stayed the
22 same, but what we've tried to do in this most recent

1 draft is summarize the elements into six succinct
2 steps to give establishments, you know, a quick
3 understanding of what they need to do in order to
4 validate their systems. And we've also added this
5 graphic to illustrate the two elements. And you'll
6 see in our guidance documents, we're trying to add
7 more graphics to help with the usability and
8 readability of the information.

9 So, in terms of the first element, again,
10 the key steps are summarized. So to meet the first
11 element of validation, establishments should identify
12 supporting documentation that closely matches their
13 process, identify supporting documentation that
14 provides adequate support for the hazard identified in
15 the hazard analysis to support that it's controlled,
16 and then identify the critical operational parameters
17 from that supporting documentation.

18 In terms of the types of support, as I
19 mentioned, we've added information in response to
20 public comment about using pathogen modeling programs
21 as a type of scientific support. We've also added
22 additional examples of what would be considered

1 incomplete scientific support. So we talk about just
2 referencing no objection letters or support from
3 Directive 7120.1 about new technologies because we
4 know not all the critical operational parameters are
5 contained in those documents, so we'd expect
6 additional support for the other critical parameters
7 of the process.

8 We also talk about cases where supporting
9 documentation should contain microbiological data for
10 the same hazard identified in the hazard analysis. An
11 example we give is interventions at slaughter. So, if
12 there's an intervention for beef processing and it's
13 to address *E. coli* O157:H7, we wouldn't expect
14 scientific support for *Salmonella* in pork. We're
15 looking for a match between the pathogen and the
16 hazard analysis and the pathogen in the scientific
17 support. And I'll talk about later, about cases where
18 we can use one organism as an indicator for others.

19 In terms of identifying scientific support
20 documents, we've added an additional section to
21 explain how to identify scientific support that
22 matches the process and what are the key

1 characteristics that should match between the two.
2 And we've also added ways in which an establishment
3 can identify supporting documentation that adequately
4 addresses the level of hazard or reduction to be
5 achieved in the process.

6 In terms of matching the scientific support
7 to the actual process, we give new examples for
8 biological, physical, and chemical hazards which would
9 aid establishments and, again, ensuring that
10 scientific support closely matches the process. We
11 had a lot of comments asking for clarification in that
12 area. And for biological hazards, we've discussed and
13 clarified the limited cases where microbiological data
14 for one pathogen could be used to support adequate
15 reduction in another pathogen.

16 So the examples we give are related to
17 non-O157. We don't expect controls for non-O157 to be
18 different than those for *E. coli* O157:H7, so
19 scientific support for one pathogen may be used for --
20 to support reduction or control.

21 And then *Salmonella* in ready-to-eat products
22 is an area where we got a lot of comments about. We

1 know that *Salmonella* is more heat resistant than other
2 pathogens, so for ready-to-eat products, we discuss
3 how *Salmonella* -- reduction in *Salmonella* can be used
4 to support that other pathogens, like *E. coli* O157:H7,
5 are controlled. We don't expect establishments to
6 have additional scientific support showing *E. coli*
7 O157:H7 is controlled if their support was for
8 *Salmonella*. And that's specifically for lethality
9 treatment in ready-to-eat products.

10 And we've actually added a key question to
11 really address this issue because we did have comments
12 around using Appendix A. We know Appendix A was
13 designed for *Salmonella*, so we got questions about
14 whether that could be used to support that other
15 pathogens, like *Listeria monocytogenes* and *E. coli*
16 O157:H7, are controlled. And again, the answer is
17 yes. For a heat treatment, those types of lethality
18 processes, we know since *Salmonella* is the most heat
19 resistant of those pathogens, that scientific support
20 can be used.

21 We also got questions to clarify the use of
22 data around indicator or surrogate organisms, so the

1 guidance document also clarifies when it's appropriate
2 to use scientific support containing data for
3 indicator or surrogate organisms. And specifically,
4 we discuss how, if similar and consistent reduction or
5 control can be established between the indicator and
6 the pathogen identified in the hazard analysis, then
7 that support can be used.

8 And we give an example that's actually from
9 the jerky compliance guideline about some research
10 from the University of Wisconsin in which the
11 researchers showed consistent reduction between two
12 surrogate organisms and *Salmonella*.

13 In terms of the second element, the initial
14 in-plant demonstration data, again, we've tried to
15 summarize the key steps that an establishment should
16 go through when working through that second element.
17 And these would be implementing the same critical
18 operational parameters and the supporting
19 documentation, identifying at least one product from
20 each HACCP category to gather in-plant demonstration
21 data for and then actually gathering that data,
22 demonstrating the effectiveness of the implementation

1 of those critical operational parameters.

2 So, in terms of in-plant data, we did want
3 to clarify what criteria establishments can use to
4 select that one product from each HACCP category to
5 gather in-plant demonstration data for. These
6 criteria are not exhaustive. Establishments can come
7 up with their own criteria, but we wanted to include
8 some food science principles that could be use to
9 select that product.

10 So, for example, here, I've shown how fat
11 content could be one criteria used if an
12 establishment's producing products of varying fat
13 levels. We know that fat level has been documented to
14 affect heat resistance, so we would recommend that
15 establishments pick the one product from the HACCP
16 category with the highest level of fat to collect that
17 in-plant demonstration data for. And there's many
18 other criteria that can be used.

19 In terms of the types of in-plant data that
20 should be collected, we wanted to clarify what that
21 data should be and this really hasn't changed from the
22 last version, but we just tried to make it more clear

1 that in cases when an establishment implements the
2 critical operational parameters and their actual
3 process very consistently with the scientific support
4 and where the scientific support contains data for
5 microbiological data that matches the pathogen
6 identified in the hazard analysis, the in-plant
7 demonstration data that establishments should be
8 focusing on is just related to those critical
9 operational parameters.

10 Can the parameters and the scientific
11 support be implemented consistently in the actual
12 process? So, these types of quantifiable
13 characteristics would include parameters like
14 pressure, temperature, concentration, and we give a
15 list of those parameters in the document.

16 But we know that there are going to be some
17 limited cases where establishments are not able to
18 implement the parameters consistently -- consistent
19 with the support and their actual process or the
20 microbiological data may not be for the same pathogen
21 identified in the hazard analysis. And so in those
22 limited cases, that's when we would expect

1 establishments to validate the intervention's
2 effectiveness under actual in-plant conditions.

3 And also in response to public comment, we
4 have clarified what we mean by implementing those
5 critical parameters consistent with the scientific
6 support, and what we mean is that changes among the
7 critical operational parameters used in the support
8 when we compared those to the actual process would not
9 affect the efficacy of the intervention or treatment.

10 In terms of clarifying the differences
11 between initial validation and ongoing verification in
12 the revised guidance we've just reiterated, the
13 distinct functions of the two moving from initial
14 validation during those first 90 days of a new process
15 or a changed process, moving on to ongoing
16 verification which is done on an ongoing basis. And
17 we've also clarified, in response to the comments,
18 when changes that result from reassessment would
19 require validation and when they would not.

20 So, if an establishment is producing ground
21 beef and they're using 80/20 trim and the only change
22 they've made is the supplier that they're receiving

1 the trim from, there's no other changes in the
2 specifications, that could be a case where
3 reassessment and that change in supplier would not
4 result in requiring validation. Now, if the
5 establishment went from using 80/20 trim to 60/40 or
6 had other changes in the composition of the trim, then
7 that could be a case where reassessment would result
8 in the establishment determining that validation was
9 needed again.

10 We've also revised the guidance to address
11 the new reassessment requirements and, specifically,
12 that official establishments are required to make a
13 record of each reassessment. And then we've also made
14 some changes to the appendix.

15 So, as Bill talked about, we wanted to
16 really illustrate the need for this guidance document,
17 and so we've included examples of food safety problems
18 where as an Agency, we've found through our
19 verification activities that they've been linked to an
20 inadequate validation. And specifically, we've gone
21 through three examples: one which was the 2011 Lebanon
22 bologna outbreak where there were differences in the

1 scientific support in terms of the diameter of the
2 products studied and the type of casing.

3 When it was scaled up into the actual
4 process, those big changes were found to result in
5 inadequate lethality in the product and were linked to
6 those illnesses and analysis of non-0157 positives
7 that we saw in 2012 linked to veal that were related
8 to incomplete carcass coverage of the intervention.
9 And then the chicken pot pie outbreaks in 2007, which,
10 as a result of the investigation, it was found were
11 related to incomplete validation of the cooking
12 instructions.

13 So you can see there's a wide variety of
14 food safety problems that have been linked to
15 inadequate validation and we go through each of those.

16 We've also added an example of a
17 decision-making document because there were some
18 requests for clarification on how an establishment
19 would walk through that process of when the critical
20 operational parameters and the support don't match the
21 actual process, how you would provide a scientific
22 rationale for why those changes shouldn't result in a

1 change to the efficacy.

2 And so the example we give is for jerky, and
3 the researchers in this example actually discuss
4 specific cases when differences in processing
5 temperatures should result in the same reduction in
6 *Salmonella*. And we walked through how an
7 establishment could explain the changes and the
8 critical parameters from the support and why we
9 wouldn't expect changes in the effectiveness of the
10 process.

11 The third appendix is really the same from
12 the last draft in terms of guidance to identify
13 critical operational parameters. I just wanted to
14 highlight that the information is the same. We just
15 moved some text from the appendix into the body of the
16 document because we felt it was really important to
17 cover in the body of the document, but no other
18 changes in that appendix were made, even though it may
19 look different.

20 And then the last appendix are the worksheet
21 examples, and we've added an additional example which
22 shows how pathogen modeling could be used as

1 scientific support in conjunction with Appendix B.
2 And we've also shown there what types of in-plant data
3 would be collected.

4 And as Bill talked about, we've really felt
5 that the guidance document has improved with each
6 iteration as a result of the public comments, and I
7 think this example is a great example of that. The
8 idea for the validation worksheets came from previous
9 comment period, along with the HACCP self assessment,
10 which is at the end of the document, also came as a
11 result of public comments. So, we really feel like
12 the document has improved with each stage and, at this
13 point, has really addressed all the different types of
14 comments that we've received.

15 So, really, in summary, as I've started
16 with, you know, the main concepts of validation, the
17 design and execution haven't changed, but as a result
18 of the public comments, we've -- I hope we've
19 clarified the different issues related to each of the
20 comments to really help improve understanding, and we
21 feel like this iteration has really improved as a
22 result of the comments at each stage.

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

1 So, with that, I'll have Bill come up here -
2 - do you want to say something?

3 MR. DINAPOLI: Thank you, Dr. Silverman, and
4 thank you, Dr. Shaw.

5 At this moment, we will take a few
6 clarifying questions if anyone has any at this point.
7 We will move into the public comment period. So
8 please, we'll bring a microphone. Are there any
9 questions in the room? Okay. We've got Scott right
10 here.

11 MR. GOLTRY: Good morning. Scott Goltry,
12 AMI. Although this is focused on the compliance
13 guide, the validation compliance guide, how do you see
14 this compliance guide interacting with training of
15 EIAOs and the FSA fact sheet -- work tools, I mean?

16 DR. SHAW: I guess I would say as we move
17 through this last comment period and work through any
18 sort of last minute, last verifications, we will then
19 decide and work through our -- how we're going to
20 implement and our sort of training sort of ideas and
21 how we're going to sort of implement that into our
22 existing verification systems and that will be -- that

1 will come as we move forward.

2 So I'm not -- I mean, so we do now have our
3 EIAOs, our Enforcement Investigations Analysis
4 Officers do sort of, as part of their food safety
5 assessments, work through looking at the total HACCP
6 system, and as we move into the implementation phase,
7 that cadre will receive additional training and such
8 to sort of implement these principles into their daily
9 work.

10 MR. CORBO: Tony Corbo from Food & Water
11 Watch.

12 To sort of piggyback on what Scott just
13 asked, in talking with processing inspectors, they
14 will find that the HACCP plans change very frequently,
15 sometimes from on a day-to-day basis. How will they
16 communicate to the agency management that a HACCP plan
17 has changed and how frequently will an EAIIO [sic] go
18 to that plan to verify that the changes to the HACCP
19 plan were validated properly?

20 DR. SHAW: I would say that this has gone --
21 I take your question and we are here today to talk
22 about the guidance document in itself and I think your

1 question does maneuver more into implementation. And
2 I would -- and so I'll reserve a large part of that
3 until we get into the implementation phase, but I
4 would say changes at the level that we're speaking
5 about with validation, I -- on a day-to-day basis, I
6 would say that that probably does not happen in the
7 fact that an -- many of our establishments in the
8 processing world, they're using Appendix A and they're
9 using Appendix B and those things are not changing
10 from day to day.

11 And so I guess, if I would say that -- so
12 that, I would sort of need further clarification and
13 also, the reassessment requirements of our regulations
14 do require establishments to document their
15 reassessments. So, that is part of that
16 regulation-making situation is when how we would keep
17 track of changes in that way to a HACCP system.

18 MR. DINAPOLI: Any more questions? Okay.
19 Great, thank you.

20 Operator, we'd like to see if there's any
21 questions from folks on the phone.

22 OPERATOR: Okay. Very good. If you'd like

1 to ask a question, please press star 1 on your phone.
2 Your line will be un-muted. At that time, just state
3 your name and your question and if we do answer your
4 question, you can hit star 1 again and be removed from
5 the question queue. Are there any questions on the
6 telephone?

7 Okay. I don't see any questions at this
8 time.

9 MR. DINAPOLI: Okay, great. Thank you very
10 much.

11 We'll move into the public comment period.
12 If folks on the phone would like to make a public
13 comment, we'll -- we will get to you once we finish
14 with those in the room. So I believe --

15 UNIDENTIFIED SPEAKER: Which -- whichever.
16 Whichever.

17 MR. DINAPOLI: So, if you could please
18 identify yourself. It's up to you, Tony.

19 MR. CORBO: Oh, I will never resist.

20 MR. DINAPOLI: Well, we've got to make sure
21 that mic is on because folks on the phone -- Tony,
22 maybe come on up if you don't mind. Thank you.

1 MR. CORBO: Tony Corbo from Food & Water
2 Watch.

3 First of all, I want to thank the FSIS staff
4 for working on this project that -- I know this has
5 been a long, you know, process and I've -- I noticed
6 the adjustments that you've made to the compliance
7 guide and so, you know, thank you very much for taking
8 the comments and incorporating some of our ideas into
9 the revised compliance guide.

10 But I have to say something. I mean, we're
11 a month away from the 17th anniversary of the HACCP
12 pathogen reduction rule and we're still debating the
13 rules of the game. And I just find this remarkable
14 that we're still trying to figure out what all of the
15 elements of the rule were and how they are to be
16 enforced.

17 I still have a question in terms of how very
18 small plants will be able to access information in
19 terms of, what is the right answer? What is the right
20 answer on validating their HACCP plans? I know that
21 the -- that when the Agency turned over this subject
22 to the Advisory Committee a couple years ago, there

1 were some recommendations on how a consortium could be
2 set up so that small plants could access information,
3 but that doesn't seem to be incorporated anywhere.

4 As I raised just a little while ago on the
5 implementation and the enforcement of validation, how
6 are changes to HACCP plans going to be addressed? If
7 they occur frequently, how does that get transmitted
8 to the Agency in terms of making sure that the revised
9 HACCP plan is validated? One of my favorite subjects,
10 is the public health information system going to be
11 capable of incorporating changes and dealing with the
12 enforcement mechanism?

13 And so, finally, I want to thank the Agency
14 for holding this public meeting. I've noticed that
15 there have been more of these public meetings. It
16 would have been nice to have held something like this
17 on the poultry inspection rule. Thank you very much.

18 MR. DINAPOLI: Thank you, Tony. Do we have
19 any other -- okay.

20 Operator, if there's any folks on the phone
21 that would like to make a public comment, if we can
22 open up that -- those lines?

1 OPERATOR: Okay. Again, please press star 1
2 on your phone if you'd like to make a comment. You'll
3 be notified when your line is un-muted.

4 I see no questions or comments at this time.

5 MR. DINAPOLI: Okay. Thank you very much.

6 This concludes the meeting for the day. I'm
7 going to invite Phil Derfler, our Deputy Administrator
8 for FSIS for closing remarks.

9 MR. DERFLER: Well, first of all, thank you
10 all for coming and thank you for the comments that we
11 did receive. As you've heard, the comments are really
12 important to us and we'll take the comments under
13 consideration.

14 Remember that the comment period on the
15 latest draft remains open until July 27th and any
16 written comments that we get will be given full
17 consideration, and we would urge everybody on the
18 phone and in the room, if you have any comments or
19 suggestions, please submit them because that's the way
20 that the rule gets better or that's the way the
21 compliance guide gets better, not a rule.

22 What -- the question -- you know, this has

1 been a long time in coming. It's been 3 years. So
2 the question is, why has it taken us so long? And I
3 think the reason is because validation is really,
4 really important. We consider it to be really
5 important. We want to get the compliance guide right
6 because we want to make sure that small and very small
7 plants, and large plants as well, get their validation
8 right. So again, thank you for your comments.

9 Now, the -- I want to thank the people who
10 contributed to this meeting because we couldn't have
11 it without them. So I do want to thank Dr. Shaw and
12 Dr. Silverman for their presentations. They were
13 enlightening and helpful in setting the tone. I want
14 to thank Joan Lindenberger who was really important in
15 organizing the meeting today. Felicia Thompson and
16 Bernadette Hudnell, Shanelle Basta and, of course,
17 Greg DiNapoli, our moderator, and Carmen Rottenberg,
18 who did a lot of work to get the meeting together as
19 well.

20 So in that, thank you all for coming and the
21 meeting's adjourned.

22 (Whereupon, the meeting was concluded.)

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C E R T I F I C A T E

This is to certify that the attached proceedings
in the matter of:

UNITED STATES DEPARTMENT OF AGRICULTURE

FOOD SAFETY AND INSPECTION SERVICE

HACCP SYSTEMS VALIDATION PUBLIC MEETING

Washington, D.C.

June 25, 2013

were held as herein appears, and that this is the
original transcription thereof for the files of the
United States Department of Agriculture, Food Safety
and Inspection Service.

MIKE GILMAN, Reporter

FREE STATE REPORTING, INC.