

UNITED STATES DEPARTMENT OF AGRICULTURE
FOOD SAFETY AND INSPECTION SERVICE

+ + + + +

DEPARTMENT OF HEALTH AND HUMAN SERVICE'S FOOD AND
DRUG ADMINISTRATION/CENTER FOR FOOD SAFETY AND
APPLIED NUTRITION

PUBLIC MEETING ON THE INTERAGENCY RETAIL
LISTERIA MONOCYTOGENES RISK ASSESSMENT

+ + + + +

June 23, 2009
8:30 a.m.

L'Enfant Plaza Hotel
480 L'Enfant Plaza, S.W.
Washington, D.C.

MODERATORS: JANELL R. KAUSE
Food Safety and Inspection Service

SHERRI DENNIS
Food and Drug Administration

ROBERT TYNAN
Food Safety and Inspection Service

PANEL MEMBERS:

JOHN BUTTS, American Meat Institute
JILL HOLLINGSWORTH, Food Marketing Institute
AMIRHOSSEIN MOKHTARI, RTI International
CAROLINE SMITH DeWAAL, Center for Science in
the Public Interest

Free State Reporting, Inc.
1378 Cape St. Claire Road
Annapolis, MD 21409
(410) 974-0947

SPEAKERS:

AL ALMANZA, Food Safety and Inspection Service
STEPHEN SUNDLOF, Center for Food Safety and
Applied Nutrition, Food and Drug Administration
FREDERICK ANGULO, Centers for Disease Control
ANN DRAUGHON, University of Tennessee
JOE CORBY, Association of Food & Drug Officials
MARTIN WIEDMANN, Cornell University
JANELL KAUSE, FOOD SAFETY AND INSPECTION SERVICE
DAN GALLAGHER, Virginia Tech/FSIS
REGIS POUILLOT, Food and Drug Administration

I-N-D-E-X

<u>AGENDA ITEM</u>	<u>PAGE</u>
Introduction	
Janell Kause, FSIS	5
Opening Remarks - Introductions and Overview of Meeting Goals	
Al Almanza, Administrator, FSIS	9
Stephen Sundlof, Director, CFSAN, FDA	12
<u>Part I: Background Information</u>	
Listeriosis in the United States	
Frederick Angulo, CDC	16
<i>Listeria monocytogenes</i> Prevalence and Levels in Deli Meat at Retail: the NAFSS Study	
Ann Draughon, University of Tennessee	43
<i>Listeria monocytogenes</i> in the Retail Environment: the New York State Study	
Joe Corby, AFDO	78
New Insight in the Origin of <i>Listeria monocytogenes</i> in the Retail Environment: Cornell University Studies	
Martin Wiedmann, Cornell University	100
Question and Answer	132
<u>Part II: Interagency Retail <i>Listeria monocytogenes</i> Risk Assessment</u>	
Introduction to the Risk Assessment (Background, Scope, and Purpose)	
Janell Kause, FSIS	153

The Conceptual Model

- Design
- Data needs
- Anticipated outputs
- Anticipated limitations

Dan Gallagher, Virginia Tech/FSIS 171

The Data

- Data review
- Data sources
- Data gap
- The Federal Register Notice

Regis Pouillot, FDA 198

Question and Answer 216

**Stakeholder Panel Discussion Regarding
Scientific Information and Data for
Listeria monocytogenes at Retail**

Moderator: Robert Tynan, FSIS

Panelists: Jill Hollingsworth (FMI)
Caroline Smith DeWaal (CSPI)
Amirhossein Mokhtari (RTI)
John Butts (AMI)

230

Public Comments

Elliot Ryser 284

Next Steps/Closing Remarks

Janell Kause, FSIS 289

P-R-O-C-E-E-D-I-N-G-S

(8:30 a.m.)

MS. KAUSE: Okay. I think we're ready to get started. It is 8:30. I want to welcome all of you and say good morning. I'm Janell Kause, the Director for the Risk Assessment Division in the Office of Public Health Science in the Food Safety and Inspection Service. It's certainly a pleasure that all of you are here today, as well as those of you participating by phone for this joint public meeting on the Interagency Retail *Listeria monocytogenes* Risk Assessment.

The goal of today's meeting is twofold. One is to provide background information on the current stated knowledge with regards to *Listeria monocytogenes* at retail. The second goal is to welcome public and stakeholder input, data, and information for the U.S. Department of Agriculture Food Safety Inspection Service as well as the Department of Health and Human Services Food and Drug Administration for this new risk assessment.

I will be moderating this morning's session

1 and then pass the baton to Dr. Sherri Dennis of the
2 Food and Drug Administration for the afternoon
3 session. Mr. Robert Tynan will be helping us as
4 well and will moderate our stakeholder panel
5 discussion, as listed on our agenda.

6 Also, for your information, the Food Safety
7 Inspection Service and the Food and Drug
8 Administration have substantially extended the
9 comment period to September 29th. This is a three-
10 month period to ensure that the public,
11 stakeholders, and academia have adequate time to
12 provide input, comment, data, and information to us
13 for this new risk assessment.

14 You will notice on your agenda that there
15 is several opportunities for questions and comments
16 throughout the day. There is a half-hour this
17 morning as well as a half hour in the afternoon.
18 There is also an opportunity for public comment at
19 the end of today's meeting. Anyone wishing to make
20 a public comment that did not sign up at the
21 registration table may do so during the meeting at
22 any time. We want to allow everyone that wishes to

1 make a comment or ask a question the opportunity to
2 do so and therefore would ask that you take no more
3 than three minutes. We have left time at the end
4 for more Q and A and will be happy to take more
5 questions if time permits.

6 As you can see, we have a full agenda. So
7 let me start with just a few housekeeping items.
8 The restrooms are both to the right and left of the
9 room as you step out. The L'Enfant Plaza Hotel has
10 a restaurant, and there are several restaurants and
11 sandwich shops in the mall below. There is a set of
12 stairs located in the lobby area that leads you
13 directly to the mall. Just check with the hotel
14 reception desk if you don't see them.

15 The PowerPoint presentations from this
16 meeting are available on the website, and the
17 transcripts will be available in a few weeks. Any
18 presentations that are not available at the
19 registration desk will be posted on the website
20 after today. After each presentation this morning,
21 we will be able to take maybe one or two questions
22 from the audience, time permitting, and then move on

1 to the next speaker. Time has been allotted for
2 more Q and A before we break for lunch.

3 Because this meeting is transcribed, we ask
4 that you please state your name and your
5 organization when you come to the microphones.
6 There will be students walking around the room with
7 microphones to hand to you. I will also ask that
8 the operator to also let me know if we have
9 questions from our conference participants online.

10 Before I ask Mr. Almanza and Dr. Steven
11 Sundlof to take the podium, let me take a moment to
12 introduce them.

13 Mr. Al Almanza became the Administrator of
14 the Food Safety Inspection Service in June 2007. In
15 this position, he leads FSIS and its almost 10,000
16 employees in their mission of protecting public
17 health through food safety and food defense.

18 Dr. Sundlof was appointed as the Director
19 of the Center for Food Safety and Applied Nutrition
20 at FDA in January of 2008. He provides leadership
21 to the center's development and implementation of
22 programs and policies relative to the composition,

1 quality, safety, and labeling of foods, food and
2 color additives, dietary supplements, and cosmetics.

3 Please help me welcome Mr. Al Almanza
4 followed by Dr. Steven Sundlof.

5 (Applause.)

6 MR. ALMANZA: Thank you, Janell, and I want
7 to thank everybody in this room and the people on
8 the phone. Don't want to forget about them.

9 But before I get started, I want to
10 acknowledge a few people. Certainly, Dr. Stephen
11 Sundlof from FDA for taking time, and I know that
12 this is just as important to them as well as to
13 FSIS; also to today's presenters, and at the state
14 level as well, with Cho down there, and the FSIS and
15 FDA employees that will be doing presentations; the
16 staff from both agencies and their joint effort in
17 this public meeting, as well as work on *Listeria* in
18 general; and to our public health partners and
19 stakeholders in the room and on the phone for
20 participating in this process.

21 This is not the first time that FSIS and
22 FDA have come together for work on *Listeria*. We

1 issued a joint study in 2003 that looked at the risk
2 that *Listeria* posed to public health in certain
3 ready-to-eat foods. And among those foods, deli
4 meats posed the highest risk.

5 In response, FSIS outlined ways
6 establishments could address *Listeria* in their HACCP
7 plans. So as everyone knows, all products are not
8 created, or are not equal in risk, and we know all
9 processes do not carry the same hazards. So we
10 place establishments producing ready-to-eat products
11 into one of three categories based on the product
12 and the process that they use to help control
13 *Listeria*. We also sample based on risk. The higher
14 the risk, the more sampling we do.

15 Since we launched these efforts,
16 establishments have generally strengthened their
17 programs, and we're using our personnel to more
18 effectively assess whether establishments maintain
19 control over *Listeria*. Last year, FSIS followed up
20 with our comparative risk assessment looking at the
21 risk of illness from *Listeria* on deli meat sliced
22 and packaged at plants under federal inspection

1 versus deli meat sliced at retail establishments.

2 The results from that assessment were
3 staggering. It predicts that 83 percent of cases
4 from deli meats would be from those prepared at the
5 retail level. There is clearly a need for more
6 research in this area and regulatory action and
7 guidance based on research.

8 That brings us here today. Through this
9 joint risk assessment, we want to look at retail
10 practices across products and across jurisdictions
11 that affect contamination. We also want to evaluate
12 how effective the processes and interventions that
13 we use to reduce it. We will use the information
14 from this study to make public health decisions. We
15 never forget that our policies and guidance can help
16 reduce food-borne illnesses and save lives.

17 Today, we would like your input. We look
18 forward to a productive meeting, and I want to thank
19 everyone for participating both telephonically and
20 by being present today once again and know that we
21 value your comments. Thank you.

22 (Applause.)

1 DR. SUNDLOF: Well, good morning, everyone,
2 here and on the phone. It is a pleasure to be here.
3 This is obviously a very important issue. One of
4 the major food-borne diseases is *Listeria*
5 *monocytogenes*. We're finding it in places we have
6 never found it before. And it just goes to the
7 point that we need a lot more science. We need a
8 lot more data, and that's what this meeting is all
9 about, to try and collect as much information as we
10 can to make informed science-based decisions.

11 FDA and USDA have had a long history of
12 collaborating on microbiological risk assessments,
13 beginning with the *Salmonella* in egg risk assessment
14 in 1998 and then, as Al already mentioned, the 2003
15 *Listeria monocytogenes* risk assessment, which was
16 the first quantitative risk assessment of relative
17 risk of listeriosis from consumption of a variety of
18 ready-to-eat foods. And here we are today pursuing
19 that even further.

20 There is also an ongoing risk assessment
21 that FDA and USDA are participating on, which
22 involves avian -- high path avian influenza in

1 poultry and eggs and whether or not, first of all,
2 there is a risk to the public health, and then,
3 secondly, if there is, are there ways to mitigate
4 that risk. And so that is currently ongoing.

5 The current risk assessment on *Listeria*
6 *monocytogenes* in retail food represents another
7 thoughtful and productive USDA/FDA collaboration.
8 This public meeting is an example of our commitment
9 to transparency in government and the proactive
10 involvement of our stakeholders in the risk
11 assessment process.

12 And I just, not in my notes, but having
13 been involved with codex since 1986, I've seen how
14 the U.S. risk assessment process has influenced how
15 the codex operates and with the addition of a new
16 joint expert committee, the joint meeting on risk
17 assessment, which is -- really shows that the United
18 States has been in the forefront of this area of
19 risk assessment.

20 Obviously, this is a relatively new area of
21 science, and it was going to require a lot more work
22 to really perfect some of these risk assessment

1 processes. But I think being able to work together
2 and share information, you know, we can make much
3 better informed decisions.

4 So this is, again, a very transparent
5 process, and we want to make sure that we get as
6 much public input as possible. As you may have
7 heard, greater transparency is one of President
8 Obama's and our Secretary of HHS, Secretary
9 Sebelius' top priorities. And to that end, FDA
10 recently formed a transparency task force, and this
11 task force is chaired by the FDA's lead deputy
12 commissioner, who is Dr. Josh Sharfstein. And it's
13 charged with developing recommendations for making
14 useful, understandable information about FDA
15 activities and decision-making more readily
16 available to the public in a timely manner and in a
17 multiuser -- in a user-friendly format.

18 FDA's transparency task force is having an
19 open public meeting tomorrow at the National
20 Transportation Safety Board Conference Center in
21 L'Enfant Plaza, so right around the corner. And
22 beyond tomorrow's public meeting, the task force is

1 exploring additional ways to seek input through the
2 internet and is planning to hold a second public
3 meeting in the fall of 2009, and that meeting
4 announcement will be published in the federal
5 registry.

6 Then lastly, I want to emphasize the
7 importance of working with industry and academia to
8 obtain specific, specialized data that are needed to
9 best inform our risk assessments. And with respect
10 to this risk assessment, I want to personally thank
11 the Food Marketing Institute and the FDA University
12 of Maryland Joint Institute for Food Safety and
13 Applied Nutrition for their assistance in developing
14 an observational study for food handlers and
15 delicatessens. The data that are obtained for this
16 study will be useful in the *Listeria* risk assessment
17 that we are working on now.

18 So, again, I look forward to a very
19 productive meeting, and everybody on your way out,
20 leave data. Thank you.

21 (Applause.)

22 MS. KAUSE: Thank you, Dr. Sundlof and

1 Mr. Almanza. With that said, we're going to begin
2 the program with a background session for this
3 morning with Dr. Fredrick Angulo. Dr. Angulo is the
4 Deputy Branch Chief of the Enteric Diseases
5 Epidemiology Branch in the Division of Food-borne
6 Bacteria and Mycotic Diseases at the Centers for
7 Disease Control and Prevention. His research
8 interest areas are food-borne disease burden of
9 illness studies, attribution efforts, and
10 antimicrobial resistance among enteric bacteria.
11 Please help me welcome Dr. Fred Angulo.

12 (Applause.)

13 DR. ANGULO: Thank you very much for the
14 introduction and the invitation to participate. My
15 talk will cover five general areas. I'll first
16 describe the clinical characteristics of a *Listeria*
17 infection, then talk about the human health burden,
18 trends and incidence of laboratory-confirmed
19 infections, sources of *Listeria* infections, and end
20 with conclusions.

21 So, first, the clinical characteristics of
22 *Listeria* infections. *Listeria* infections can be

1 categorized broadly into those that are pregnancy-
2 associated infections and non-pregnancy-associated
3 infections. In the non-pregnancy-associated
4 infections, those occur amongst people who are
5 immune-compromised, and there are also infections
6 among previously healthy individuals.

7 In the pregnancy-associated infections, the
8 pregnant woman may have fever or may have no defined
9 illness. The infection may spread to the fetus,
10 resulting in sepsis, miscarriage, and stillbirth, or
11 the infection can result in infection of the newborn
12 resulting in meningitis of the newborn child.

13 In non-pregnancy-associated infections
14 amongst immune-compromised persons, which may be
15 people with malignancy, organ transplants, immune
16 suppressive medications or HIV infection, infections
17 result in invasive disease manifested as sepsis,
18 meningitis, and encephalitis. In previously healthy
19 individuals, most infections are asymptomatic. A
20 diarrheal illness may occur. Rarely, invasive
21 disease will result in a previously healthy
22 individual. We conduct surveillance largely of

1 invasive infections.

2 To show the scope of the clinical
3 characteristics, we can look at four years of
4 FoodNet data, so clinical outcomes of 169
5 laboratory-confirmed cases in FoodNet from years
6 2000 to 2003. In that case series of 169 cases, 28,
7 or 17 percent, were pregnancy-associated cases. All
8 of these pregnancy-associated cases were invasive.
9 Eighteen, or 65 percent, resulted in
10 hospitalizations. Seven resulted in a stillborn.

11 141 of the 169, or 83 percent, of the non-
12 pregnancy -- were non-pregnancy-associated cases.
13 All of them were invasive infections. 108, or 76
14 percent, of the non-pregnancy-associated cases were
15 among previously immune-compromised individuals.
16 And 33, or 24 percent, were among previously healthy
17 individuals. 131, or 92 percent, of non-pregnancy-
18 associated cases were hospitalized. And 22, or 15
19 percent, died.

20 Overall, the hospitalization in this case
21 series was 82 percent, with an overall mortality of
22 17 percent.

1 Secondly, I'd like to talk about the human
2 health burden of *Listeria* infections. In 1999 we at
3 CDC, Paul Mead was the first author, published an
4 estimate of the annual human health burden of food-
5 borne diseases in the United States. *Listeria* is
6 estimated to result in over 2,000 infections per
7 year, about half of which are laboratory-confirmed,
8 and result in 500 deaths, with an overall case
9 fatality rate of about 20 percent. In fact,
10 *Listeria* is the second most common cause of deaths
11 due to bacterial food-borne diseases.

12 We published a paper in 2007 that describes
13 different select populations. Although the
14 incidence has changed since this case series 1996 to
15 2003, in this case series, the overall incidence was
16 four cases, for laboratory-confirmed cases, per one
17 million persons in the general population. And you
18 see that there was a lower incidence in Whites and
19 African Americans. Remarkably, there was a high
20 incidence in Hispanics.

21 Continued analysis of this case series
22 demonstrates that amongst infants, the incidence

1 rate is 12 times higher amongst Hispanics than non-
2 Hispanics, infants being pregnancy-associated
3 infections of the newborn and infections therefore
4 of the newborn. Among women of child-bearing age,
5 the incidence is 11 times higher in Hispanics
6 compared to non-Hispanics. This case series
7 demonstrates the Hispanic population is apparently
8 at highest risk of *Listeria* infection.

9 The third part of my talk is I would like
10 to talk about the trends and the incidence of
11 laboratory-confirmed infections. But I'd like to
12 start with an early timeline of *Listeria*
13 surveillance activities in the United States. In
14 1985 there was a large California outbreak of
15 *Listeria monocytogenes* resulting in 142 laboratory-
16 confirmed cases and 40 deaths. The outbreak was due
17 to Mexican-style soft cheese, a queso fresco
18 product.

19 This led to a 1986 CDC establishing act of
20 surveillance in sentinel locations under the
21 sponsorship of FDA. In 1989 there was an incidence
22 associated with *Listeria* isolated from turkey hot

1 dogs, and the industry resulted in efforts to
2 control *Listeria* in food processing environments.
3 In 1996 active surveillance within the FoodNet
4 program incorporated *Listeria* with the support of
5 USDA-FSIS and FDA-CFSAN.

6 This is the long timeline from 1986 through
7 2008, showing the incidence of laboratory-confirmed
8 *Listeria* infections in the sentinel sites in the
9 United States. The first half of the graph is the
10 sentinel sites that were established as a
11 consequence of the outbreak in the mid-'80s. And in
12 1996, FoodNet began, and these active surveillance
13 activities were incorporated in the FoodNet
14 activities.

15 As most of you know, FoodNet is an active
16 surveillance program in ten states' health
17 departments in the United States, shown here in
18 yellow. The population under surveillance is 46
19 million persons in 2009, or 15 percent of the U.S.
20 population.

21 As is with many bacterial infections within
22 FoodNet, there have been national health objectives

1 for the incidence of laboratory-confirmed *Listeria*
2 infections established. In the Healthy People 2010
3 National Health Objective was a 50 percent reduction
4 in the incidence of laboratory-confirmed *Listeria*
5 infections by the year 2010. The baseline for
6 establishing this goal was the incidence in 1996
7 through 1998, and to achieve this goal, the hope was
8 to attain an incidence of 2.4 laboratory-confirmed
9 cases of *Listeria* in 100,000 population in the
10 United States.

11 In response to a large, multistate outbreak
12 due to turkey deli meat, there was a presidential
13 initiative that accelerated the National Health
14 Objective to 2005 in hopes that we would achieve
15 this National Health Objective by the year 2005, a
16 50 percent reduction in the number of *Listeria*
17 infections.

18 These are the most recent FoodNet data
19 published in April of 2009 in CDC's morbidity and
20 mortality weekly report. In this trend, the
21 baseline is 1996 through 1998, and you see that the
22 incidence has declined since the baseline years at

1 the far left of the graph. And so in 2008, the
2 incidence of laboratory-confirmed cases in 2008 is
3 36 percent lower than the baseline years, a
4 statistically significant decline in the incidence
5 in this past decade.

6 However, as this graph demonstrates, in the
7 last several years, there has been little change or
8 no change in the incidence of laboratory-confirmed
9 *Listeria* infections, in fact, there's been no change
10 in *Listeria* infections in the last three years. And
11 as I mentioned, the National Health Objective was
12 accelerated to 2005 in the hopes of having 2.4 cases
13 per million populations, which is also written on
14 the screen here as 0.24 cases per 100,000 persons.
15 I hope that math is okay this early in the morning.

16 So our national goal is 2.4 cases per
17 million population. And you see that in 2004 we
18 approached that goal, with 2.7 cases per million
19 population. But we achieved no decline in incidence
20 of laboratory-confirmed cases in the last four
21 years. And in 2008, the incidence is 2.9 cases per
22 million population, still short of the 2.4 cases per

1 100,000 population National Health Objective.

2 This is demonstrated here with this graph,
3 which shows the confidence intervals around the
4 percent change in the incidence in the previous
5 three years -- that's 2005 through 2007 -- to 2008.
6 And you see the boxed area, *Listeria's* incidence,
7 showing no significant decline in the last three
8 years. This is also a graphic from the CDC's MMWR
9 of April of 2009.

10 So, in general, we can say that the trend
11 in incidence of laboratory-confirmed listeriosis has
12 declined since 1989. And there's been two periods
13 of greatest decline, a decline in 1989 through 1993
14 and a decline in 1997 through 2001. However, in
15 2009, although the incidence was 36 percent below
16 the incidence in 1996 through 1998, we did not meet
17 the National Health Objective of a 50 percent
18 reduction by the year 2005.

19 The fourth part of my presentation is to
20 discuss sources of human *Listeria* infections. We
21 can discuss sources of laboratory-confirmed *Listeria*
22 infections, introducing a process we would call

1 source attribution in which we partition the human
2 burden of *Listeria* infections to specific sources.
3 It's important to recognize that such attribution
4 efforts may be conducted at different places along
5 the farm to table continuum.

6 The data that I'll be showing will be point
7 to consumption attribution because illness will be
8 illnesses resulting from the -- or it's looking at
9 causes of infections at the point of consumption.
10 You could do point -- you could do attribution
11 exercises at the processing plant or in other parts
12 along the food chain. We used two approaches for
13 point to consumption attribution: case control
14 studies of sporadic infections and outbreak
15 investigations.

16 Before I talk about this attribution
17 efforts, it's recognized that it's often very
18 difficult to determine the sources of human *Listeria*
19 infections. That's because infections are commonly
20 geographically dispersed. It has a low incidence.
21 And the incubation period is up to 30 days from the
22 time of eating a contaminated food item, making it

1 very difficult to remember foods eaten.
2 Furthermore, in these two attribution approaches in
3 case control studies, selection of controls is
4 particularly difficult because we use controls that
5 have a similar illness, similar type of illness,
6 immune-compromised controls are commonly used.
7 Furthermore, in outbreaks, delays are common in
8 outbreaks because of the long incubation period and
9 the broad geographic distribution.

10 CDC has conducted three sporadic case
11 control studies. In 1986 through '87, we did a case
12 control study that found that eating -- that
13 *Listeria* infections were associated with eating
14 uncooked or non-reheated hot dogs. In 1988 through
15 '90, we did a case control study that found that
16 human *Listeria* infections were associated with
17 eating soft cheeses and other food purchased at
18 retail at deli counters. And then, most recently,
19 within the FoodNet program, we published a case
20 control study of sporadic *Listeria* infections in
21 which infections were associated with eating hummus
22 and sliced melons purchased at retail and grocery

1 stores.

2 In addition to sporadic case control
3 studies, of course we can use outbreaks to try to
4 determine sources of *Listeria* infections. And
5 returning to the timeline, it's important to
6 recognize that in 1998, PulseNet began routine
7 PFGE-ing of *Listeria* isolates. This shows, again,
8 the incidence in human *Listeria* infections since
9 1986. And shown on the graph is when FoodNet began
10 active surveillance in 1996 and PulseNet began
11 subtyping *Listeria* isolates in 1998.

12 Of course, with the PulseNet program --
13 most of you are familiar with the PulseNet
14 program -- all 50 states and many other reference
15 laboratories participate in which they forward the
16 PFGE patterns to the PulseNet list server and the
17 national database, allowing comparison of molecular
18 fingerprints.

19 The PulseNet program has directly led to
20 the recognition of two large multistate outbreaks,
21 the first outbreak in 1998 immediately after
22 PulseNet began the initiative and associated with

1 hot dogs and an outbreak in 2002 associated with
2 turkey deli meat. I'll mention these two outbreaks
3 in particular.

4 In the hot dog-associated outbreak of 1998
5 through 1999, there were 108 laboratory-confirmed
6 cases in 24 states. Thirteen of these infections
7 were pregnancy-associated infections. It resulted
8 in 14 deaths of adults and 4 deaths of fetuses, or
9 miscarriages. Epidemiological investigation
10 implicated eating hot dogs from one specific plant.
11 These findings were published in *Epidemiology and*
12 *Infection*.

13 The second outbreak I'd like to highlight
14 was an outbreak of turkey deli meat -- that was
15 associated with turkey deli meat in 2002. This
16 outbreak resulted in 54 patients, laboratory-
17 confirmed patients, in nine states; 42 of the
18 infections were pregnancy-associated infections.
19 The infections resulted in eight deaths and also
20 three miscarriages, or stillbirths. The outbreak
21 was caused by turkey deli meats, as I mentioned, in
22 which post-processing contamination of the turkey

1 product was likely. In result of this outbreak,
2 USDA-FSIS issued a new microbial sampling policy,
3 which resulted in increased environmental testing
4 and also permitted the recall, based upon testing,
5 of food contact surfaces. These findings were also
6 published in peer-reviewed literature.

7 Extending on the recent timeline, in
8 addition to PulseNet becoming involved with PFGE-ing
9 of *Listeria* isolates, in 2001 *Listeria* infections in
10 humans became nationally notifiable by all 50 state
11 health departments. Furthermore, in 2004 CDC, in
12 partnership with state health departments, began a
13 *Listeria* initiative that I'd like to highlight.

14 The *Listeria* initiative calls for all
15 isolates of *Listeria* nationwide to be PFGE'd and
16 patterns submitted to PulseNet. Furthermore, all
17 cases of laboratory-confirmed *Listeria* infections
18 are urged to be interviewed using a standard case
19 report form, and then at CDC PulseNet, we monitor
20 the PFGE patterns for clusters and immediately do
21 analysis of clusters using a case control study
22 design comparing cases that have a PFGE similar

1 pattern to the controls, which are persons infected
2 with *Listeria* of different patterns and allows us to
3 rapidly do analysis to try to determine food sources
4 quickly.

5 This time I just extended the same timeline
6 that showed where these two important surveillance
7 activities occurred in 2001, making it nationally
8 notifiable and the *Listeria* initiative beginning in
9 2004.

10 This is an important slide showing the
11 consequence of the PulseNet efforts. You see on the
12 far left of the slide that this begins in 1978, and
13 this shows the number of outbreaks that occur each
14 year through 2007. And in the first 15 years of
15 surveillance, there were five outbreaks of *Listeria*,
16 all of which were single-state outbreaks, and the
17 average size of those outbreaks was almost 54
18 laboratory-confirmed cases. So they were large
19 outbreaks but geographically local and rarely
20 identified.

21 When PulseNet began routine subtyping of
22 all *Listeria* isolates, we immediately began to

1 detect more *Listeria* outbreaks. And during the next
2 seven years, from 1998 to 2004, with the assistance
3 of PulseNet, we identified 13 outbreaks of *Listeria*,
4 four of which were multistate outbreaks. The
5 average size of the outbreaks were smaller, about 21
6 laboratory-confirmed cases in each of the outbreaks.
7 Finally, after 2004, when we started the *Listeria*
8 initiative, in first four years of the *Listeria*
9 initiative, from 2004 through 2007, we've had nine
10 outbreaks of *Listeria*, one of which was multistate.
11 The average size of outbreaks now is 5.5 laboratory-
12 confirmed cases. So because of this enhanced
13 surveillance activities both in the laboratory with
14 PulseNet and amongst the epidemiology group, in
15 terms of rapid patient interviews, the average size
16 of outbreaks have declined and more outbreaks have
17 been recognized.

18 This is a comprehensive list of all
19 *Listeria* outbreaks reported to CDC from 1998 through
20 2007, which is the last year of closed-out data.
21 During this interval, 20-year interval, there have
22 been 21 outbreaks of *Listeria* infections amongst

1 humans. Highlighted in yellow are the two outbreaks
2 I previously mentioned, the large multistate
3 outbreak in 1998 associated with hot dogs and the
4 large multistate outbreak in 2002 associated with
5 sliced turkey deli meats.

6 But of the 21 total outbreaks, seven of the
7 outbreaks have been associated with deli meats.
8 Four have been associated with Mexican-style soft
9 cheeses. Two have been associated with hot dogs.
10 And then other food vehicles implicated have been
11 pate, salad -- the salad was a taco or nacho
12 salad -- and chicken. The actual food -- was not
13 implicated in four of these 21 outbreaks.

14 Importantly, of these 21 outbreaks, only
15 one of which was a restaurant-associated -- that was
16 the taco and nacho salad outbreak, with two
17 laboratory-confirmed cases in Minnesota in 2007.
18 The other 20 outbreaks all resulted from foods
19 purchased at retail, in grocery stores, including
20 deli counters.

21 So this is a comprehensive list of
22 outbreaks in the last 20 years. All these outbreaks

1 are available on CDC's website. The line list of
2 all these outbreaks are available on our website.
3 But I'd like to highlight four outbreaks that have
4 occurred in the last two years that show particular
5 features.

6 The first selected outbreak, recent
7 outbreak, occurred in February of 2008 in which
8 routine testing of chicken salad in New York state
9 yielded *Listeria* and the product was withdrawn.
10 Later, a patient's PFGE pattern matched the
11 recalled, the product -- matched the isolate from
12 the recalled chicken products, although the chicken
13 product that the patient ate was not part of the
14 recalled products. This led to expansion of the
15 recall to include those products. The key thing was
16 that -- part of this identification from this was
17 the rapid, prompt interview of the patient's family.
18 Furthermore, because of these efforts, the product
19 was traced back to the plant, and the outbreak
20 strand of *Listeria* was isolated at the plant. I
21 highlight this because it shows the high value of
22 routine testing of some high-risk foods and sharing

1 of the patterns from those foods with patients, with
2 a database of patient isolates.

3 A second outbreak to highlight is the first
4 described outbreak of *Listeria* that we would call
5 nosocomial. This is an outbreak in a New York City
6 hospital in the summer of 2008, resulting in five
7 laboratory-confirmed infections. Three of the
8 patients died. The five patients were all
9 hospitalized for other reasons, had immune-
10 suppressive treatments while they were in the
11 hospital, and then became infected with *Listeria* at
12 the same PFGE pattern. The outbreak was caused by
13 tuna salad contaminated in the hospital's kitchen.
14 *Listeria* of the same PFGE pattern was isolated from
15 the kitchen environment.

16 A survey conducted of New York City
17 hospitals found that this hospital with the outbreak
18 had no special diet for patients who were immune-
19 suppressed. They all received the routine hospital
20 diet. And a survey of New York City hospitals,
21 conducted as a consequence of this outbreak, found
22 that no other New York City hospitals had special

1 dietary requirements or diets provided to their
2 immune-suppressed patients.

3 A third outbreak I'd like to highlight
4 shows the value of rapid patient interviews. In
5 this instance, an outbreak occurred during the
6 winter of 2008 to 2009. Rapid patient interviews of
7 the first three people with PFGE-matched isolates
8 identified Mexican-style soft cheese in common with
9 these patients. Ultimately, the outbreak, although
10 it lasted six months, resulted in eight laboratory-
11 confirmed patients, all Hispanic, and it was traced
12 to commercially produced, pasteurized Mexican-style
13 soft cheese, and the *Listeria* with the same PFGE
14 pattern was isolated from the patients, from
15 leftover cheese and from patients' homes, and from
16 the plant that produced the cheese. The plant has
17 been closed.

18 Finally, most recently, to highlight an
19 outbreak, a remarkable outbreak that actually has
20 occurred over the last 12 months, this prolonged
21 outbreak was frustrating from the standpoint that
22 although we embarked upon the *Listeria* initiative,

1 using the long *Listeria* interview form, which takes
2 30 minutes to interview a family and has over seven
3 pages of questions, we could find no common
4 exposures identified in these rapid interviews.
5 Ultimately, the outbreak resulted in 20 laboratory-
6 confirmed cases in seven states.

7 Finally, a breakthrough occurred, based
8 upon the very energetic state health department in
9 which they found out that some of their recent --
10 one of their recent cases had eaten alfalfa sprouts,
11 leading to a hypothesis that alfalfa sprouts might
12 have caused this outbreak. We embarked upon a case
13 control study and implicated alfalfa sprouts as the
14 source of the outbreak. The outbreak -- the alfalfa
15 sprouts were produced by a single sprouter, single
16 grower. *Listeria* of the same PFGE pattern was
17 isolated from the patients, from sprouts, and from
18 the sprouting facility. The facility is closed.
19 This is the first outbreak we're aware involved --
20 first outbreak of *Listeria* infections in humans that
21 we're aware of that involves fresh produce.

22 In summary, to summarize information

1 gathered from these sources, for the last two
2 decades, outbreaks have most often been caused by
3 processed, ready-to-eat meats, especially turkey,
4 sliced turkey deli meat, and hot dogs. Typically,
5 contamination occurred after initial processing.
6 The focus of contamination appears to be in the
7 processing plant. Fresh soft cheeses made with raw
8 milk is another important source of *Listeria*
9 infections, but we had a recent outbreak associated
10 with alfalfa sprouts. Sporadic case control studies
11 have identified other foods, giving us an impression
12 of the general sources of *Listeria* infections.

13 Finally, the fifth part of my talk is the
14 concluding slide. In conclusion, general
15 conclusions from these epidemiological data, first,
16 on the burden, the mortality of *Listeria* infections
17 in humans is high. About 20 percent of people with
18 laboratory-confirmed infections die. The highest
19 incidence of laboratory-confirmed *Listeria*
20 infections is amongst Hispanics.

21 The trend, the overall incidence has
22 declined from eight per million to 2.9 per million

1 in the last two decades, but there has been little
2 change in the incidence of laboratory-confirmed
3 infections since 2002. We did not achieve our
4 National Health Objective of 2005. It's not clear
5 that we'll meet it in 2010.

6 Sources of infections, we've been able to
7 identify more sources of infections recently because
8 of enhanced surveillance, which is leading to the
9 detection of more outbreaks. But novel foods
10 continue to be identified, as a highlight at the
11 produce, the fresh produce outbreak --
12 identification of fresh produce as a source of
13 infection for the first time.

14 Finally, the important point is that
15 targeted efforts to reduce contamination have been
16 followed by declines in the incidence of human
17 infections, but we're at a stalemate period in which
18 there has been no decline in human illness for the
19 last seven years. Thank you.

20 (Applause.)

21 MS. KAUSE: Thank you, Fred, for that very
22 thorough background on listeriosis in the United

1 States. I believe we have time for one or two
2 questions, maybe one here in the audience and maybe
3 one online. Martin? Dr. Wiedmann?

4 DR. WIEDMANN: Fred, the first question is
5 there's an increase in listeriosis in Europe, which
6 is predominantly attributed to a population older
7 than 60. And if you break up the number in the
8 U.S., you see it's a flat line, but the distribution
9 among the different groups, let's say, immune-
10 compromised, under 60, over 60, pregnant woman, has
11 that changed over those five years because that
12 might be important to sort of look at sources, and
13 do we see a similar trend here and is it hidden in
14 that flat line? And I guess the second question is
15 why is New York state such a hot bed of *Listeria*
16 outbreaks?

17 DR. ANGULO: The second question, I'll
18 defer to our presenter from New York state, but I
19 think it demonstrates the unique partnership between
20 their state Department of Agriculture, their state
21 Department of Health, and their local health
22 departments, that they have a focus on *Listeria*.

1 The first question is, yes, we're aware of
2 the increase in *Listeria* in Europe. We are
3 partnering with partners at the European Centers for
4 Disease Control to do a multinational study to try
5 to look at the, ecologically, at the trends in
6 *Listeria* infections between the United States and
7 Europe. The decline that we see in human infections
8 in the United States, although stalemated in the
9 last seven years, that decline is largely in the
10 pregnancy-associated infections. If you take out
11 the pregnancy-associated infections, you see a
12 non -- but there is still not a significant increase
13 in human infections in the non-pregnancy-associated
14 infections. So we don't appear to have the same --
15 or we aren't seeing what they're seeing in Europe,
16 in general, although it is remarkable that we -- the
17 only place we have a decline in the United States is
18 in the pregnancy-associated infections.

19 MS. KAUSE: Thank you. We'll take one more
20 question. Dr. Hollingsworth?

21 DR. HOLLINGSWORTH: Thanks, Fred. Really
22 great information. And one of the things that you

1 mentioned -- or actually, I have two questions based
2 on some information that might really help with this
3 risk assessment, and that is when you were looking
4 at these geographic clusters or outbreaks that you
5 can now identify because of the initiative, you
6 mentioned that the majority of them had an origin
7 from retail deli-purchased products. Was there any
8 additional follow-up to determine if those
9 implicated products were contaminated at retail or
10 if they came into the retail department already
11 contaminated? And secondly, in these geographic
12 clusters, has there been any trace back to identify
13 if they're coming from a single retail store
14 location or are they dispersed more geographically
15 that, in fact, they implicate more than one retail
16 environment?

17 DR. ANGULO: Thank you for the questions.
18 In preparing for this talk, our group recognized
19 that we do have an opportunity to summarize the
20 outbreaks that we have in our database. These are
21 outbreaks reported to us of various sizes from state
22 health departments that describe there have been 21

1 outbreaks since 1998 through 2007, the last closed-
2 out year. The last two years, we've had a number of
3 outbreaks. And it would be useful to review those
4 outbreaks reported to us from states to see what
5 information they have in their investigations, in
6 terms of the location of -- where they think the
7 location of contamination might have occurred. So
8 many of the outbreaks are smaller outbreaks, which
9 may not have much information that allows trace back
10 through the food chain. But that summary needs to
11 be done. We have not done that yet.

12 MS. KAUSE: Thank you, Dr. Angulo. Without
13 further delay, we're going to move on to our next
14 speaker, Dr. Ann Draughon. Dr. Ann Draughon is a
15 Rodney distinguished professor and co-director of
16 the Food Safety Center of Excellence at the
17 University of Tennessee in Knoxville and served as
18 the first female president of the International
19 Association for Food Protection in 1996. In 2006
20 she headed up a team of scientists that conducted a
21 study for the National Alliance for Food Safety and
22 Security on the occurrence and enumeration of

1 *Listeria monocytogenes* in over 8,000 samples of
2 ready-to-eat meat and poultry products. With that,
3 please welcome Dr. Ann Draughon.

4 (Applause.)

5 DR. DRAUGHON: Thank you for that
6 introduction. It's a delight to be here today. And
7 I would like to point out that this was a
8 collaborative study done under the guise of the
9 National Alliance for Food Safety and Security.
10 This is an alliance of universities throughout the
11 country. And my collaborators on this project,
12 Rodine Cliver and Maha Hajmeer, at UC Davis,
13 Dr. Ryser, who is in the audience today from
14 Michigan State University, and Omar Oyarzabal, at
15 Auburn University.

16 The objectives of the study were to
17 determine the occurrence of *Listeria monocytogenes*
18 in 8,015 selected ready-to-eat meat and poultry
19 samples sliced at the deli counter and product that
20 was pre-packaged under USDA inspection. The second
21 objective was to enumerate, or determine, the levels
22 of *Listeria monocytogenes* in those ready-to-eat meat

1 and poultry samples that were positive for *Listeria*
2 *monocytogenes*.

3 The study was exhaustively designed in
4 order to meet some of the statistical requirements,
5 in order to try to establish data for a national
6 risk assessment. And the number of samples that was
7 arrived at was a combination of two things. One was
8 the number of samples needed to have a statistical
9 validity and a good representative sample of the
10 national ready-to-eat meat and poultry. The other
11 was based upon practicality, how much money could we
12 spend to do this. The study was done for about
13 \$400,000, which is actually pretty much operating on
14 a shoestring for this type of sample. The other
15 thing that USDA wanted was to look at 125-gram
16 samples rather than 25-gram samples, which we'll
17 often work with, in order to have a very
18 representative sample and, if it was there, to have
19 a better chance of detecting *Listeria monocytogenes*.

20 In designing this study, in discussions
21 with FSIS, we wanted to look at both poultry, cured
22 and uncured, beef and pork, and the beef was both

1 cured and uncured. And of course, the pork that we
2 found at the deli is cured. We also looked at 1,000
3 previously unopened chub samples or whole breast
4 samples or whatever type of sample that was at the
5 delicatessen in large packages. What we found was
6 that none of those samples were positive for
7 *Listeria monocytogenes*. So I won't be presenting
8 additional data on those large, unopened packages.

9 What we did find was one of those packages
10 did have *Listeria monocytogenes* on the exterior. We
11 sampled the exterior of each one of those before it
12 was opened for sampling. Once we opened up a large
13 package or chub or a large breast intact, we would
14 take ten samples once we had opened that package.
15 The team sat down and developed all types of
16 sampling protocols, and for these chubs, each group
17 that was sampling sampled exactly the same area,
18 geometrically, on those chubs.

19 In order to collect samples, there were a
20 number of constraints and a number of things that we
21 thought about in where would -- to sample. First of
22 all, we wanted to collect data in locations where

1 there was food-borne illness data that was routinely
2 documented by FoodNet and PulseNet since this data
3 would be needed for a risk assessment. We also
4 wanted to choose locations that were somewhat
5 representative of the United States as much as
6 possible, looking at geography, the availability of
7 different types of products, so we had a good cross-
8 section. We wanted to look at age of the
9 population, at demographics. And so a variety of
10 factors went into the selection of the locations of
11 where the samples would be taken.

12 The third thing that we wanted to look at
13 was areas where we had National Alliance scientists
14 who were willing to work collaboratively and to
15 follow some very highly specific and identical
16 detailed protocols. We wanted to have those for
17 sample collection, for how we handled samples, for
18 the actual analysis of those samples, and for how we
19 put together the data for reporting and when we
20 provided that data to FSIS.

21 This is a map of the United States showing
22 the FoodNet sites at the time of the study. And in

1 an original study that was performed by Gombas, et
2 al., and there are several of you in the audience
3 who were involved in that study, this study was
4 funded by FDA and was also done by NFPA at the time.
5 Of course, NFPA became FPA and then joined with GMA
6 to become GMA at this point in time. But that study
7 was conducted to do an overall sampling of deli
8 items of which ready-to-eat meats were a part of
9 that sampling.

10 In their study, they sampled California and
11 Maryland. Because of that, we wanted to also look
12 at, at least, one state which they had looked at,
13 and so California was chosen as one of our sampling
14 states and also because we had scientists at UC
15 Davis willing to do this study.

16 The universities who agreed to do this
17 ended up contributing about \$100,000 of their own
18 money just in time and effort, and so it was a very
19 generous move on their part for those scientists
20 that were willing to do it. They also had to
21 contribute a very specific block of time for the
22 study so that we would all be done in lockstep

1 together.

2 The other states that were chosen were
3 Minnesota, and Michigan State had a NAF scientist.
4 Minnesota did not. So Dr. Elliot Ryser at Michigan
5 State was willing to work on those samples, and they
6 used an FDA inspector in Minnesota to collect those
7 samples, and they collected all of those samples
8 using the protocol that we developed. Tennessee was
9 chosen as one of the states since that's where I'm
10 from and my lab was willing to do that, and the
11 other state was Georgia. At the time, we did not
12 have any NAF scientists from Georgia, and so Omar
13 Oyarzabal, who's at Auburn state, was willing to do
14 those samples, and they were close enough,
15 geographically, to drive there and collect samples.
16 So in three states, California, Tennessee, Georgia,
17 those samples were collected by the team themselves
18 every week for about a year. And 50 samples,
19 approximately, were collected per week.

20 Now, as far as the sampling protocol that
21 we used, it was a very detailed sampling protocol,
22 and in that original slide that I was showing the

1 collaborators, you may have noticed that a number of
2 the commodity organizations or consumer
3 organizations or industry organizations were
4 mentioned. And this was because we passed all of
5 our protocols, including our sampling, our
6 methodology for the microbiological analysis through
7 some very prominent individuals in those
8 associations. Randy Huffman who was with AMI at the
9 time -- he's now with Maple Leaf Foods, as you
10 know -- was the person that we passed our protocols
11 through. Jill, who is in the audience, she looked
12 at our protocols as well. We had someone from the
13 Turkey Federation look at the protocols, Oulis
14 (ph.). And then Jenny Scott was the person, who, at
15 the time, again, it was FPA and now, of course, GMA.

16 A lot of changes were made in those
17 protocols based upon their recommendations. We
18 collected about a one-pound sample at each deli, or
19 in each -- the packages. And the reason we did that
20 was because we needed 125-gram sample for the FSIS
21 protocol, USDA, but we also needed another 125 if it
22 was positive because we had to run that through an

1 MPN assay. In addition, we saved about a hundred
2 grams of each sample for analysis for organic acid,
3 of acetic and lactic acid, hopefully, to get
4 financing in the future from some group in order to
5 do an analysis of some of the antimicrobials that
6 were in the meat products and the levels of just
7 acetates and lactates, although that doesn't really
8 always represent the diacetate in the products that
9 were there being used as antimicrobials. But it did
10 give us an idea of the organic acid content. That
11 study has been done, by the way, and that data
12 should be released in the near future.

13 The way that the samples were collected,
14 everything was weighted, everything was generated by
15 random numbers. For example, in Tennessee at the
16 time, we had a population of about 5.6 million
17 according to the census. We have 98 counties. So
18 every county, the population was determined for that
19 county. For example, Shelby County has a population
20 of 897,000 people. So if we took the population of
21 Shelby County, which was 15.7 percent of the state,
22 and multiplied it times the number of samples to be

1 collected in Tennessee, which was 2,000, that meant
2 we had to collect 315 samples from Shelby County.

3 So all 98 counties in the state were
4 randomized by just the numbers. Those numbers were
5 selected. And then, for example, Shelby County was
6 put into the random pool seven times because we can
7 never collect more than 50 samples in a week. So
8 Shelby County was not sampled sequentially, for
9 example, week after week. It was sampled when those
10 random numbers came up. Some counties, only ten
11 samples came from those counties, so that meant we
12 had to go to five counties on that week, perhaps,
13 or, you know, four counties, whatever we needed to
14 make up the 50 samples.

15 Stores were selected in each of the
16 sampling areas using the yellow pages and then the
17 internet. And again, the stores themselves were all
18 assigned numbers from one to whatever happened to be
19 according to that county, and those stores were
20 selected by random numbers. Information at each of
21 the delis was collected on the samples that was
22 developed by the team and by our people who

1 consulted with the team so that we could collect as
2 much information at the time of sampling as
3 possible. We tried to think of everything. Of
4 course, you can never think of everything that you
5 would like to collect.

6 From the store to the lab required less
7 than 24 hours. The samples were all kept at less
8 than or equal to 4 degrees Celsius. Temperature
9 data loggers were placed in the coolers, not in the
10 product. We didn't want to damage the integrity of
11 the samples. But in each cooler, there was a
12 temperature data logger as we would collect samples.

13 These are some examples of the types of
14 information that were collected at the time of
15 sampling. We would collect any code numbers that we
16 found, the name and the type of the product, the
17 store random number was recorded, the purchase date,
18 whatever county, any inspection code information.
19 We collected manufacturing and sell-by dates,
20 manufacturing dates, and the date -- if a product
21 was in seven -- a pull-by or sell-by was within
22 seven days of collection, we would not collect that

1 sample because we wanted to make sure that nothing
2 exceeded the storage time on those samples. The
3 amount of samples I had said was about a pound.

4 And we also recorded the amount of product
5 remaining in the deli before we would initiate
6 slicing. Now, this was very rough. It was, like,
7 half, a quarter, three-quarters, or a brand-new
8 package was opened at the time of sampling. We did
9 not get that on all of the samples. We got that on
10 about half of them. Any manufacturing codes were
11 recorded, the product collection temperature, the
12 ambient temperature of the store itself since some
13 were not even air-conditioned, the formulation, if
14 it was available, for our future study, whether the
15 place was state or federally inspected, and then we
16 also encouraged brand varieties so that we would get
17 a good cross-section of the market. Brand-matching,
18 as far as retail case versus the deli case was not
19 possible. As you know, most of the time, those type
20 of products do not match, so we did not try to do
21 any brand-matching between deli case and the deli
22 slicing area.

1 We also developed something that we called
2 a consumer-based deli practices score. This score
3 was our system. It's based somewhat upon a public
4 health inspection of the store. Of course, we're
5 consumers at that point. We can't go back and check
6 the temperature of their coolers, so it had to be
7 modified. So we don't call it a sanitary
8 inspection, but it was based upon trying to see if
9 the stores were clean, sanitary, from a consumer
10 perspective.

11 The information that was provided to FSIS
12 under our agreement was that we would blind all of
13 the data so that they would never know which stores
14 were positive, which products were positive, that we
15 needed to protect the confidentiality of the
16 manufacturers and of the stores themselves so that
17 there would not be any problems with that. We also
18 gave them collection temperatures. If we had it, we
19 gave them -- if there was antimicrobials added, and
20 of course, they got which samples were positive, the
21 MPNs on those samples, but they did not know the
22 manufacturer or any personal information about those

1 samples.

2 Again, we tried to do as much as we could
3 in order to do some parallel sampling with the
4 Gombas study that was published in 2003 in the
5 *Journal of Food Protection*. And this was a
6 procedure that they used, which we thought was very
7 good. Based on data they had, they found that most
8 consumers shop at what we call Type A stores. So 75
9 percent of consumers shop at the larger supermarket
10 brands, and these are some examples of the names of
11 those brands. They're in the top 100 retailers in
12 the country. About 25 percent of consumers shop at
13 what we call Type B stores. These were regional
14 chains, private or family owned, some of the smaller
15 national chains.

16 So this is how we broke down our samples.
17 Seventy-five percent were collected at the A stores
18 that we called and then 25 percent at B. So in a
19 week, say we had 50 samples, then three-fourths of
20 those would be collected at A stores. We would
21 always go to two A stores and two B stores in a
22 week. So half of those A stores we'd collect in one

1 store and half in the other.

2 This is the analysis protocol for *Listeria*
3 *monocytogenes*. It is the USDA protocol with a
4 couple of modifications. We wanted to collect some
5 additional data, so we compared these three media,
6 MOX is what we normally use, but we also compared
7 rapid LM and CHROMagar in this study, and that is
8 published right now. It's in *Rapid Methods* -- to
9 determine which one of those we thought did the best
10 job of picking up on *Listeria monocytogenes*.
11 According to the USDA protocol, we went to horse
12 blood overlay.

13 We also used the gene track *Listeria* assay
14 at this point in addition to conventional just to
15 see how that would work in comparison. And then,
16 also, to give us a much more quick presumptive --
17 because at this point, if we got a presumptive
18 positive here, here, and here, then we would go --
19 or any one of those -- we would go to our MPN assay
20 with a second 125-gram sample because we didn't want
21 that sample to be sitting around, you know, for
22 several days before we would know whether it was

1 positive or not. We wanted to know within 24 hours
2 or less whether we needed to go to an MPN assay so
3 that it wouldn't be sitting in the refrigerator with
4 *Listeria* potentially increasing in numbers.

5 In addition, once we had positive, of
6 course, those were isolated, then we went to API.
7 We also did a GeneQuence genetic confirmation.
8 GeneQuence is a Neogen product, and originally, we
9 were hoping to use that for our study so that we
10 could just quickly pull out *Listeria monocytogenes*.
11 But at the time of that study, they were still
12 trying to perfect the assay, and we were not able to
13 use that. So we did a lot of preliminary studies in
14 our laboratories before we started this study, and
15 we could not at that time validate that particular
16 assay. Now it works very well. In addition, these
17 samples were then taken into storage for PFGE and
18 for PCR.

19 Before we look at the overall positives
20 that we found in this study, we took these and we
21 broke them down into our deli products, deli
22 poultry, the deli beef, the deli pork, and what we

1 call mixed. The mixed cured might be a bologna-type
2 product that would have beef/pork, beef/turkey,
3 turkey/pork, whatever, or all three of those mixed
4 together. We didn't have very many of those
5 samples. We only had 36, but in those mixed cured
6 products, we only had one positive, which was 2.8
7 percent.

8 Now, the deli poultry, we had 1,015 samples
9 of the uncured and 1,000 of the cured. 1,000 of
10 cured is, like, turkey/ham. And so the overall
11 positive on those was about 0.7 on the cured and
12 about 1.5 percent on the uncured product. If you
13 looked at deli beef, the cured was 0.8 percent,
14 uncured was 1.8. The uncured is, like, beef roast.
15 The cured is, like, pastramis and things of that
16 sort that have nitrites. On the deli pork, all of
17 them were cured, and we had an overall rate of 1.3
18 percent of the pork products.

19 If we go down to the pre-pack, I use the PP
20 for a pre-pack. This is a retail case product that
21 was packaged under USDA inspection at the plant. It
22 was never opened until it was received at those

1 laboratories and opened for analysis.

2 In those, if we looked at the pre-packed
3 poultry, the cured, we had 0.1 percent positives,
4 the uncured, about 0.2 percent. With the beef, we
5 had no cured beef products that were positive for
6 LM, and with the uncured, about 0.2 percent. That's
7 beef roast, primarily. And then with the cured pork
8 products, about 0.2 percent.

9 So we begin to see a trend very quickly in
10 that with our deli-sliced product, the percent
11 positives were quite a bit higher than our pre-
12 packed product. The other thing that was notable, I
13 thought, in this was that there does seem to be
14 something of a trend between cured and uncured
15 products since with the poultry, we had about twice
16 as many positives with our uncured. And again, with
17 our beef products, we see the same thing. So it
18 appears that the nitrites and the salt that occurs
19 with the cured products and probably the pH change
20 as well, those are less likely, at least by half, to
21 be contaminated with LM. This is a fairly
22 representative sample with this large number of

1 samples.

2 Now, if we look at the overall study,
3 California had 2,015 samples. They took a few
4 extras for some reason. And each of the other
5 states had 2,000, for a total of 8,015 samples.
6 California had no positives in their pre-packed.
7 Georgia had no positives in pre-packed. Minnesota
8 had four. And Tennessee, two were found. That gave
9 us a total of six positives.

10 We had a total of 55 positive LM isolates
11 from the ready-to-eat meat and poultry products in
12 the study. There were 49 of the deli-sliced that
13 were positives. And you can see they're pretty
14 well-distributed throughout the states. This gave
15 us an overall positive for the entire study of 0.69
16 percent. But again, we see in the pre-packed, we
17 had an overall positive of 0.15 percent, and then
18 our deli-sliced, 1.23 percent, which is about eight
19 times higher at the delicatessen than it was at the
20 pre-packed product.

21 If we compare this to the NFPA/FDA study
22 that was published in 2003 by Gombas, et al., they

1 found 0.4 percent positive in the pre-packed
2 product, so this is a fairly sizable reduction in
3 that time period between 2003 and in 2005 and 2006,
4 when our study was done. Also, the deli-sliced, the
5 reduction there, they had 2.7 percent overall, and
6 our deli-sliced is about 1.23. Overall percent is
7 about the same, and this is because about 78 percent
8 of their products actually came from the case where
9 it was already pre-packaged. So only about a
10 quarter of their samples were actually taken at the
11 deli, so the majority of samples were taken at the
12 pre-packed product. So this brought their overall
13 percentage down.

14 If we look at this just on a percentage
15 basis, with the pre-packed product, we see about a
16 63 percent reduction between this period of time
17 when they collected their samples and in 2005/2006.
18 With the deli pack, again, there was a 55 percent
19 decrease. Still, the ratio of the LM in the deli
20 versus the pre-pack, there's was about 7:1 and ours,
21 about 8:1. So the ratio of positives really hasn't
22 changed that much although the occurrence of these,

1 or the prevalence, has changed.

2 One thing that I think is interesting, we
3 all know that what a lot of us call the *Listeria*
4 rule was put into practice in the fall of 2003.
5 That was when each of the meat and processing plants
6 had the opportunity for ready-to-eat meats to choose
7 one of three alternatives for their product as a
8 part of their HACCP program. So with the study that
9 was done before the implementation of the *Listeria*
10 rule and the various alternatives and what was done
11 afterwards, this does appear to have contributed to
12 a significant reduction in the *Listeria* that was in
13 the product since we're getting less than half.
14 Also, I think it's attributed to the fact that the
15 retail industry has implemented more and more
16 emphasis on sanitation, HACCP programs at retail,
17 and this has also certainly contributed to the
18 reduction at the retail level as well.

19 Now, if we go to looking at how many cells
20 of *Listeria* were actually present in the products
21 that were positive, if we look at the MPN of these
22 samples, you see that the majority of the positive

1 samples were in the 0.08 to the 0.3 -- this is MPN
2 per gram of sample. Remember, we were checking 125
3 grams. So one sample in 125 grams would be 0.08.

4 And so with an MPN, you don't always know
5 exactly the number since they're based upon a
6 statistical table. A lot of times, it'll be, like,
7 less than 0.3 or less than whatever is the lowest
8 amount that is recorded under an MPN. But still,
9 we're looking at number of positive samples, and the
10 total samples, as I said, are here. And so very few
11 samples were in the 0.3 to 0.1, and we've got three
12 samples here at the 1 to 10 range, two samples at
13 the 10 to 100, and three here that were greater than
14 100. And those that were greater than 100 were in
15 the 200 to 300 range. So they were not at the upper
16 level but at the lower level of that range. One
17 thing you see is that the pre-packed, we had none in
18 any of these ranges here. All of our pre-packed
19 samples were at the 0.08 to the 0.3 range, which
20 tells us that the levels in those pre-packed are
21 also lower than what we're seeing at the retail
22 deli.

1 Again, looking at a comparison of the study
2 done in 2002 by Gombas, et al., that was used for
3 the national risk assessment in 2003 and the 2005
4 samples that we did -- they're used under the
5 current study that we're talking about today -- the
6 majority of samples in their study also was in this
7 0.04-0.08 to 0.1 to 0.3 range. So that has not
8 changed. The majority of these samples are very
9 low, as far as levels of *Listeria monocytogenes*.
10 Now, this is at the time of sampling before they've
11 had a chance to sit in the refrigerator and
12 incubate.

13 However, the one thing that we see is that
14 they did have more samples in this 0.1 to 0.3 range.
15 These are looking at percent positives. They had 78
16 positives in their study, and we had 55. So I
17 wanted to put them on a equal basis, and that's why
18 you're looking at percentages here. In the 1 to 10
19 range, again, they had probably about twice
20 percentage-wise as many samples. In the 10 to 100,
21 I think we had slightly more. In the 100 to 1,000,
22 they were higher on the levels of MPN, as far as the

1 *Listeria*. And then they had just, I think, one in
2 that 1,000 to 10,000 range. And in this study that
3 was done in 2005, there were none in that range. So
4 we are seeing a reduction in the overall level of
5 contamination of *Listeria monocytogenes* in ready-to-
6 eat meat and poultry whether it is in, you know, the
7 deli or in the pre-packed product.

8 The deli checklist that we did was based
9 upon a score of total of ten. Part of that was so
10 we didn't go to a 100 and people would think that it
11 was a public health inspection. So we wanted to
12 have as little confusion about this as possible.
13 Under this little scale that we did of zero to ten,
14 what we did was assign two points to each of five
15 categories. Personnel, the most you could get was
16 two, the least you could get was zero; product, you
17 could get zero to two; display case, zero to two.

18 For example, if your personnel were
19 unclean, if their aprons had blood on them, if they
20 were wearing no hairnet, if they didn't wear gloves,
21 they would probably get a zero. And again, we
22 trained our samplers. We had the same people

1 sampling all the time so that they would always try
2 to score these places as consistently as possible.

3 With product, if it was not properly
4 refrigerated, if they would leave it out at room
5 temperature after slicing or if they'd pick up a
6 product that had been left at room temperature from
7 the previous customer, they'd probably lose a point.
8 If there was raw meat in the case with the deli
9 meat, they usually went immediately to zero because
10 that was just a deadly critical mistake, of course,
11 putting raw meat and ready-to-eat meat together.

12 The display case, if it was unclean, they
13 would lose points. Some people at the smaller
14 places do not have a display case. They have a
15 refrigerator, and they would very carefully reach
16 into the refrigerator and get your product out.
17 Often, it would be wrapped in butcher paper.
18 Sometimes the product was not even wrapped at some
19 of the smaller locations.

20 We'd look at the visible deli facilities.
21 Remember, we're not going inside the work area.
22 We're a customer, so we can only see what a customer

1 would see. And as far as the premises, if we
2 smelled off odors, if we saw rats, if we saw mice --
3 yes, we saw a few of those -- did we see dead mice,
4 yes. Not in your major chains. I never saw
5 anything like that in the large chains. But in some
6 of the smaller places, we would see things. We
7 would see cockroaches. We would see ants. We would
8 see flies in different places. And, of course, you
9 see those flies in some of the larger places, but by
10 and large, these unusual types of things that were
11 rather disgusting were in these smaller chains. We
12 would also get ambient temperatures. If we saw
13 standing water and things like that, we would make
14 notes of it.

15 This is what the checklist looked like. I
16 know you can't see it, but each of the delis would
17 be scored. Since we were having them slice eight to
18 ten products at a deli, it gave the person plenty of
19 time to sit there and do the checklist, and they
20 would do it as surreptitiously as possible. We had
21 talked with Jill in case people gave us a lot of
22 trouble. And so if anyone had a real issue with us

1 making this little checklist or taking temperatures,
2 she had given us a letter to say, you know, they're
3 going to do this, they're going to protect your
4 confidentiality, so try not to worry about it too
5 much. So we didn't get thrown out anywhere.

6 If we look at these deli checklist scores,
7 this is for all the stores that we looked at. The A
8 stores are the green. The B stores are the red.
9 You can see that your major brands, your major
10 chains, are all pretty much in this, you know, five
11 and above; predominantly, in the eight to nine to
12 ten range.

13 Now, our B stores went all the way to zero.
14 All the way to zero was the guy who came out with
15 blood on his apron. He was just finished cutting up
16 some meat. He had raw and ready-to-eat meat in the
17 same deli case, and he didn't bother to wear gloves,
18 but he was nice enough to wipe his hands on his
19 apron before, you know -- so that was a zero. And
20 we had a few of those, as you can see. And of
21 course, ones were about as bad, and two. It had to
22 be pretty awful to get down into this range. But

1 you see none of your major chains were there.

2 Now, we did have a few of the major chains
3 that were the three to four range, and then, but as
4 I said, predominantly, we were pretty much up in
5 this area, which was good news. This area down here
6 is troubling and particularly since those B stores
7 often don't have the educational programs in place
8 that some of the larger chains have the resources to
9 do.

10 Now, if we look at the positives in these
11 stores based upon their checklist, this data was
12 pretty interesting. The majority of our positives,
13 as you see, are in the eight, nine, and ten range.
14 Of course, that's where most of the stores were
15 rated, and so there was also this frequency of
16 you've got more stores, you've got more
17 possibilities for positives.

18 Down in this area, these were your B
19 stores, you know, that were positive. We only had
20 maybe ten of those stores, so about 10 percent,
21 then, were positive. So if they're getting these
22 low scores -- this one, we only had maybe ten or

1 twelve of these stores. So the scores that are
2 getting these lower scores, even though it's not a
3 sanitation, it's just a visual, you know, look at
4 the area, if they don't have a clean facility, they
5 were much more likely to have positives. That's
6 what this is saying.

7 On the other hand, the stores that do look
8 clean are not necessarily going to be free of
9 *Listeria*. Clean and sanitary, as we know, are two
10 different things. You can be very, very clean, but
11 if you're not sanitized, then we'll still have
12 *Listeria* present. And I'd like to note none of the
13 A stores, though, that were in this ten range, none
14 of your big chains that got a ten were positive, had
15 positive samples. So our larger stores -- and we
16 had a lot of those in that ten range. So the stores
17 that were doing a really good job as far as looking
18 clean and having clean facilities and taking care of
19 business, none of those were positive, and I thought
20 that was a pretty interesting sign.

21 If we look at the product temperature at
22 the time of collection, we would not actually

1 penetrate that product. We would use an infrared
2 thermometer. As they would hand it to us, we would
3 use the infrared thermometer to get a product
4 temperature. You can see that with just -- these
5 are just our positives because, of course, we had
6 8,000 samples. But looking at the number of samples
7 by temperature on the x-axis, you can see that the
8 temperature range of these positives ran the gambit.
9 But we did have product in the 15, 20, you know,
10 well above five degrees where it should have been.
11 The way this product got so warm was that it had
12 been sitting out. And in one instance, the store --
13 the refrigerator was broken, or the display case was
14 broken. And so they had product in that. And they
15 were trying to get it fixed.

16 This is just a quick reminder for us that
17 how long do consumers keep deli meats in the
18 refrigerator, and this was a study -- it's AMI, FDA
19 CFSAN. And you can see that around 80 percent are
20 keeping sliced deli meats for up to seven days. And
21 we know that *Listeria* grows quite rapidly under
22 refrigeration. So even if we're starting out 1 to

1 10 cells, we could very quickly get into ranges that
2 would be quite hazardous within the times that the
3 average consumer might hang on to some of that
4 product.

5 Also, I was kind of curious. Fifty-six
6 grams is a little bit less than two ounces, which is
7 considered kind of an average serving for a sandwich
8 unless you have children like my sons, who consider
9 half a pound an average serving. But if you -- 83
10 percent of our samples were less than one MPN per
11 gram. And if 56 grams was the typical size, then
12 they would be consuming maybe 56 grams, which, you
13 know, it's problematic, but maybe not so of great
14 concern as we get into these higher ranges. Eighty
15 percent of our samples were in the 1 to 10 MPN per
16 gram, which means that you would be consuming in
17 this range. Four percent were 10 to 100, which
18 means that now we're getting into an area that we
19 begin to get a little more concerned about. And
20 then 5 percent were in this range here, where I
21 would be quite concerned about those levels. And
22 again, this is at the time of sampling, not after

1 they've sat under refrigeration and been allowed to
2 increase. And we know that the generation time on
3 *Listeria* is somewhere to up to about one log in
4 every 24 hours at five degrees. So it can go up as
5 much as a log per day in the refrigerator.

6 Some concluding thoughts for all of us is
7 that our prevalence has decreased quite
8 significantly based on the previous study done by
9 Gombas, et al., and FDA, NFPA at the time, so we
10 were down to about 0.15 percent in pre-packed and
11 about 1.2 percent in the deli-sliced products. LM
12 levels were reduced, also, compared to 2002 samples,
13 and you saw the MPN tables on those.

14 We believe that intervention is really
15 needed to assist some of the smaller grocery deli
16 operators to improve deli practices. They do not
17 have in-house resources for that. They often do not
18 have people trained. And so I'm not quite sure what
19 the solutions are. The people in this room
20 certainly are better qualified to come up with some
21 ideas than I am.

22 And finally, the delis that look clean and

1 are very attractive and have beautiful displays, as
2 we are beginning to learn, may still have some
3 issues with LM. But certainly, cleanliness and
4 sanitation, based upon the fact that none of our
5 large chains had any of the positives in the ten
6 rated stores, shows us that, you know, that's a good
7 start. If the place is clean, if it looks clean, if
8 everything is picked up, if they have hand-wash
9 stations, if they're being very careful not to let
10 the product touch the balances when they're
11 weighing, those all make a great difference and
12 contribute to a healthier product. Thank you.

13 (Applause.)

14 MS. KAUSE: Thank you, Ann Draughon. I
15 think we're going to adjust the agenda just a little
16 bit. We're going to go ahead and take one or two
17 questions right now. Then, at 10:00, we'll take a
18 15-minute break, and then we'll resume with Mr. Joe
19 Corby and Dr. Martin Wiedmann. With that, are there
20 questions online or here in the audience?
21 Dr. Martin Wiedmann?

22 DR. WIEDMANN: So you found that the cured

1 meats sliced at deli have a lower prevalence than
2 the uncured ones. I presume that there would be no
3 reason why at deli they would be less likely to get
4 contaminated. So if they both get contaminated with
5 the same frequency at deli, why do you recover less
6 from the cured ones, because it's indicated that
7 curing, or cured products, interferes with
8 detection. I can't quite explain those findings.

9 DR. DRAUGHON: Well, I have the same
10 problem. And the only thing I could think of is
11 that there appears to be some continuing lethality
12 or problem with detection of LM in these cured
13 products, some residual lethality. And of course,
14 everything comes back to detection. You saw that
15 the majority of our samples were less than, you
16 know, 0.3 MPN. So at that very, very low level,
17 anything at that point could contribute to the
18 inability to detect. I think that's probably part
19 detection and partly, we may have some residual
20 lethality of the nitrites.

21 MS. KAUSE: Thank you, Ann. Do we happen
22 to have anybody on the phone line who has a

1 question?

2 OPERATOR: If you would like to ask a
3 question via the phone, please press star, then one.
4 One moment, please. Press star, then one to ask
5 your question. I show no questions at this time.

6 MS. KAUSE: Thank you very much. Are there
7 other questions here in the audience? Dr. Dan
8 Gallagher?

9 DR. GALLAGHER: Ann, an interesting talk.
10 I just wanted to ask one question about the percent
11 reduction from the earlier study to this current
12 one. Could that possibly even be more than the 50
13 percent that we were talking about here because of
14 the difference in the sample sizes between the two
15 studies? In other words, I know you used 125-gram
16 samples. I believe the Gombas study used 25, but
17 I'm not certain. But since you had a lower
18 detection limit, would that perhaps even drive --
19 show that we've improved the situation even more
20 considerably than the 50 percent?

21 MS. DRAUGHON: That's a good question. And
22 we had thought about that. And that's a very good

1 point because our level of detection on these
2 samples was five times higher. So yes, I think that
3 that's a very pertinent point. It's even actually
4 lower. If we'd taken the 25-gram instead of the
5 125, I would imagine that we would have had a lot
6 less positives just because of sampling sensitivity.
7 So the circumstance is actually much better today
8 than it was five years earlier.

9 MS. KAUSE: Thank you.

10 (Off the record.)

11 (On the record.)

12 MS. KAUSE: All of you have had a chance
13 this morning to hear a little bit of background on
14 listeriosis and also a study on retail. We're going
15 to follow-up the morning session on two more talks
16 on *Listeria monocytogenes* at retail. Our next
17 speaker is Mr. Joe Corby. Joe Corby worked for the
18 New York State Department of Agriculture and
19 Markets, Division of Food Safety and Inspection for
20 37 and a half years, where, in 1994, he was
21 appointed Director of the Division of Food Safety
22 and Inspection until he retired in May 2008. He

1 currently serves as the Executive Director for the
2 Association of Food and Drug Officials and continues
3 to work on a part-time basis for the U.S. Food and
4 Drug Administration State Training Branch. With
5 that, would you please welcome Mr. Joe Corby.

6 (Applause.)

7 MR. CORBY: Well, thank you. Thank you for
8 the invitation and the opportunity to defend the
9 great state of New York.

10 (Laughter.)

11 MR. CORBY: A number of years ago, after I
12 did a project with FSIS, and we did a survey of the
13 states to see which states were active in conducting
14 surveillance with *Listeria monocytogenes*. And I
15 think there were 12 states that had active
16 surveillance programs. And it was clear that New
17 York was doing the bulk of the work in the states.

18 And a lot of people would ask me, well, why
19 are you doing that? Why are you doing so much work
20 with *Listeria*? And one of the reasons is we have a
21 history in New York. My agency that I directed did
22 both the manufactured foods and the retail

1 supermarkets. We did everything in New York except
2 the restaurants. And there was a history that goes
3 back to the smoked fish industry. I, in fact,
4 worked in Brooklyn for a few years working in the
5 smoked fish plants because we had an issue with
6 clostridium botulinum. And we spent a number of
7 years trying to work with them to put action plans
8 together and to write regulations relative that were
9 focused on clostridium botulinum.

10 And no sooner did we complete that, that
11 *Listeria* really hit that industry and hit that
12 industry very hard. And although I don't think
13 there's really been a listeriosis outbreak
14 associated with cold smoked fish, it clearly has
15 been very damaging to that industry because in
16 routine surveillance samples, there's a lot of
17 samples that wind up being *Listeria*-positive. And
18 we did some things with that industry that I'll
19 explain a little bit later. Government is always
20 ragged on for being reactive and not being
21 proactive. So rather than just turn our backs on
22 this and because we were exposed to it with the

1 smoked fish industry, we wanted to be proactive.

2 And we did not choose to throw up the white
3 flag when it comes to retail food stores. And from
4 our perspective, and I think this is a very
5 important point, there is a huge cultural difference
6 between large supermarkets and small supermarkets
7 that exists. And it's not only in the level of
8 training and education that exists with large
9 supermarkets and does not exist with the smaller
10 ones, but it's practices that routinely occur in
11 these small businesses.

12 The use of deli ends of meats when they're
13 not selling in the deli, well, they throw them in
14 the ham salad; the practice of making fermented
15 sausage without starter cultures; and there's a
16 whole host of other practices that routinely occur
17 at these smaller establishments, not to mention cash
18 and carry firms, where small businesses,
19 restaurants, and stores will go to a cash and carry,
20 buy all their provisions and drive them back to
21 their restaurant or their grocery store in an
22 unrefrigerated vehicle. Those things routinely

1 happen.

2 And of course, when we see things like
3 that, and that's our expertise, the price of poker
4 really goes up because it really becomes a risky
5 adventure then, and because it's a public health
6 issue. The Post Standard is a Syracuse paper. I
7 think the one below it was out of one of the New
8 York City papers. And when these things occur, they
9 do not call -- the media does not call the federal
10 government. They call us, and they want to know
11 what we are doing about these issues. And so
12 because that's another reason that we have to be
13 proactive on these issues.

14 So what do we do? Our surveillance is we
15 began with scheduled samples, had about a hundred
16 inspectors across New York State, and we would make
17 assignments throughout the year that they would go
18 and take samples at grocery stores, manufacturing
19 plants, food warehouses, and it would be tested for
20 *Listeria*. And where we found positives, we would
21 take enforcement action. That could be going back
22 and closing the deli. It could be a recall, a press

1 release, depending on where we believed the product
2 may be in the domestic channel, whether we believe
3 the consumer could still have that product.

4 Some of the worst case situations is where
5 we would get into establishments that would have
6 multiple recalls and multiple cases of *Listeria*-
7 positive. We would wind up getting injunctions
8 against those firms, and we'd put them on a hold and
9 test. The two biggest cases was a sandwich
10 manufacturing plant and a huge salad manufacturing
11 plant. We just couldn't get the *Listeria* out of
12 those plants.

13 And the best story, and Martin sometimes
14 talks about it, is where we found it in one deli
15 case in a small store. They couldn't get it out of
16 the case. It got down into those hard-to-clean
17 areas. They had to get rid of the deli case. It's
18 the only way to get rid of *Listeria* was to get rid
19 of the equipment. And it was just that persistent
20 of an organism.

21 And because of this huge cultural
22 difference in retail, we believe that the education

1 and training we could provide to the small business
2 associations that represented the Korean grocers,
3 the Chinese-American grocers, the Dominicans, and
4 all of these groups was very important to inform
5 them of the critical importance they have when they
6 work in these deli areas. And the things that
7 they're exposed to every day, like a mop and a
8 bucket, that they think is nothing, the fact that
9 people walk into their little deli areas and they
10 think it is nothing, and yet it's very important.
11 And that's where we wound up targeting a lot of our
12 resources was in these small establishments who were
13 unaware of those circumstances.

14 And then we decided to do some research
15 with our friends from Cornell University on
16 *Listeria*. Regulatory agencies typically do not do
17 research. We decided to do it because we wanted to
18 know if what we were doing was the right approach,
19 whether we were looking at the right areas, whether
20 we should even be going out and taking all of these
21 surveillance samples.

22 We began sampling in 1997. It took us

1 three years to be smart enough to decide that we
2 ought to start collecting this data and recording
3 it. So we really don't have the data coordinated in
4 a proper fashion since 2000. And since 2000, we've
5 taken about 6,000 samples. Now, I left last year,
6 but I think New York has discontinued a large
7 surveillance of products because of what I'm about
8 to show you. And again, it was a multilevel
9 approach, trying to identify through sampling
10 whether it was an issue at retail or perhaps an
11 issue at the manufacturing plant. I told you about
12 the enforcement actions, collecting the data, and
13 providing education.

14 Here's our data results here. And
15 typically, it was from a high, when we first
16 collected, coordinated the data, of four and a half
17 percent, to a low, back in '06, which was about the
18 end of when we did all of this surveillance, of 2.8.

19 And here is the establishments that we
20 regulate, where we found the products. A, where it
21 says store, it is not the large supermarkets. Those
22 are the smaller places, and you see the majority of

1 what we found was in those small stores who may be
2 doing some of the manufacturing. They may be
3 establishments who are making -- is an ethnic
4 butcher shop that's making their own fermented
5 sausage. Dairy manufacturing plant, we have one
6 dairy plant in Brooklyn that makes the Mexican-style
7 cheeses, and we spent a lot of time doing samples in
8 that particular plant. And we have had some
9 problems in that plant. The food manufacturers are
10 the larger manufacturers, the salad manufacturers,
11 the sandwich manufacturers. We even go in
12 warehouses because we do collect some pre-packaged
13 products, including some of the packaged lettuce or
14 salad mixes. The multiple operations are typically
15 the large supermarkets.

16 And here are the implicated products. The
17 smoked fish was one of our large ones. And again,
18 we have some skills and training about smoked fish.
19 We know which ones to target. We know there is hot-
20 smoked and cold-smoked, and typically, we will focus
21 on those cold-smoked products. An interesting thing
22 about the smoked fish, however, is that industry was

1 so devastated that the large ones in Brooklyn got
2 together with, I believe, it was the National
3 Fisheries Institute and I believe the Food
4 Processors' Association and Cornell University and
5 FDA and put together a *Listeria* action plan. And
6 they employed this. And this is not a plan that
7 should be used in retail. It is a specific plan for
8 smoked-fish operators. And you know what? It
9 seemed to work because, originally, we would get all
10 these positive samples of our smoked fish plants,
11 and then later on, we did not.

12 There were other processed fish where there
13 was a lot of handling. And again, this is the thing
14 we began to learn as we did this research. You
15 would get establishments that would trim the bellies
16 off these cold smoked salmons and they would throw
17 them in a bucket, and they'd keep refrigerating that
18 bucket day after day after day until they could fill
19 up the bucket and ship it to somebody for further
20 processing. Great target for *Listeria*. Great
21 target because the more you handle a product, the
22 more you refrigerate it, the higher the risk for

1 *Listeria*.

2 Fermented sausage, you go in a wholesale
3 plant, the USDA does, I would imagine in all of
4 those plants, they will use starter culture, which
5 reduces the pH in that mixture that is to be
6 fermented, immediately. It'll get it right down to
7 5, 3, or even lower so you can control Staph. In a
8 small, ethnic food store, they don't use that stuff.
9 They have recipes that their grandfather's
10 grandfather's grandfather had passed down to them,
11 and they ferment with salt, which means they mix it,
12 put salt in it, and then they put it in a cooler for
13 a month. And that is to prevent the growth of
14 Staph. But what does that do to *Listeria*? Nothing.
15 It does nothing because *Listeria* will grow at those
16 refrigerated temperatures. So that became a big
17 target for us.

18 Cold cuts, the deli-sliced, we had some
19 problems, but our big one was with the sandwiches.
20 And again, it's the multiple handling of these
21 products that raises the risk with these deli-sliced
22 products. The processed meats are prepackaged USDA

1 products that we would sample in the package, in the
2 chub, and we had some of those. Salads was a big
3 one, and again, it's the multiple handling. And the
4 dairy is our friend in Brooklyn with the soft
5 cheeses.

6 Our messages from our surveillance is the
7 organism is very persistent. We recognize the
8 importance of establishing a *Listeria* action plan
9 specific to that type of establishment. The use of
10 starter cultures and the multiple handling of
11 products raises the risk, and the training of
12 employees is very critical.

13 The next thing we did was a collaborative
14 project with Cornell University. And it was done in
15 three phases. The first phase -- and again, the
16 purpose was to get a better understanding of what we
17 were doing and the transmission and sources of
18 *Listeria*. Phase 1 was a 12-month project. And we
19 took select inspectors, and we trained them on
20 specifically how they needed to do this project.
21 And they would go into ten retail food stores each
22 month. And they would collect samples of deli meats

1 and salads, including intact products: the whole
2 container of unopened salad, the whole chub of a
3 deli meat product. And they would also take up to
4 ten environmental swabs. And where there were
5 positives, we'd share those isolates with Cornell.
6 They would be ribotyped.

7 Out of 185 foods that were tested, five of
8 them were positive. The only deli meat we found
9 positive was an intact product. It was not a deli-
10 sliced product. However, we did find four other
11 salad products, of which one was an intact product,
12 that were positive for retail.

13 Of the environmental samples, we took 1,158
14 environmental samples. Seventy-three of the 121
15 establishments we went into, we would find *Listeria*.
16 Again, these are the big supermarkets. Generally,
17 we might find one, might find one floor drain here
18 or there in these large supermarkets. So 60 percent
19 of those establishments that we visited, we found
20 *Listeria*. And there's the products. And of the
21 1,158 environmental samples, 151 were positive.

22 Here is where they were, and I think this

1 is -- okay. Here's the interesting thing, or what
2 we believed was the interesting thing of the
3 positives is that 12 of them were in the deli case
4 right out in a consumer area, where a consumer
5 purchases their milk. The other thing we saw was
6 this deli sink interior, not typically viewed as a
7 contact surface, but there's a lot of things that
8 happen in these deli sinks. You can thaw products.
9 You can open these packages. And there's a lot of
10 things that go on in these deli sinks. And we
11 thought, jeez, maybe that is a good place for cross-
12 contamination. But you see the bulk of them in the
13 large supermarkets were in the floor drains. And I
14 think there was one contact area was the deli
15 slicer. So from this, we looked at, you know, well,
16 it's mostly floor drains, but why the dairy case and
17 why the sinks?

18 So we believe that, based on this initial
19 study, that the large supermarkets really did have
20 good sanitation programs, that they seemed to be
21 protecting their deli areas, this high-risk deli
22 area where there was a lot of handling going on.

1 Yes, we found in the environment we would find
2 *Listeria*. But it appeared as though through good
3 sanitation, they were protecting their deli areas.
4 And so we did not believe that what we found was all
5 that surprising. *Listeria* is commonly found. But
6 we believe that it can be controlled.

7 And we began to think that our surveillance
8 might be best applied in establishments where
9 sanitation was very poor, which took us, then, to
10 Phase 2. Let's go into the smaller establishments,
11 the small butcher shops, the small stores that
12 simply make sandwiches or dispense salads. This was
13 a six-month project. And again, these inspectors
14 would go into ten retail food stores a month.

15 Again, these are establishments that do not
16 typically have a sanitation program or have
17 consultants coming in or have somebody within a
18 company that has food safety expertise. Again, the
19 deli meats and salads were collected. And where we
20 could get intact samples, we would do that as well.
21 And we would do the environmental swabs and ribotype
22 those isolates.

1 A little bit lower here. Of the 60
2 establishments, 32 of them, we found *Listeria* either
3 in the product or in the environment. So 53 percent
4 of the establishments that we went into, we would
5 find *Listeria*. No cold cuts. All the
6 establishments that we did cold cuts either intact
7 or sliced, we did not find any *Listeria*. We did
8 find one positive salad sample out of the 51 that
9 were collected. So our focus is let's look at the
10 environmental samples.

11 In this case, we find a few more contact
12 areas, five, six places, contact areas, where we're
13 finding *Listeria*. This is not good. This is not
14 good, we said. And there is our friend, the sink
15 interior again, which was still high. There is our
16 dairy case again, which was still high. And, aha,
17 the milk crates, the milk crates. In the smaller
18 stores, they typically use these milk crates. And
19 again, I go back to what I said about the culture
20 difference between the large and the small. It is
21 not uncommon to drive through New York City or
22 Buffalo through these small stores and see these

1 products stored out on the street. Yeah, it might
2 be raining, and the milk is there and the milk
3 crates, and they bring the milk in off the street or
4 off the dirty truck, and they put it in their
5 cooler. And all they're doing is transferring
6 *Listeria*. So we believed, wow, what a great study.
7 We've just incriminated these dairy crates. And
8 they're used a lot in these grocery stores. But
9 there it is: the milk crates to the dairy case, and
10 the *Listeria* lays in those coolers.

11 The other thing we found as we ribotyped
12 these is certain establishments were really laced
13 with *Listeria*. And here is one establishment right
14 here. And I believe this is Martin's data. And
15 there's seven or eight, and you see all but one are
16 the same ribotype. And it's in the floor drain.
17 It's in that doggone sink interior, and it was
18 transmitted right to the utensils that is used on
19 product. So there was a great case of the transfer
20 or transmission of *Listeria* from a floor drain to
21 the sink interior right to the utensil.

22 Messages there? Well, yeah, there were

1 fewer stores implicated than large supermarkets, and
2 we were beginning to see, well, maybe there's just
3 50 percent of these places we're going to go in
4 where we're going to be able to find *Listeria* in the
5 environment. But again, some of these places it was
6 really laced in these small stores. And there
7 appeared to be a more widespread distribution of the
8 organism.

9 And we began to think again that
10 surveillance might be best applied in establishments
11 where sanitation was poor, which brought us to our
12 final phase, Phase 3, which was to go into the bad
13 stores. And we do have some bad stores in New York.
14 We decided that we would target retail stores who
15 failed their last three consecutive inspections for
16 unclean equipment or cross-contamination issues in
17 the deli or salad prep area. We identified all
18 those through our data systems, and we sent our
19 inspectors back out to do the same thing.

20 And the only positive product we found was
21 an antipasto salad, which was using some of the end
22 cuts of the deli meats that I talked to you about

1 earlier that, you know, rather than throw that away,
2 let's get it in a salad. Let's get mayonnaise in
3 it. Let's get a salad dressing or an acid into it.
4 And that's where we found an antipasto salad
5 positive. And again, not only did we do the food
6 products, we did environmental samples.

7 Thirty-nine of the 60 establishments we
8 found *Listeria* in. We're back up to the 60 percent.
9 So whether it was the big supermarkets, the small
10 supermarkets, the dirty supermarkets, or the dirty
11 grocery stores, it appears that we were able in all
12 three examples to find *Listeria* in about 60 percent
13 of those places.

14 So let me conclude by going to the
15 environmental samples to see what we can find there.
16 Well, pretty much the same thing except we had more
17 contact equipment again. There you have the deli
18 slicers. Our friend, the deli sink, is still quite
19 high. There is the dairy case. You know, the
20 floors and the mats at the front, the shopping
21 carts, we did all those, and I mean, that was easy
22 to find *Listeria* there. People are always bringing

1 in *Listeria* into the establishment. There's our
2 milk crates again. That is fairly high. There's
3 the floor mat that you see at the front of the store
4 when you walk into some of these little stores.

5 It was a very great experience for us to do
6 this. It really helped us target our education on
7 who really needed us. I think it helped us target
8 our inspection approach, what products to look at,
9 what type of practices to be concerned with, you
10 know, like the sandwiches or the trimmings or
11 extending a product. And I think it made us better
12 inspectors. And though I'm not in New York anymore,
13 I do believe they have stopped doing their routine
14 surveillance of all of those products, and they have
15 started collecting samples at establishments where
16 we believe there truly is a risk. When we believe
17 there is cross-contamination, unclean equipment,
18 that's the time we need to be proactive and take our
19 surveillance samples. I thank you very much.

20 (Applause.)

21 MS. KAUSE: We have time for one or two
22 questions, and just as a reminder, if you have a

1 question, please state your name and your
2 organization. Thank you. Anybody here in the
3 audience? Yes?

4 AUDREY: My name is Audrey. I'm from FDA
5 CDM. I have a question because I'm late. I don't
6 know if someone already asked it. So compared to
7 the *Listeria* contamination versus *E. coli* and
8 *Salmonella*, which one is the most popular in the
9 overall retail from your --

10 MR. CORBY: Well, that's an interesting
11 question. It seems to evolve. It really seems to
12 evolve. You know, for a certain, it was *E. coli* at
13 one time. And then it became *Listeria*. That's a
14 hard question for me to answer, which one is more
15 popular. None of them are popular. It's just that
16 it just seems like, you know, I guess *Listeria* kind
17 of slowed us down from what we were doing with the
18 *E. coli*, and I guess we're waiting for the next bomb
19 to go off to see what that will be. But I guess I
20 can't give you a good answer. They're all unpopular
21 to us.

22 MS. KAUSE: Yes?

1 DR. HOLLINGSWORTH: Jill Hollingsworth,
2 Food Marketing Institute. Joe, if you were put in
3 charge of another city/state health department and
4 wanted to implement *Listeria* control plans and
5 monitoring and surveillance, what would you
6 recommend as the best approach? Is it product
7 sampling, environment, contact, big store, small
8 stores? How would you sort of go about, based on
9 your experience here, focusing your attention?

10 MR. CORBY: I think I would start with
11 identifying the sanitation practices that I think
12 all states are aware of. If I was to find out that
13 an establishment has failed nine of its last
14 inspections because of unclean equipment or cross-
15 contamination, I think that probably would be a good
16 place to target surveillance. I would also try to
17 find out the types of practices that exist in these
18 stores, the ethnic food stores, what they're making,
19 what they're handling, and things like that. And
20 again, I go back to the multiple handling of
21 products, the practices that exist that extend a
22 product. I would look very closely at that, and

1 that's -- and I would always have an education
2 program for all businesses.

3 One thing we did is if a particular chain
4 or an ethnic trade association wanted a specific
5 program for them and them alone, we would do it, and
6 we thought that was very important. If D'Agostino's
7 in New York City, and we did it for D'Agostino, we
8 would go into their stores. We would just take some
9 very simple pictures with them, and we would bring
10 all of their deli people together, and we would talk
11 about *Listeria*. And we would show them in their own
12 environment. And again, these are people that walk
13 by these mops and buckets and they walk by these
14 issues day in and day out thinking there is nothing
15 wrong with it when it is. So I think that is
16 another thing that I would do, okay?

17 MS. KAUSE: Thank you, Joe. We're going to
18 hold questions. We have Dr. Martin Wiedmann next.
19 And right after that, we'll have a period of time
20 for questions and answers, and I'll start with folks
21 online on the phone.

22 Dr. Martin Wiedmann is the associate

1 professor at Cornell. He serves as the Director of
2 Graduate Studies for the field of Food Science and
3 Technology. His research interests focus on
4 molecular biology, genomics, and the transmission of
5 food-borne pathogens, with a particular focus on
6 *Listeria monocytogenes* and *Salmonella*. With that,
7 would you please welcome Dr. Martin Wiedmann?

8 (Applause.)

9 DR. WIEDMANN: Thank you, Janell, and good
10 morning, everyone. So what I'm going to do is I'm
11 going to report to you some data we collected over
12 quite a few years, collaboratively, with both New
13 York State and -- you know, Joe's group and the
14 group now as well as working with New York State
15 Department of Health and the New York City
16 Department of Health, looking sort of at, I think, a
17 pretty global view of *Listeria* transmission,
18 *Listeria* epidemiology, using sort of New York as our
19 study site, I'm going to say.

20 One of the key tools we used is really to
21 do molecular subtyping of *Listeria monocytogenes*.
22 And Joe already alluded to that, that we would get

1 isolates from him, from his group, from the lab
2 group there, but we also get human isolates from New
3 York State Department of Health and for a while from
4 the New York City Department of Health and
5 characterized those comparatively.

6 So what I'm going to do today is sort of
7 give you an overview of subtyping method just to
8 make sure we are all on the same page, talk a little
9 bit about diversity, different types of *Listeria*
10 *monocytogenes*, and then take you into the delis to
11 see what we really found out with using these
12 subtyping methods on transmission and ecology of
13 *Listeria monocytogenes* in these deli environments
14 and how that might relate to intervention and
15 control strategies.

16 So Fred already alluded to sort of
17 molecular subtyping tools. Obviously, they allow
18 you to take a bacterial isolate -- say you have two
19 *Listeria monocytogenes* and, therefore, they're the
20 same at the level of *species*. To further
21 differentiate them to see whether they're different
22 subtypes, and then if you have two *Listeria* from

1 different locations, a food and an environment, to
2 see whether those *Listeria* are similar or identical
3 and, therefore -- recent common answers to a recent
4 period and, therefore, might be indicative of some
5 sort of cross-contamination or relationship.

6 The methods currently used sort of
7 predominantly is really banding pattern methods you
8 could see here. You take different *Listeria*
9 *monocytogenes*, all the same species. You apply one
10 banding pattern-based method for subtyping, and you
11 can see that they really represent different
12 subtypes, DNA fingerprints, however you want to
13 really name those. The widely used method, and
14 PulseNet is obvious -- Pulse Field Gel
15 Electrophoresis. And again, here, you can see a
16 number of isolates which display the same pattern
17 and then other isolates which are clearly distinct
18 from each other.

19 These methods not only allow you to sort of
20 detect disease outbreaks, use it for surveillance
21 through PulseNet and other systems, or to sort of do
22 source tracking, so if you have a food isolates, you

1 have isolates from different environments, in a
2 plant or in a retail operation, to understand the
3 relationship between together. But they also
4 allowed us to take sort of a bigger picture at the
5 diversity of *Listeria monocytogenes*.

6 Now, these are some other methods,
7 fingerprinting methods where you take all *Listeria*
8 *monocytogenes*, and when you group them based on
9 sequence data, you really see that they fall into
10 two major groups. One of them is sort of in green,
11 one of them is sort of in red, and sort of a less
12 common group, which is in blue. And it sort of
13 doesn't really matter which subtyping method you
14 use. You will always come up with the same three
15 groupings of *Listeria monocytogenes*. And you could
16 think of that as, like, sub-species, major breeds in
17 an animal population, however you want to think of
18 those. But these are clearly very distinct subtypes
19 within *Listeria monocytogenes*. And then within each
20 major group, you have further different subtypes.
21 And that's just another example, a completely
22 different technique, but again, you find same

1 groupings, Group 1, Group 2, et cetera.

2 And what we did initially is to look at
3 just the distribution of these different types of
4 *Listeria monocytogenes*, so what we call Lineages I,
5 II, III, among human isolates, food isolates -- and
6 these were actually isolates from the Gombas, et
7 al., NFPA study, which was mentioned before -- as
8 well as animal isolates from our collection.

9 And what you find there is that Lineage I
10 is really overrepresented among human isolates.
11 It's about 54 percent of all human isolates
12 represent that lineage. But it's not that common in
13 foods, but it is still regularly found in foods,
14 while this, Lineage II, is more common in food
15 isolates and less common in human isolate, sort of
16 suggesting that if you're exposed to this type of
17 *Listeria monocytogenes*, you're more likely to get
18 disease. If you're exposed to this, you're less
19 likely to get disease, at a very, very rough,
20 superficial level. We've actually done, again,
21 collaboratively with NFPA at that time some studies
22 on putting this into a risk assessment framework,

1 and it showed that there is a significant difference
2 between Lineages 1 and 2 with regard to the
3 infectious dose.

4 But what's more interesting is if you then
5 look at subtypes within these groups, drill it down
6 at one level -- saying it's Lineages I, II, III, and
7 these are subtypes based on a banding pattern-based
8 method, you get more subtypes, and again looked at
9 food and human isolates, and what you find there
10 is -- and it's about the same number. So the
11 denominator in both is about 500, 502, 507 isolates.

12 More interestingly, what we found is
13 there's one group here, which represents 150, so a
14 little bit less than a third, of all food isolates,
15 so exposure could be common -- and again, these were
16 the NFPA study isolates. We had quantitative data.
17 These were also found at high levels. It's not that
18 they were present but at low levels. They were
19 found. They were found at high levels. So exposure
20 is common, but human disease is very rare. So
21 again, the same denominator. So if these ones will
22 be equally likely to everything else, you would

1 expect about 150 human cases. So significantly
2 reduced, and that's basically the statistics,
3 significantly reduced association with human
4 disease. Well, we have other subtypes, which are
5 rare in food and are more common among human cases,
6 suggesting that these are more likely to cause human
7 disease if exposure occurs.

8 So now through a lot of hard work and a
9 variety of other factors, we sort were able to
10 figure out, initially, why does one specific
11 subtype, which we call 1062A, is so severely
12 underrepresented among human cases.

13 Basically, what *Listeria* does is the first
14 step in its pathogenesis or in its causation of
15 disease is that *Listeria monocytogenes* has to attach
16 to human intestinal epithelial cells. There's a
17 very specific molecule which facilitates that
18 interaction. That molecule is called internalin A.
19 Your typical *Listeria monocytogenes* have about a 800
20 amino acid protein which have a membrane anchor. So
21 that membrane anchor puts that protein on the
22 surface of *Listeria monocytogenes*, and then the

1 other part of this protein or parts of this other
2 part of the protein interact with the specific
3 receptor on human intestinal epithelial cells to
4 facilitate attachment and subsequent invasion into
5 these human cells.

6 That is, subtype 1062A has a shorter
7 internalin A which is lacking this membrane anchor,
8 basically meaning this protein does not get attached
9 to the cell surface of *Listeria monocytogenes*,
10 hence, cannot dock *Listeria* to the human intestinal
11 epithelial cells, hence should and will reduce
12 invasion of *Listeria monocytogenes* in these human
13 cells. And so that's a very, very specific group of
14 *Listeria monocytogenes* which does not cause disease.
15 And we'll get back to how that sort of fits into a
16 risk assessment and retail.

17 We actually found that this is not just one
18 specific type which has this sort of shortened
19 internalin A, but you can find that in a variety of
20 different isolates all around the world. So this
21 will be the typical full-length internalin A, 800
22 amino acids along, and there are various *Listeria*

1 *monocytogenes* which carry shorter internalin A
2 molecules which shall reduce the ability to attach
3 to human intestinal epithelial cells, as we've shown
4 in sort of an assay here, where we actually grow
5 human intestinal epithelial cells.

6 We add *Listeria monocytogenes*, which have
7 the full-length internalin A and sort of
8 corresponding strains -- and it's been published, so
9 I'm not going to go into details -- which have a
10 shortened internalin A. And you can see the
11 invasion of the ones with the shortened internalin A
12 is always significantly lower than the corresponding
13 strain, which has a full-length internalin A. And
14 you can actually do these experiments, where all you
15 do is change that one little piece of internalin A
16 and you take a strain to go from invading very well
17 to not invading human intestinal epithelial cells
18 very well at all.

19 This was not just studies. This was
20 actually some initial work in France, found these
21 isolates in France. We found them in the U.S. And
22 if you look overall current data, 35 percent is

1 actually probably a low estimate -- finding about 35
2 to 50 percent of food isolates are actually *Listeria*
3 *monocytogenes* which have these mutations and are
4 less likely to cause human disease. And that's been
5 confirmed with doing studies in guinea pigs, which
6 is an animal model where we've used those to infect
7 guinea pigs to actually show reduced ability to
8 cause human disease.

9 And so these data have been floating around
10 for a while, you know, generally by my group as well
11 as by a number of other groups. But the bottom line
12 is that not all *Listeria monocytogenes* are equally
13 likely to cause human disease. And for those of you
14 interested in the sort of details of details of it,
15 there's sort of a series of paper which takes it
16 from initial identification and characterization of
17 these *Listeria* to doing animal experiments to show
18 that these *Listeria* show reduced ability to cause
19 disease to actually developing assays to rapidly
20 detect these *Listeria monocytogenes*.

21 So bottom line is there are some *Listeria*
22 which are less likely to cause disease. There are

1 other ones which have a higher association with
2 human disease. So one of the things we did was to
3 retail isolates, and these are the isolates from
4 what Joe referred to as Phase 1, and I'm going to
5 stick with the Phase 1, Phase 2, Phase 3
6 nomenclature Joe introduced. So there were 156
7 isolates. They represented Lineage I, which is
8 overrepresented among human disease, and Lineage II.
9 There were actually more Lineage I isolates than
10 Lineage II isolates. So those are the ones which
11 are more -- typically more highly associated with
12 human disease.

13 So bottom line number one, the *Listeria*
14 *monocytogenes*, at least some of them, a considerable
15 portion of them, are the ones which are typically
16 associated with human disease.

17 We also did some work to look at serotypes.
18 Traditionally, *Listeria monocytogenes* has been
19 classified in serotypes, and those of you who are
20 sort of *Listeria* junkies like me looked at Fred's
21 slide and saw a lot of these outbreaks were caused
22 by serotype 4b and serotype 1/2b strains. These are

1 the ones which are more commonly associated with
2 outbreaks. And again, 44 of these isolates were
3 serotyped 1/2b, most likely, 43 were serotyped 4b.
4 So these strains which are associated with outbreaks
5 are found among these retail isolates.

6 Also, by ribotyping, we identified -- we
7 know which certain ribotypes are associated with
8 previous human outbreaks, multiple human outbreaks.
9 It's these three ribotypes. Again, these are
10 represented among the isolates from deli.
11 Environments, including this one, which represents
12 about 13.5 percent of these 156 isolates is this one
13 subtype which has been associated with multiple
14 human listeriosis outbreak.

15 But on the flip side of that coin, a number
16 of ribotypes identified at retail include these,
17 where we have isolates with these mutations, which
18 caused reduced virulence. But there's clearly a
19 considerable portion of these isolates out there at
20 retail have the ability to cause disease. So it
21 identifies that that shows that it is an issue which
22 we need to deal with.

1 All right. Now, how did we -- now I'm
2 going to move on to sort of *Listeria* distribution
3 ecology. Before we go into a retail environment,
4 I'll sort of talk about *Listeria* in the general
5 environment, because unlike food processing plants,
6 which we can do a good job with isolating them from
7 the surrounding general environment to restrictions
8 of entry, foot bath, door foamers, you name it, that
9 is an option we don't have easily in retail
10 environments. So they're intimately connected with
11 the outside environment. So we cannot look at
12 *Listeria* in a retail environment without
13 understanding *Listeria* surrounding the retail
14 environment.

15 And these are some studies we did in New
16 York State. Again, where we went out, we sampled
17 what we called pristine environments. At the time,
18 it was probably a bad term. It should be natural
19 environments. So these were state parks with
20 minimal animal influence, no cows, no farming
21 influence, at least no recent farming influence.
22 And out of about 900 samples, 1.3 percent were

1 positive for *Listeria monocytogenes*. Twenty-three
2 percent were positive for *Listeria species*. So
3 these are these other *Listeria* which do not cause
4 diseases, which are not associated with human
5 illness.

6 In urban environments, literally, we went
7 out into four cities in New York, collected sponge
8 samples from sidewalks, soil samples from parks,
9 water samples from rivers flowing through, but 7.3
10 percent of these samples were positive for *Listeria*
11 *monocytogenes*, again, about 22 percent positive for
12 *Listeria species*.

13 If we looked at -- we did not do extensive
14 sampling of rural environments. But what we did
15 instead, particularly because it's relevant for New
16 York, is we did sampling of farms there. And we
17 looked at cattle farms or dairy cows -- farms, with
18 a history of listeriosis cases. *Listeria*
19 *monocytogenes* causes an animal disease, so these
20 were farms where some animals had listeriosis and
21 other farms where animals did not have a history of
22 listeriosis and then small ruminant sheep and goat

1 farms.

2 Overall samples, in general, sort of 20 to
3 30 percent of samples were positive for *Listeria*
4 *monocytogenes* except for sheep and goat farms, which
5 did not have a history of listeriosis. They were
6 lower. They were about at the range of urban
7 environments. And for those of you interested in
8 the veterinary side, we can go over those.

9 So to give you a more specific example,
10 this is Albany, New York, where we took 214 samples.
11 Twenty-seven samples were positive for *Listeria*
12 *monocytogenes*. That's more than 10 percent. Think
13 about people, carts, other things moving into a
14 retail establishment. It basically suggests that
15 about 10 percent of the time, something moves and
16 which has touched the ground, *Listeria monocytogenes*
17 will be introduced into that environment.

18 And interestingly, ten of the isolates we
19 obtained from that city were ribotype 1038B. That
20 is one of the ribotypes which has been responsible
21 and associated with multiple human listeriosis
22 outbreak. So again, some of these might be subtypes

1 which rarely cause human disease, but they clearly
2 are also the ones out there which cause -- which
3 have been linked to human disease cases. We cannot
4 make a direct correlation between that subtype
5 causing a human disease, but it suggests that the
6 types of *Listeria* found there have a possibility and
7 likelihood of causing human disease.

8 This is just a sort of cattle environment.
9 I just want to point out sort of the soil samples.
10 So these are percent positives of soil samples on
11 cattle farms from 20 to 30 percent, 30 percent if
12 the farm had a history of listeriosis, 20 if it
13 didn't. But again, any time people move from a farm
14 onto a retail establishment, that probably sort of
15 establishes the likelihood of them introducing
16 *Listeria monocytogenes* on shoes, et cetera.

17 So I'm going to move on now -- so now that
18 we sort of established what *Listeria monocytogenes*
19 diversity looks like in the surrounding environment,
20 let's start to take a look at these retail
21 environments. But I'm also going to start with some
22 data on processing plants because, really, we have

1 more dense data there. And it will establish some
2 of the general ideas on the ecology of *Listeria*
3 *monocytogenes*.

4 So this was an early study we did,
5 actually, in New York in a smoked fish plant about
6 five to eight years ago, I'm going to say, where we
7 went into this one smoked fish plant over 24 months.
8 We took samples every month. And we sampled
9 virtually the same sites every time we went in.
10 Drain, an apron of a person working in the raw
11 environment, cutting fish, a filet knife, drains in
12 the environment where finished products were
13 assembled and packaged, coolers, floor mats, cart
14 wheels, et cetera, and then food contact surfaces.

15 And what you see is not unusual for some of
16 the more highly contaminated environments for some
17 of the plants or maybe even retail establishments
18 which don't have good control strategies. You see a
19 number of different *Listeria monocytogenes*. So
20 every time you have a colored box here, that means
21 that sample was positive for *Listeria monocytogenes*,
22 different boxes, different subtypes.

1 Now, there are issues we can discuss. We
2 only tested one isolate from each sample. If there
3 are multiple *Listeria monocytogenes* subtypes in a
4 given sample, we would only identify one of them.
5 But overall, we can establish and see a few things
6 here. Yellow is found almost every time we come in
7 here. That's one specific subtype. And that seems
8 to be in this plant basically over the whole 24
9 months. Now, we also sampled in an earlier study
10 three other plants in the same city within five to
11 ten miles of this one. That subtype was basically
12 not found in these other plants. So this appears to
13 be a plant-specific subtype.

14 This one survives -- we don't really know
15 where it is because it's found distributed through
16 the whole plant. On the other hand, what we have
17 here is we have one specific subtype found four
18 times every month in a piece of equipment which is
19 used to pull bones out of the seafood filets and to
20 also then prepare them for making seafood salad,
21 which is used in a mixer. So this subtype showed up
22 four times in a row in the same piece of equipment.

1 It also showed up in the mixer, which basically you
2 took material coming from here to make salads.

3 The conclusion from this was that *Listeria*
4 *monocytogenes* found a niche in this specific piece
5 of equipment, survived over time, and when they
6 actually took the piece of equipment apart, steamed
7 it, heated it to a high temperature, they were able
8 to eliminate that *Listeria monocytogenes*.

9 We can spend all day talking about war
10 stories where we find *Listeria monocytogenes*,
11 finding it in a specific place surviving over time.
12 Joe talked about deli cases at retail. We found it
13 in almost every processing plant we went to.
14 Sometimes it's a foot mat. Sometimes it's a slicer.
15 Take Maple Leaf, where we know it led to an
16 outbreak. Sometimes they are other pieces of
17 equipment. But *Listeria*, if you take a big
18 environment, it's very, very good, appears to be
19 very, very good at finding an environment where it's
20 protected from cleaning and sanitation and can
21 survive over time. If that location is a food
22 contact surface or close to food, then there is a

1 high risk of it continuously contaminating product.
2 Not every product, not necessarily every day, but
3 regularly. And that's what we found here.

4 And we as well as a number of other groups
5 have published that. The most extreme example of
6 that is a *Listeria* case in 1988 linked to one
7 specific plant. That was the hot dog case, and Fred
8 mentioned it in his talk. Now, that same plant 12
9 years later, 12 years later, different owner, was
10 responsible for listeriosis outbreak with 29
11 listeriosis cases linked to sliced turkey. So a
12 different product produced in the same plant. The
13 only thing that stayed the same was the *Listeria*
14 *monocytogenes*.

15 This is a subtype of the *Listeria*
16 *monocytogenes* from '88. These are *Listeria*
17 *monocytogenes* from 2000. We went as far working
18 with CDC, with -- to actually fully sequence the
19 genome of these strains to show that these are
20 basically identical from '88 to 2000. That has been
21 published.

22 So *Listeria* can survive for extremely long

1 periods in these environments. This is not just
2 work from my group. This is industry experience and
3 a number of published papers from all over the
4 world, basically.

5 So when we initially started working with
6 New York State, we looked at some of the isolates
7 retrospectively. So some of the isolates we
8 collected from 1997 to 2000, from 50 supermarkets
9 and retail environments throughout -- food and
10 environmental samples from retailers throughout New
11 York State. This was published, but basically, we
12 concluded that 16 of these retailers showed evidence
13 for persistence of one or more *L. monocytogenes* over
14 time because we found the same subtype either in
15 product twice or in product and the environment
16 twice, often a few weeks apart, often multiple
17 months apart.

18 And again, if you want to see this, this is
19 published. You know, it's just one example. This
20 will be sort of very typical. New York State would
21 go in, would find foods positive with one subtype
22 1062A. They would come back later, sample

1 environments to find the same subtype, they'd come
2 back later to find the same subtype. This, I think,
3 is actually the deli case example Joe mentioned.
4 The only way they were able to get rid of that one
5 is to get rid of the deli case. So this *Listeria*
6 seems to have persisted in the deli case. Every
7 time we identify a specific site, we have other
8 retail establishments where we have absolutely no
9 clue where *Listeria* is surviving over time. It is
10 not easy. It is not trivial to find these niches
11 and places of persistence.

12 So now if you look at the subtype data from
13 Phase 1 Joe had mentioned, so we have 27 of these
14 121 establishments had two or more *Listeria*
15 *monocytogenes* with the same ribotype. That was
16 cross-sectional. So that was at a single time
17 point.

18 In 19 establishments, we had two samples
19 that had *Listeria monocytogenes* with the same
20 subtype. Up to two establishments where we had five
21 samples which had LM with the same subtype. Those
22 of you who are going to do math are going to add

1 this up, saying, well, you said 27 here, but these
2 add up to 28. Well, one establishment had two
3 strains which both were found in multiple samples.
4 That's why the numbers don't add up.

5 And in 11 of these establishments, isolates
6 with the same subtype were found in multiple
7 environmental samples and at least one of these
8 isolates was from a food contact surface. So that
9 says food contact surface and a drain, food contact
10 surface and a floor.

11 We cannot easily establish directionality.
12 Did it go from the floor drain to the food contact
13 surface or the other way around? But it does
14 indicate some level of cross-contamination and
15 dispersal. Identifying the direction is obviously
16 very, very difficult.

17 In seven establishments, isolates with the
18 same subtype were found in multiple drains or floor
19 samples. So it seemed to have dispersed throughout
20 the plant.

21 Let's get into some specifics. So what I
22 did here is I took sample delis, which we worked on

1 in this Phase 1. These were the ones -- there was a
2 subset which New York State went in and sampled
3 twice. So this first, here, is a set of samples
4 from one deli, which was sampled in February '06 and
5 again in March '07, so 13 months apart.

6 Here are the positives from the first
7 sampling. Here are the positives from the second
8 sampling. Blue marked as this was deli area floor
9 drain had this subtype. This subtype was still
10 found in the processing in this retail establishment
11 13 months later in different environments, the raw
12 meat area floor drain and the seafood area floor
13 drain. But the same subtype was there, both by
14 ribotyping and by PFGE. So we used multiple
15 subtyping methods now to confirm this.

16 So this is the one where we had two
17 subtypes persist. We had another one which was
18 found in the deli sink on number one, raw meat area
19 floor drain, in the dry aisles, so that's the aisle
20 where canned goods or dry goods are sold, grocery
21 cart wheels, produce area floor drain, and found
22 again in the deli sink, of all things, 13 months

1 later. Now, does that mean it survives in the deli
2 sink? No, not necessarily. But that subtype sure
3 seems to be somewhere there, where at least it can
4 recontaminate it. And it is possible it's actually
5 the deli sink where it survives.

6 And another one. Four samples positive the
7 first time, deli case positive with this subtype,
8 produce area floor drain, same subtype later
9 confirmed by PFGE.

10 Another one. Here's the interesting
11 example. Here was a second one July 2006, March
12 2007, and deli sink, deli area floor drain, deli
13 area floor drain. So that was found in the deli
14 area floor drain both times. Again, does it mean it
15 survived in the deli area floor drain? Not
16 necessarily. But it sure looks like it survived
17 somewhere in that area.

18 So we find evidence for persistence in
19 these establishments where we go in and take
20 multiple samples up to more than a year apart. So
21 it seems to be some of these patterns we observed in
22 processing plants also hold true for these retail

1 establishments.

2 And here is the last example, where we
3 find, interestingly, here December 2005, March 2007,
4 so 15 months apart, deli sink, deli sink, same
5 subtype both times.

6 Again, we're starting to move -- I'm not
7 going to say these are strong data -- they are.
8 It's still two retailers, it's still some type of an
9 anecdote, but at the very least, it suggests we
10 should not ignore these deli sinks if we start
11 looking at *Listeria* in this environment. We need to
12 consider that. Whether it means more studies,
13 whether it means including them in the model, in the
14 general term, I don't know. But there is some data
15 to suggest we cannot ignore these, okay?

16 I can fly through those because Fred set me
17 up very nicely. So what we did -- published,
18 actually, in 2003, working with New York State
19 Department of Health. We also found there are these
20 small clusters of human listeriosis cases because
21 the question now arises, okay, what's the public
22 health impact of *Listeria* in retail. We found these

1 small clusters of cases, three cases in three
2 consecutive months, three cases in two consecutive
3 months, two cases with the same subtype in one
4 month. So all these small clusters, which the
5 *Listeria* initiative was started, I think, about when
6 we published this paper -- also started to discover
7 when they started looking at this nationally.

8 And when we look at a geographical
9 distribution, it becomes even more interesting.
10 Some of these clusters, which are multiple cases
11 with the same subtype, are actually located in close
12 counties or in the same county. So there are these
13 clusters. And again, that fits with what CDC later
14 on found on a larger scale and the state health
15 departments found on a larger scale. If you have
16 these clusters, which are in a single county and a
17 few adjacent counties, which obviously referred --
18 suggests what I'm going to call a local source.
19 That could be a retail establishment. That could be
20 anything which serves and distributes food locally
21 in this area could be, for all we care, raw milk
22 processor -- we don't know. But it suggests that

1 some of these local events are there and, together
2 with the data we saw from CDC, suggests that some of
3 these small clusters and outbreaks may be linked to
4 retail operations.

5 So what does all this mean? So this is
6 sort of, you know, my personal opinion in terms of
7 what did these data show. So I hope I've given you
8 the data so you all can draw your own conclusions
9 from it. And I hope some of you will disagree with
10 me.

11 But what we show is that *Listeria*
12 *monocytogenes* contamination in retail environment,
13 at the very least, is not uncommon. It's not
14 surprising because *Listeria* is also not uncommon in
15 the surrounding environment. Our data suggests, if
16 you look at some of Phase 2 and 3, where we've done
17 some initial analysis, that, actually, inspection
18 scores seem to not have that much predictive value
19 when we look at just *L. mono* presence because what
20 we found is, I think, 13 percent of environmental
21 samples were positive regardless of whether it was
22 Phase 1, 2, or 3, and about a similar proportion of

1 retail establishments.

2 There is a trend, which we're going to have
3 to test statistically, which is to see whether there
4 are more samples positive in the smaller and the
5 bad -- and the environments with bad scores. That
6 means if an establishment is positive, it's more
7 likely to have a lot of positives. That we can
8 test. That we will test. We have not done that
9 yet.

10 But we have done some initial analysis
11 where we looked at establishment size from the Phase
12 1 samples, number of employees from the Phase 1, so
13 the 122 establishments, and *L. mono* prevalence. In
14 the initial analysis, losing some linear regression,
15 did not show any significant trend that, you know,
16 the larger ones would have either less or more *L.*
17 *mono* prevalence than the small ones. So we will
18 expand this analysis now to do that with the Phase 2
19 and 3 data.

20 And *L. mono* can persist in retail
21 environments, not surprising to me. At least some
22 of the *L. mono* found in retail environments have the

1 potential to cause human listeriosis. These are not
2 all the virulence attenuated subtypes. They are the
3 subtypes there which are linked to outbreaks, et
4 cetera. And then the patterns of cases and small
5 outbreaks suggest these local events and combined
6 with the CDC data, obviously, there is some idea
7 that retail contributes to that.

8 We have actually in my lab done in
9 collaboration with the people in the vet school done
10 a similar risk assessment to what USDA has done with
11 some differences, but we come up with basically the
12 same idea about 70 to 80 percent of human
13 listeriosis cases are attributed to deli meat,
14 probably caused by deli meat contaminated at
15 retail -- completely independent. We didn't even
16 talk to USDA and FDA what we did there. Took a
17 somewhat different approach, but -- the same data,
18 obviously. But to me, that indicates, you know,
19 risk assessment, epi, everything seems to fit
20 together here.

21 Conclusions from this is obviously control
22 of *L. mono* at retail is challenging simply based on

1 the prevalence surrounding it and that you cannot,
2 you know, put door foamers in the entrance to each
3 of these stores. *Listeria* is going to come in, no
4 question about it.

5 Contamination of ready-to-eat products from
6 environmental sources at retail is a major concern.
7 We found it in environments. We found it in food
8 contact surfaces. We find it in surrounding areas.
9 So that needs to be considered as we look at this
10 issue. I still believe that identification of the
11 high-risk retail operations may need some
12 environmental testing and monitoring. Ann mentioned
13 that, you know, their scores, as rough as they may
14 be, suggested that clean doesn't equal *Listeria*-
15 free. I think some of our data when we do all the
16 analysis is going to point us in the same direction,
17 that we cannot just go based on inspection scores.
18 So we need to do some environmental monitoring to
19 look for *L. mono*.

20 *Listeria species* are too common. We found
21 about 20 to 30 percent of, you know, urban
22 environments, 20 to 30 percent of natural

1 environments, *Listeria species* are found everywhere.
2 We start testing for *Listeria species* in a retail
3 environment, you're going to be swamped with
4 positives, and you cannot focus on the real public
5 health issue, which is *Listeria monocytogenes*.

6 Persistence of *L. mono* in environmental
7 niches is an important issue. The identification
8 and the elimination of these niches is important.
9 But the niches can and may be outside the retail
10 environment. If you find the same *Listeria*
11 *monocytogenes* three or four times in the retail
12 environment, it doesn't mean it survives there. It
13 could be out in the parking lot. It could be
14 reintroduced. That is more likely to happen in
15 retail than in a processing plant where you have
16 good practices in place to prevent and reduce
17 reintroduction. It could be reintroduced with the
18 dairy crates Joe mentioned. There are many, many
19 routes to allow reintroduction.

20 We need innovative strategies. Again, this
21 is not easy. This is a challenge. And I think we
22 also need comprehensively quantified contributions,

1 and this is sort of expanding broader, of *L. mono*
2 contamination at processing. We're now at retail.
3 We also do need to look at restaurant, home, and
4 institutional kitchens. We got a great example from
5 CDC: nosocomial outbreak. That's where we serve
6 high-risk population. We can't and should not
7 ignore that as we do these risk assessment. I think
8 homes for the elderly, institutional kitchens,
9 anywhere where you serve high-risk populations, we
10 need to look at them because what is the risk of
11 temperature used there. I don't know. It might be
12 higher. We need to generate data. We need to look
13 at that, too.

14 This was partially supported by CSREES, an
15 integrated food safety initiative grant, together
16 with John Sofos (ph.), and these are the people who
17 actually did the work and other support for the
18 overall *Listeria* work in my lab. I'm happy to
19 answer any questions. Thank you.

20 (Applause.)

21 MS. KAUSE: Thank you, Dr. Wiedmann. We'll
22 take questions, starting with folks on the phone.

1 OPERATOR: Once again, if you do have a
2 question, please press star, then one. Please
3 record your first and last name when prompted.
4 Please press star, then one if you do have a
5 question. I show no questions at this time.

6 MS. KAUSE: Thank you. We'll turn the
7 floor over to the folks in the room here. Are there
8 any questions for Dr. Wiedmann, and then we'll turn
9 it over to the Panel as a whole. Yes? Dr. Bob
10 Buchanan?

11 DR. BUCHANAN: Bob Buchanan, University of
12 Maryland. Martin, I'm assuming that most of your
13 analyses required enrichment techniques before you
14 went into it. How much do you think you're
15 underestimating the frequency of *Listeria*, due to
16 the fact that *innocua* outgrows it substantially in
17 an enrichment broth. I noticed that you had at
18 least a tenfold *innocua* to *monocytogenes* ratio,
19 which suggests that you may be using some.

20 DR. WIEDMANN: So to give some more
21 background on the question, so it's been well-
22 established that if you take a sample which contains

1 *Listeria innocua* *Listeria species*, and *Listeria*
2 *monocytogenes*, put it in an enrichment broth, which
3 is the general method used to do this, and grow it
4 there for 24 to 48 hours, the *Listeria species* will
5 actually grow faster. They can outcompete the
6 *Listeria monocytogenes*. You might start with the
7 1:1 ratio -- and don't quote me on these numbers --
8 and you end up one *innocua* per one *monocytogenes*.
9 You may end up with ten *innocua*, twenty *innocua* per
10 one *monocytogenes*. Sometimes, the *monocytogenes*
11 might die completely.

12 There are options to deal with that,
13 multiple enrichments, et cetera, which are very time
14 and labor-intensive. We did not do that. And we
15 did use a plating media, which Ann mentioned that,
16 too, which differentiates between *L. mono* and *L.*
17 *innocua*. So it facilitates the needle in the
18 haystack sort of searching. So we can look at the
19 media. If there is a blue colony, that's going to
20 be *L. mono*. And sometimes we pick up one blue
21 colony in a background of a hundred white ones,
22 which are *Listeria innocua* or the *Listeria species*.

1 So we've done something to deal with it,
2 but it is an issue. And I'm absolutely convinced
3 that you're right, Bob, that we're underestimating
4 the number of *L. mono* positives because *Listeria*
5 *species* are so common.

6 MS. KAUSE: Dr. Wiedmann, I have a question
7 for you. In your past talks, I've heard you say --
8 I'm sorry. This is Janell Kause with FSIS. I've
9 heard you say that at retail it was more important
10 to focus on *Listeria monocytogenes* and not *Listeria*
11 *species*. Can you speak to that?

12 MR. WIEDMANN: So the question is -- so
13 currently, I think, it's USDA and a lot of
14 processors will do testing for *Listeria species*.
15 *Listeria species*, if you have an extremely well-run
16 facility processing plant, you might find *Listeria*
17 *species* in one out of a hundred samples, which means
18 if you find *Listeria species*, you can suggest
19 there's a problem, which you can follow up with and
20 you have the resources to do that.

21 If you have a retail environment where 20
22 percent of your samples are going to become a

1 positive with *Listeria species*, no one has the
2 resources to follow up on any of this as if it were
3 *Listeria monocytogenes*. The trick with testing for
4 *Listeria species* is when you find a positive for
5 *Listeria species*, you have to treat it as if it were
6 *Listeria monocytogenes*. With that frequency, you
7 cannot do that. You do not have the -- no one has
8 the resources to do that. If someone has, more
9 power to them, but I do not think at the retail
10 level anyone does.

11 So what we end up doing is we're going to
12 not prioritize correctly because we have four
13 samples with a positive for *Listeria species* or we
14 have a chain, we have, like, ten stores which have
15 *Listeria species*, we're going to treat them all
16 equally, when one of them might only have *L. mono*
17 and we should really focus all our efforts on that
18 one.

19 It's a simple question of practicality.
20 That's one of these issues where we cannot transfer
21 what we learned from processing plants straight to
22 retail. Even within processing plants, there are

1 differences. We have processing plants which
2 have -- still working to get towards that goal, but
3 they have a lot of *L. mono* positives, a lot of
4 *Listeria species* positives. In my mind, and most
5 people disagree with me, start out with focusing on
6 *L. mono*. Get those under control first. Then you
7 can move to *Listeria species*.

8 MS. KAUSE: Thank you, Martin. With that,
9 we're going to turn it over to our panelists, Joe --
10 I'm sorry. Mr. Butts?

11 DR. BUTTS: John Butts representing AMI. I
12 wanted to follow up a little, Martin, on your
13 concept of testing for *monocytogenes* versus *species*.
14 We represent the processing industry, and we do have
15 all of our testing we do environmental is for
16 *species*. And as I look at the sample sites that
17 have been referenced here in our presentations
18 today, we recognize almost all of those, maybe not
19 all, but almost all of what we call transfer sites.
20 And we define growth niches at that point where we
21 can find *Listeria species* after the sanitation
22 process. So when we're sampling transfer points,

1 yes, one would expect to find a lot more of these
2 types of positives. But when you really look for
3 the problem, we're looking for growth niches. I
4 profess that we should be using *Listeria species* not
5 looking at so many transfer sites, but really trying
6 to get to the root of the problem, which is the
7 growth niches. So I profess for more *species*
8 testing and looking for where it really is residing
9 as opposed to looking at transfer points, where it
10 can commonly be found, particularly in the more
11 contaminated areas.

12 DR. WIEDMANN: That's a good point, and
13 maybe Joe can help us on this, but I think there's a
14 practicality issue there, particularly where we did
15 sampling, is that inspectors go and when will you
16 find a retail establishment which has gone through
17 sanitation to test after sanitation because the same
18 site can be a transfer point and a niche, a slicer,
19 for example. The only way to identify whether it is
20 a niche is to take a sample after sanitation has
21 occurred. That is usually at what time of the day?
22 And then the question becomes, well, Joe's

1 inspectors go out at that time when they can sample
2 the niches because the equipment has been sanitized.
3 So in an ideal case, I absolutely agree with you. I
4 think the practicality of it -- and that might be
5 there more once we start moving towards testing by
6 retail industry. But as long as it's from the
7 inspectors, I think it's going to be much, much
8 harder to sample those.

9 MS. KAUSE: Thank you, Martin. I'd like to
10 open it up to the entire Panel. So questions that
11 you have for either Mr. Joe Corby, Dr. Ann Draughon
12 or Dr. Martin Wiedmann, and Dr. Fred Angulo. Not
13 seeing any questions, we'll check one more online.

14 OPERATOR: If you have a question, please
15 press star, then one.

16 MS. KAUSE: Well, with that said, if there
17 are no questions, we'll take --

18 DR. WIEDMANN: I'll ask one. I'll start
19 it.

20 MS. KAUSE: Okay. Please go ahead,
21 Dr. Martin.

22 DR. WIEDMANN: I think someone needs to

1 start it. So I have a question for Fred. So when
2 you look at these clusters and outbreaks, obviously
3 describe these small clusters, how close are you to
4 really identifying all the small clusters? What
5 percentage of the listeriosis cases or current small
6 clusters? Do you need -- looking in the future, as
7 other subtyping methods, such as MLVA are applied,
8 are you going to pick up more small clusters? And
9 what's the chance of them then getting traced back
10 to these local establishments, whatever they be?
11 Are we there yet at detecting all these small
12 clusters or how far are we away from that?

13 DR. ANGULO: Well, of course, the most
14 success in getting to the point of contamination is
15 when we have larger outbreaks, and we could do more,
16 nationally, to improve surveillance at the state
17 levels and also at the central level, at the
18 national level. Not all patients that have
19 laboratory-confirmed *Listeria* infections have the
20 isolate from those patients make it to state public
21 health labs. Not all state public health labs PFGE
22 all isolates and contribute them to PulseNet. And

1 only about two-thirds of patients who have a
2 laboratory-confirmed case are being interviewed now
3 as part of the *Listeria* initiative.

4 All of this reflects priorities, in terms
5 of resources at the front lines of surveillance at
6 the state level. And so with enhancements in food-
7 borne disease surveillance, which is likely to come
8 because of initiatives on food safety, we would see
9 more -- larger outbreaks or outbreaks -- we would
10 see more clusters of *Listeria* being identified, and
11 the clusters would likely to have more cases to be
12 identified, which would increase the likelihood of
13 trying to get to the source of contamination.

14 But I do think you do -- it's not
15 reasonable to expect that in every -- we have a
16 very, very sensitive cluster detection process with
17 PulseNet. PulseNet detects the clusters quite well,
18 but it's not reasonable to expect when you have a
19 cluster of only three or four cases that you always
20 will be successful to identify the source.

21 So I think the other arm of surveillance
22 that's needed is we need stronger ties between --

1 with monitoring samples that are gathered for
2 *Listeria* either at processing or at retail, as
3 examples demonstrated in New York State, where
4 isolates from the retail environments are put into
5 PulseNet and compared with the human isolates and
6 lead to a lot of opportunities to identify sources
7 that would not be possible based upon only patient
8 interview information.

9 So there are ways to also on the frontlines
10 in which more states could be sampling food items
11 like they have done in New York, and there could be
12 opportunities for partnerships between the
13 Departments of Agriculture and Departments of
14 Health. But a lot of it is resources.

15 DR. WIEDMANN: But if those resources are
16 there, we probably will see more clusters linked
17 to -- as a retail, other operations, hospital
18 kitchens, et cetera. And I think that's going to be
19 a driver, which is going to happen regardless of
20 what happens with, let's say, a risk assessment, et
21 cetera, and that's going to drive some of the things
22 we got to do because we'll have to generate more

1 knowledge on what is the source. Now that we have a
2 cluster linked to a retail, what went wrong? And
3 that's one of the big things in the processing
4 industry is that these outbreaks were traced back to
5 primary sources, to processing plants, and then the
6 processing plants figure out what went wrong --

7 OPERATOR: Excuse me. I'm sorry. We're
8 not able to hear you over the phone.

9 DR. WIEDMANN: So what I said is the long-
10 term here is going to be that more clusters are
11 probably going to be detected. They're going to be
12 linked to retail investigations or other sort of,
13 again, I call it local sources, for lack of a better
14 word. Follow-up is going to happen. It's going to
15 identify the underlying problems, and that's going
16 to drive improvements from the -- you know, if you
17 have that public health surveillance improvements
18 there. And I think that's going to happen, and I
19 think we all have to recognize that.

20 MS. KAUSE: Dr. John Butts?

21 DR. BUTTS: A point of clarification on our
22 previous conversation. I think you may have been

1 talking about doing *mono* testing, or *Listeria*
2 *monocytogenes* testing from the regulatory
3 perspective. And I do agree with doing it that way.

4 (Laughter.)

5 DR. BUTTS: I was focusing on doing *species*
6 testing and not looking at the effect sampling,
7 which we believe should be done by the regulatory
8 agencies, but finding the cause, which I truly
9 believe needs to be done with *Listeria species*
10 testing because of the point brought up by
11 Dr. Buchanan. We do have outgrowth potential. So
12 we're looking for any point where that organism can
13 survive as a growth niche. Yes, from the
14 regulatory, measuring the effects, please stay with
15 *mono*. But encourage the investigative techniques to
16 be with *species*. That way, we have a much better
17 chance of finding that growth niche. Any comments?

18 DR. WIEDMANN: We can battle that for a
19 while. I think, again, practically looking depends
20 on how many positives you have. If you move down to
21 a point where you can follow-up on every *L. mono*
22 fully, rapidly, and deal with it, you're ready to

1 move to *Listeria species*. I do not believe, based
2 on what we've seen at retail, we are ready.

3 And then there's the technology aspect to
4 it, too. Yes, that competition issue was a problem.
5 Now we move to PCR-based screens, it becomes much
6 less of an issue. We used to plate. We have four
7 colonies to all *Listeria species*. We said there's no
8 *L. mono*. Now we do a PCR. One *L. mono* out of a
9 hundred to 500 *Listeria species* will still give us
10 that PCR positive. So the technology are there that
11 we can actually reduce the issue -- we did not do PCR
12 screens on those, but most companies will do that,
13 will do PCR screens because it's fast. So there's
14 technology which will drive that, which will make it
15 more feasible at least in the -- I'm just worried
16 people get overwhelmed, and I've seen it. I think
17 you've probably have seen it, too. They get
18 overwhelmed when they get too many positives and they
19 just throw up their hands and do nothing. And if you
20 test for *L. mono*, we are less likely to get it. It's
21 a simple practicality issue, in terms of resources.

22 MS. KAUSE: I see several other hands in the

1 audience.

2 UNIDENTIFIED SPEAKER: Excuse me, Janell?

3 MS. KAUSE: Yes?

4 UNIDENTIFIED SPEAKER: Janell, this is
5 Sheila. Can we take maybe one more question and let
6 the group go for lunch so we can stay on schedule and
7 make sure everybody comes back this afternoon?

8 MS. KAUSE: Okay. We'll take one more
9 question.

10 MS. CHEN: Does anyone have any suggestions
11 on how to do *Listeria* enumeration in regulatory
12 agency, considering there are only 10 percent of
13 sample becomes positive. And should we do *Listeria*
14 enumeration and presence and absence at the same time
15 or should we wait until sample becomes positive to
16 start doing *Listeria* enumeration. And --

17 MS. KAUSE: Before the Panel responds, could
18 you please state your name and organization?

19 MS. CHEN: Yi Chen, FDA.

20 MS. KAUSE: Thank you.

21 DR. WIEDMANN: So the question was I think
22 if I understood it correctly should we do *Listeria* --

1 quantitative testing for *Listeria* to enumerate or
2 should we simply test for presence/absence in retail.
3 Do I understand that correctly?

4 MS. KAUSE: Hold on a sec.

5 DR. WIEDMANN: No, I did not? Okay. Let's
6 try again.

7 MS. CHEN: No. The question is should we do
8 *Listeria* enumeration simultaneously as you're testing
9 presence and absence, or should we wait until you find
10 out the *Listeria* is positive and then start doing
11 enumeration?

12 DR. WIEDMANN: All right. So the question
13 is should you test -- enumerate every sample with huge
14 labor-intensive, knowing that a number of them are going
15 to be negative or do you wait and start enumerating
16 until the sample is positive. So I think for a lot of
17 the things we talked about, you know, testing
18 environments, food testing, we don't need to enumerate
19 right now. Where enumeration is critical is for it to
20 get data which can be used in the risk assessments.

21 So I think there's a dichotomy there. For
22 regulatory, I see very few applications where

1 quantitation is necessary right now. For generating
2 data for risk assessments, where we are right now, it
3 is very important that we do quantification. We need
4 those data. That's how I see it, but I'm sure that
5 someone else who has some --

6 MR. CORBY: I really can't answer that.
7 Again, we just looked to see if *monocytogenes* was
8 there, and we were not interested from a regulatory
9 perspective whether it's enumerated or not. We would
10 leave that up to Martin. So it was never an issue to
11 us.

12 DR. DRAUGHON: I guess I can address that
13 since we did a delayed reaction on ours. In a perfect
14 world, it would be nice to have everything enumerated.
15 It's just that it's so darned expensive, and most of
16 us don't have --

17 OPERATOR: I'm sorry. We're not able to
18 hear over the phone.

19 DR. DRAUGHON: Most of us don't have the
20 unlimited resources, regulatory or anywhere else, that
21 we can actually afford to do that based upon the value
22 of the data that's being generated. While those of us

1 doing risk assessments, we would really like to have
2 that data. At this point, it's just not practical for
3 most people in those groups.

4 MS. KAUSE: This is Janell Kause, the
5 Director of the Risk Assessment Division for FSIS.
6 And look out at Dr. Sherri Dennis who also heads up a
7 risk assessment staff at FDA. And we'll tell you, we
8 cannot develop risk assessments to inform policy
9 decisions without enumerations. So we all agree that
10 quantitating it is important, but whether or not you
11 can do it at the same time, it's a labor issue.

12 DR. DRAUGHON: This is Ann Draughon again
13 real quick. Since *Listeria* does grow slowly, at 4
14 degrees C, although it may not be a perfect way to do
15 this, if there is a 24-hour or less lag and we
16 enumerate once we do have a positive, as long as we
17 are documenting that and doing it consistently, then I
18 think that would perhaps be a possible solution.

19 MS. KAUSE: Thank you, Ann. And with that,
20 I would like you all to thank the Panel.

21 (Whereupon, a lunch recess was taken.)

22

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-ON

2 DR. DENNIS: Want to welcome everybody back
3 from lunch, and we're going to get started with the
4 afternoon session. My name is Sherri Dennis, and I'll
5 be the moderator for the afternoon. This is part 2 of
6 our public meeting on the Interagency Retail *Listeria*
7 *monocytogenes* Risk Assessment.

8 We have a full schedule for this afternoon.
9 We're going to have a series of talks to give you a
10 very good idea about the scope and the objectives of
11 this risk assessment. We have talks on the conceptual
12 model that's being developed and on the data. We'll
13 have an opportunity for a few questions after each
14 talk, and then we do have time set aside for question
15 and answer.

16 After a break this afternoon, we'll have a
17 Panel discussion, and then we have time for public
18 comments. I would encourage you if you would like to
19 speak during the public comment period to please sign
20 up. There's a sign-up sheet out by the registration
21 desk.

22 Following the question and answer period

1 before lunch, there was one question, and Ann, I think
2 you wanted to respond to that. Do you want to do that
3 now?

4 DR. DRAUGHON: There were several people
5 that were asking about this, and it was the question
6 regarding should you do simultaneous enumeration as
7 well as detection of *Listeria monocytogenes* from
8 samples. And I guess I wasn't clear on that last
9 comment that I made, and I wanted to be clear about
10 what we would recommend.

11 In preparation for that study that we did,
12 we actually inoculated samples and did the 24-hour
13 incubation while we were doing the normal protocol
14 just for detection. And, of course, we did not
15 enumerate unless the sample was positive. And when we
16 did that, it made no significant difference in a 24-
17 hour period.

18 So what we would recommend is rather than
19 doing simultaneous enumeration and detection, which
20 really is very time consuming and laborious, that you
21 go ahead and run your normal detection methodology for
22 *Listeria monocytogenes*. If you get a presumptive

1 positive, then start your MPN or your enumeration
2 method.

3 And the reason for this is that the risk
4 assessment people in this country need that
5 enumeration data. So if we don't provide any
6 enumeration at all, then that data becomes much less
7 valuable, overall, for them. So from a regulatory
8 perspective, I think, particularly, if you're doing
9 that, we would like to see numbers in addition to the
10 presence or absence.

11 DR. DENNIS: Thank you, Ann. We'll now go
12 into the first talk for this afternoon. The first
13 speaker is Janell Kause, and it's my pleasure to
14 introduce her. She is the Director of the Risk
15 Assessment Division at FSIS, and in that capacity, she
16 provides oversight to the development of quantitative
17 microbiological and chemical risk assessments that are
18 required to guide Agency food policies, particularly
19 those that are designed to further understand
20 mitigation food-borne illnesses that are associated
21 with meat, poultry and egg products consumed in the
22 United States. Janell has led the development of a

1 number of risk assessments, including those related to
2 *Listeria monocytogenes* and the 2003 FSIS deli meat
3 risk assessment. Janell?

4 MS. KAUSE: Thank you, Sherri. I'm going to
5 give each of you a brief overview and scope and
6 purpose of today's risk assessment. As we've said,
7 this is a kickoff meeting. We are initiating a brand
8 new risk assessment. So rather than developing one
9 and presenting it to you later for your input, what we
10 are doing this time is we are seeking input early and
11 often. So this is a beginning of a very long dialogue
12 that we hope to have with each and every one of you.

13 Before I begin, I think it's important that
14 everybody knows what is risk assessment. Risk
15 assessment is the scientifically based process of
16 estimating the likelihood or probability of exposure
17 to a hazard and the resulting public health outcome as
18 a result of that exposure. It is actually used to
19 facilitate the application of science to policy or
20 science to making decisions. A lot of times, people
21 don't realize why we conduct risk assessments. It's
22 not an activity in and of itself. It's actually a

1 really practical tool for informing decisions, wanting
2 to have a better understanding of the extent of the
3 problem and actually integrating data and information
4 to do so.

5 Risk assessment is often confused with risk
6 analysis. Risk assessment is one component of risk
7 analysis. Risk analysis, by definition, is comprised
8 of three primary components: the risk assessment, or
9 science component, which is there to evaluate data and
10 information and integrate it into an algorithm, and
11 it's used to estimate exposure and subsequent risk of
12 illness. Risk management takes that scientific
13 information and weighs it with other policy
14 considerations, legal, economic and other
15 considerations that are practical in making the actual
16 decision. Risk communication, of course, is the
17 exchange of information not only among risk assessors
18 and risk managers, but amongst stakeholders, risk
19 assessors, risk managers, and the entirety of the
20 process.

21 So today we're going to focus on risk
22 assessment. So why do we use risk assessment? It's a

1 scientific basis for food safety decisions. As I
2 said, it integrates data and information,
3 systematically addresses food safety issues, focuses
4 resources to improve food safety. Basically, it gives
5 us a clearer picture of what is actually happening out
6 there. It actually predicts public health benefits of
7 changes in policies, behaviors, practices or
8 interventions. So it is a tool that we use to
9 actually link these things to public health outcomes
10 rather than -- and it does so objectively and
11 explicitly.

12 As Sherri has mentioned, we've conducted a
13 number of *Listeria* risk assessments over the years.
14 And up front, before I go into all the *Listeria* risk
15 assessments, some people actually think it's a one
16 size fits all. It's very important to know that each
17 risk assessment is designed to inform a specific set
18 of questions or options. And there's times to use
19 risk assessments and there's times not to use risk
20 assessments. And I wanted to make sure that this
21 group heard this.

22 When you have complete information, you

1 don't need to estimate or predict the future. You
2 don't need to use these tools. You just simply
3 calculate. You do not need a risk assessment. You do
4 not need a risk assessment when you have no data or
5 information because if you try to do the estimation,
6 you have so much uncertainty, you won't know what it's
7 telling you. So when you do risk assessments, you do
8 them when you have some information but not complete
9 information, and you want to link it to the public
10 health outcome.

11 With that said, the risk assessments that
12 we've conducted over a number of years, we started
13 building our first risk assessment in 1999, and that
14 was the FDA/FSIS quantitative risk assessment for
15 *Listeria monocytogenes* in ready-to-eat foods. And
16 this risk assessment looked at 23 categories of ready-
17 to-eat foods. And I'll go through each of these in a
18 little bit more detail.

19 The next one we conducted was one for deli
20 meats, and then we conducted one that looked at
21 verification, how we can improve our inspection
22 processes. And then, finally, we conducted one that

1 compared the risk of *Listeria* from deli meats sliced
2 at retail versus those sliced in the plant.

3 So it's already mentioned that each risk
4 assessment is designed to inform a specific question.
5 So for the very first risk assessment we conducted --
6 and I'm going to walk you through a little chronology
7 because it's a little bit helpful to understand how we
8 took a tiered approach to where we are today.

9 The first question was which foods posed the
10 greatest risk of listeriosis. Believe it or not, when
11 we started, we know listeriosis was almost all food-
12 borne, but we didn't know which foods caused the
13 greatest challenge. We didn't know if it was hot
14 dogs, deli meats, sliced cheeses. We just didn't
15 know.

16 And so what we did is we conducted with FDA
17 a quantitative risk assessment, looked at 23 types of
18 ready-to-eat foods, and it is a retail to table
19 probabilistic model. And after when we completed
20 that, what we found out is this slide. This is giving
21 you the predicted cases of listeriosis per serving
22 among the total population, and I just marked a few of

1 the things at the bottom. You can see deli meats is
2 far and away posed the highest risk per serving. And
3 when you look at it again, you'll see on a per animal
4 or population basis, it also posed the greatest risk.

5 If you're able to, with your handouts, look
6 at the past slide, you'll see that some things that
7 were a higher risk per serving like pate was not a
8 high-risk on a population basis because not many
9 people eat pate in the United States. That's the
10 reason.

11 At this time, when we completed this risk
12 assessment -- it was originally completed in 2001. At
13 that time, it wasn't known that deli meats posed the
14 greatest risk of listeriosis. In fact, the
15 epidemiological data suggested it might be other
16 products such as hot dogs. The following year, after
17 we put out the very first risk assessment, 2001 and
18 2002, as Dr. Angulo pointed out, we had a nice big
19 outbreak, and it was clear that deli meats were posing
20 a problem.

21 The next set of questions we had: since
22 deli meats posed the greatest risk of listeriosis in

1 the United States, we wanted to know what processing
2 interventions effectively controlled *Listeria*
3 *monocytogenes* for deli meats. So the 2003 FSIS
4 *Listeria* risk assessment took the original risk
5 assessment we built and used the deli meat pathway.
6 And also, what we did is we added a module. So rather
7 than it be a retail to table risk assessment, it was a
8 plant to table risk assessment. We built this risk
9 assessment in about three months, held a public
10 meeting, and what we found from this risk assessment
11 was very helpful for developing our policy.

12 This is the output of that particular risk
13 assessment. Prior to doing this risk assessment, FSIS
14 was focused primarily on testing and sanitizing food
15 contact surfaces and testing product. That's all the
16 bars that are in yellow there. As you can see, they
17 weren't as effective in terms of an intervention as
18 much as product formulation and post-lethality
19 interventions. What this slide is simply showing you
20 is that the most effective set of interventions is a
21 combination of product formulation, for example, the
22 antimicrobials, and post-lethality intervention. It

1 was far and away more effective than any amount of
2 testing and sanitizing we can do.

3 The result was that we implemented in June
4 2003, the interim final rule, which was finalized in
5 October 2003. We put forth Alternative 1, which was
6 the use of post-lethality treatment and antimicrobial
7 agent. Alternative 2, which many of you are familiar,
8 was just the use of post-lethality treatment or use of
9 an antimicrobial agent. And Alternative 3 was the use
10 of sanitation only. And what we decided to do is that
11 we would allocate our inspection resources based on
12 the amount of control industry had in place for the
13 process.

14 And what you're looking at here, there's a
15 graph, and it's showing the adoption -- industry's
16 voluntary adoption of these interventions. And over
17 time, they will tell you that they did adopt many
18 interventions, and they did put many controls in
19 place. And we did see a decline.

20 This slide is showing a reduction of
21 *Listeria monocytogenes* in deli meats from the
22 manufacturer. And what you can see is there's been a

1 great amount of decline. But what we saw in the
2 listeriosis cases out there was there was a decline,
3 and then, as Fred Angulo pointed out, there was a
4 plateau. So our question was we're seeing declines in
5 contamination in the products that we're regulating.
6 We're seeing forward movement at the manufacturing
7 plant. What else could we look at?

8 Well, FDA and FSIS, back in 2004, quite some
9 time ago, did a back-of-the-envelope analysis, and it
10 was just a quick analysis using industry data. And
11 what we found out is that it looked like listeriosis
12 was predominantly attributed to deli meats sliced at
13 retail. Instead of using that data, we went ahead and
14 we decided that maybe we needed more representative
15 data, which is the talk that Dr. Ann Draughon gave
16 this morning. That was the National Alliance for Food
17 Safety and Food Security data. She went out and they
18 conducted an extensive survey on deli meats to garner
19 that data for our risk assessment to revisit it.

20 And so we conducted a comparative risk assessment
21 that looks at pre-packaged versus retail-sliced deli
22 meat. And you can see I'm showing two sets of data,

1 the data we originally used, which was NFPA data,
2 Gombas 2003, and AMI's data, consumer behavior data,
3 versus NAFSS data and RTI consumer data. And I'll
4 show you what the results were for that.

5 Basically, Ann has already shown you this,
6 but what we saw with the new contamination data, NAFSS
7 data, is we did see a higher prevalence in retail-
8 sliced deli meat. We also said that the retail-sliced
9 deli meat had higher concentration of *Listeria*
10 *monocytogenes*.

11 On the next slide, what you'll see is when
12 you compare them side by side, the NFPA data and the
13 NAFSS data, you can see, overall, both of them had
14 higher prevalence and higher levels of LM in retail-
15 sliced.

16 We not only look at the contamination at
17 retail, but when we do a risk assessment, we followed
18 all the way up to the consumer. And originally, with
19 the AMI data, we didn't have a lot of differentiation
20 between how consumers handled pre-packaged deli meat
21 versus deli meat sliced at retail. Some RTI data came
22 out later, and Dr. Regis Pouillot, who is going to

1 speak later today, analyzed this data for us, and we
2 took a look at it. And what we found is consumers
3 tend to use retail-sliced product more quickly than
4 the pre-packaged. This is probably not a surprise to
5 many of you. So this limits the amount of growth of
6 LM in retail-sliced product as it moves out towards
7 consumption.

8 Using the newer consumer behavior data and
9 the newer retail contamination data, what we found is
10 basically about 83 percent of listeriosis cases and
11 death attributed to deli meats are from those that are
12 sliced at retail. And Dr. Dan Gallagher, who is here
13 with us, conducted this risk assessment, and he broke
14 it up by the use of growth inhibitors, with and
15 without growth inhibitors. And what you're seeing, of
16 course, is using growth inhibitors does help to some
17 extent.

18 So why are we seeing it? I think we've had
19 a number of comments that came in on that particular
20 risk assessment. Our docket closed on June 8th. We
21 had a number of comments that came in. And a lot of
22 the comments that came in were centered around the

1 question of so what are we going to do next? Well,
2 basically, each of these risk assessments have given
3 you a piece of the puzzle. The first one told you
4 which food. The second one told you which
5 intervention during manufacturing. The third one told
6 you that the challenge was probably more at retail,
7 which is consistent with other studies that we heard
8 today.

9 But what we don't know is so what does
10 somebody do about that? So we have a lot of
11 hypotheses at this time. It could be that maybe more
12 than one kind of product is manipulated and that's
13 causing them to more likely be contaminated. It could
14 be as Martin pointed out. It could be stuff coming in
15 from the retail environment that came from the outside
16 into the retail environment. There's a number of
17 things.

18 At this time, the purpose of this risk
19 assessment is to better understand what is going on at
20 the retail environment. A lot of researchers are
21 doing studies, but what they're doing is they're
22 doing -- like, I'll do a slicing study and get a

1 transfer coefficient. That's helpful, but that's not
2 a very holistic look at the system. So I think what
3 we're asking people to do is take a look at the models
4 we're going to present to you today, think about the
5 kind of data and information that you have, and start
6 thinking about what might match up.

7 So the objective of this risk assessment,
8 the new one that we're initiating, is to ascertain the
9 impact on public health of current practices and
10 potential interventions that reduce or prevent LM
11 contamination in ready-to-eat foods, sliced, prepared,
12 and/or packaged in retail facilities.

13 The type of risk management questions that
14 had been posed to us are what is the exposure of
15 *Listeria monocytogenes* from consuming ready-to-eat
16 prepared in retail facilities; what are the key
17 processes that increase ready-to-eat foods'
18 contamination at retails; and how much is the relative
19 risk per serving reduced according to the specific
20 risk management options?

21 So with that said, I'm getting ready to turn
22 it over to Sherri, who will talk -- who will introduce

1 the rest of our speakers, but this is a new risk
2 assessment, a new *Listeria* risk assessment. It's one
3 of several. As I explained, we're getting closer and
4 closer to understanding the challenges and
5 implementing various actions to address it. And in
6 this case, we're wanting everybody to be engaged, both
7 the public and the stakeholders, to help us come to a
8 better understanding as to what exactly happens at
9 retail.

10 Currently, we're collaborating with the
11 Department of Health and Human Services, Food and Drug
12 Administration on this retail cross-contamination
13 model. We want to develop data specifically for the
14 risk assessment. We are working with Cornell
15 University, Virginia Tech, as well as the University
16 of Maryland, and the Joint Institute for Food Safety
17 and Applied Nutrition. And we're also asking that
18 stakeholders to participate early and often and be
19 actively involved with us in this process. Thank you.

20 (Applause.)

21 DR. DENNIS: We have time for one or two
22 questions. I'll take one from the audience, and then

1 we'll see if anyone on the phone has a question.

2 DR. HOLLINGSWORTH: Jill Hollingsworth, Food
3 Marketing Institute. I guess I'm just sort of
4 hypothetically trying to think through this, but in
5 looking at the information you just shared with us,
6 there was -- you could see a significant decline.
7 Once FSIS implemented its programs, its control
8 programs, the alternatives, you can see the drop in
9 *Listeria* in deli meats at manufacturing. And that
10 product, I'm assuming, is for the purposes of both
11 pre-packed and retail-sliced. And then Ann presented
12 data that showed from 2001 to 2005, both pre-packed
13 and deli-packed had a reduction of almost 50 percent
14 in the number of positives. Yet Fred has said during
15 that same time, we sort of flat-lined on incidence.

16 I guess that leads me to raise the question
17 of do we think the risk ranking, in fact, may no
18 longer be accurate. If we're lowering *Listeria* more
19 than 50 percent in this category, but the cases of
20 listeriosis haven't changed, are we maybe looking at
21 the wrong product?

22 MS. KAUSE: I think at retail we're going to

1 look at a number of products in this particular risk
2 assessment, not just deli meats. There'll be a wide
3 variety. I think one of our hypotheses at this time
4 is that, yes, we're seeing a reduction in LM
5 contamination in at least FSIS-regulated products.
6 But since listeriosis is plateauing out there, it
7 draws us to think that something is going on at
8 retail, in terms of cross-contamination, which is
9 consistent with what Joe Corby and Martin Wiedmann and
10 Ann Draughon showed this morning. And so we're
11 thinking that people are making headway in the
12 manufacturing plant, and then it goes downstream and
13 gets contaminated since *Listeria monocytogenes* is an
14 environmental contaminant.

15 UNIDENTIFIED SPEAKER: I'm coming, Jill.
16 One sec.

17 DR. HOLLINGSWORTH: But I guess I'm going
18 back to Ann's data that shows a 50 percent reduction
19 in LM positives both in pre-packed and deli-sliced.
20 So if LM, overall, in the whole meat category has
21 declined by 50 percent, but human cases of listeriosis
22 have not, can we -- do we need to go back and look at

1 the risk ranking and say is it the deli meats that are
2 causing that same -- I mean, why did we plateau on
3 listeriosis if the meat levels are going down?

4 MS. KAUSE: Thank you, Jill. I think I
5 better understand your question. You're wondering
6 about listeriosis cases overall, and we're looking at
7 relative risk. And you're saying, well, you know,
8 you're looking at relative risk of about -- I don't
9 know -- what was it, like, 1,600 cases are attributed
10 to deli meats, and going back to that original 2003, I
11 guess, risk assessment that says deli meats are
12 probably the primarily responsible. We can revisit
13 that, and we certainly will. With the more current
14 data, of course, we are still seeing a higher
15 challenge with deli meats sliced at retail than those
16 in the plant, but the overall number of case, we
17 certainly can revisit.

18 And that's a good point. Of the public
19 comments -- we're now just starting to wade through
20 the public comments on the comparative LM risk
21 assessment, which will cause us to go back and revisit
22 both risk assessments, both the 2003 and the 2009 risk

1 assessments for *Listeria*. I'm not sure if it will
2 change the relative risk, per se, but we certainly can
3 look at overall cases.

4 DR. DENNIS: We'll take one more question
5 here, and I'd like to go onto the folks on the phone
6 and give them an opportunity. Amir?

7 DR. MOKHTARI: Amir Mokhtari, RTI
8 International. Just have a comment about reduction in
9 the number of positive cases and then how it's
10 associated with the reduction in risk of listeriosis.
11 Maybe we can reduce the number of positive cases, but
12 we are not talking about the contamination level of
13 those positive cases, which is actually we talked this
14 morning about how that's going to impact the magnitude
15 of risk because we're all talking about number of
16 positive cases. Yes, we are reducing those positive
17 cases. But maybe the ones that are remaining are
18 highly contaminated, and that's why we are seeing this
19 increase in the level of risk. Just a comment.

20 MS. KAUSE: Thank you for your comment. And
21 for that reason, that's why we have interest, of
22 course, in enumerated data, as we might be changing

1 prevalences. Maybe the problem is from highly
2 contaminated product. We, at this time, we're still
3 in the exploratory stage to better understand the
4 sciences to how that links. Thank you.

5 DR. DENNIS: Okay. We'd like to just check
6 and see if there's anyone on the phone that would like
7 to ask a question.

8 OPERATION: Again, if you'd like to ask a
9 question, press star, one.

10 DR. DENNIS: Okay. We'll go onto our next
11 speaker, Dr. Dan Gallagher, who will give you a much
12 better idea of what this model is going to look like
13 as we have it conceptualized at this time.

14 Dan Gallagher is an Associate Professor of
15 Civil and Environmental Engineering at Virginia Tech,
16 with a specialty in computer simulation and
17 statistics. He was one of the co-authors of the 2003
18 FSIS risk assessment for *Listeria monocytogenes* in
19 deli meats as well as the FSIS comparative risk
20 assessment in ready-to-eat meat and poultry and deli
21 meats that Janell discussed. Dan?

22 DR. GALLAGHER: Thank you. And thank you

1 for this opportunity. I'd like to spend about the
2 next half hour, if I can find it -- sorry.

3 DR. DENNIS: I'm neglecting my duties, here.

4 DR. GALLAGHER: That's it. Thanks. Thanks,
5 Sherri. Trying to give you an overview of what the
6 cross-contamination model might look like and what
7 some of the considerations for it are.

8 There's three main topics I'd like to talk
9 about. One is just some basic cross-contamination
10 concepts, what do we mean when we use that term, how
11 might we start to apply that at retail, and then how
12 might we use this type of model to add sort of risk
13 management questions. How do we integrate the policy
14 into it?

15 Cross-contamination is the physical movement
16 of a bacteria, or other contaminant, from one location
17 to another, all right? That definition right off
18 means we've got to start tracking locations. We've
19 got to start tracking counts at locations over time.
20 So that's pretty much defining the framework of what a
21 cross-contamination model needs to start from.
22 Bacterial concentrations will change because of growth

1 that would normally increase them. They will decrease
2 because of cleaning or sanitation-type issues, and
3 then we also will account for the additions or losses
4 because of this cross-contamination process. So the
5 model needs to be able to track bacterial
6 concentrations at different locations over time.

7 Let's do a quick and easy example. These
8 numbers that you'll see from my talk is just
9 conceptual. They're all made up, all right? So
10 they're purely hypothetical. We're going to slice a
11 chub. Let's assume that face of that chub starts off
12 with ten CFUs, ten organisms. And the slicer starts
13 off uncontaminated, and we have what we call a
14 transfer coefficient, how easily the bacteria moved
15 between two locations of 40 percent for this example.
16 So 40 percent of those organisms will transfer to that
17 uncontaminated slicer. The remaining organisms will
18 move on to the consumer, to the customer, all right?
19 So that's an example of a cross-contamination. We
20 started off with only the chub contaminated. Now we
21 have some product contaminated and a slicer
22 contaminated.

1 Now, when the next customer comes along and
2 we start off -- let's say we start off with a chub
3 that has no contamination, no organisms at all, but
4 we've still got four organisms on the slicer. So when
5 we slice that, roughly 40 percent, and I'll round that
6 to two for this example, we're going to get
7 transferred to the product leaving with the customer,
8 all right?

9 So see, this becomes a bookkeeping process.
10 Where are the organisms? What are the transfers?
11 What concentrations do they move to? We also need to
12 account for growth and death, so maybe the slicer is
13 sanitized, so that'll reduce the concentrations. Then
14 maybe overnight in the niche area, the organisms will
15 grow up overnight and increase a little bit.

16 So those are kind of the mechanisms that
17 we're tracking in the cross-contamination model.
18 We've only really been looking at the slicer. We need
19 to do that for multiple sites.

20 So why is this a problem? Why are we
21 concerned about this? If I start with an
22 uncontaminated chub and slice it through an

1 uncontaminated slicer, hopefully, I'm getting an
2 uncontaminated product to the consumer. The same
3 thing starts off with a contaminated chub, I get
4 contaminated product -- not surprising -- but in this
5 case, because of the cross-contamination, we've now
6 contaminated an additional site. So now the slicer is
7 contaminated. And if I come along with other chubs
8 that start off clean, they can pick up some of the
9 organisms from this slicer and leave with
10 contamination.

11 So the cross-contamination tends to spread
12 the bacteria concentrations across many more servings
13 than if it wasn't present to begin with, and now the
14 problem is these might be low levels leaving the
15 store, but if that's a product that supports growth,
16 we can have several orders of magnitude increase in
17 the concentrations before the consumer uses it.

18 All right. So how might we apply some of
19 those concepts more specifically at retail? Again, we
20 need to talk about sites, where are our bacteria
21 levels we want to track, and events that move the
22 bacteria from one site to another.

1 So sites are just locations that we're going
2 to track. We could talk about slicers, countertops,
3 hands, gloves. We'll talk in a little bit we probably
4 need to simplify some of these. We might have a
5 generic non-food contact surface that would be a lot
6 of these individual sites because we don't have enough
7 data to track them individually. But we saw in some
8 of the earlier talks from Joe Corby, floor drains
9 might be heavily contaminated on a long-term basis.

10 The next question is does bacteria in these
11 sites ever transfer to any other site? So what event
12 might take a bacteria cell from one of these locations
13 to some other? What are the events that will cause
14 that kind of transfer, all right? So slicing a chub,
15 wrapping the chub, cleaning it, opening the case, that
16 might allow the bacteria to transfer among those
17 different sites also needs to be tracked.

18 Just conceptual retail environment, the
19 case, here, the worker, the slicer, the food
20 preparation area in the back. Okay. Maybe these are
21 the sites that are contacted when a customer is
22 served. So the worker is taking them out of the case,

1 slicing it, and there's the table they're laying it
2 on. So cross-contamination can occur for this event
3 across those different locations.

4 Then maybe they work with some deli salad
5 for a while, so they're not using the slicer, but
6 maybe their gloves get contaminated and they forget to
7 change them. So contamination from other food areas.
8 Then maybe they do some food preparation, area back in
9 the sink. Now, if there is a chance that the bacteria
10 can get out of that sink and onto the worker, that's
11 an event that we would be concerned about. The fact
12 simply that there is bacteria in the sink, but not in
13 and of itself enough to know that cross-contamination
14 is occurring.

15 All right. So then this becomes a
16 bookkeeping process. The blue examples here are
17 locations that we're tracking, so different types of
18 chubs, different slicers, a food contact surface, a
19 non-food-contact surface. These are the events that
20 will track we'll call exchanges.

21 So we start off in the morning, and we know
22 from the previous day the concentrations at each one

1 of those locations. Maybe the first thing they do is
2 they come in and they'll clean the locations they can
3 access. So they'll clean and reduce the
4 concentrations on the slicer and maybe the floor, the
5 non-food-contact surface. Then they'll serve a
6 variety of customers, and let's look at some of these.

7 So in this case, a contaminated chub comes
8 out, customer leaves with some contamination. Here, a
9 non-contaminated chub comes out. The slicer was
10 contaminated, so they leave with a low level of
11 contamination in the product now.

12 Here is an example where a heavily
13 contaminated chub comes through. In this case, it's
14 also able to contaminate both the slicer and the food
15 contact surface area, and the customer leaves with a
16 contaminated serving.

17 Another customer coming in, this was an
18 uncontaminated chub, but now because they're using the
19 contaminated slicer, they're leaving with some.

20 Each one of these, then, is a time series,
21 and we will have those kinds of time series data for
22 all of the locations that we're tracking. And between

1 each event, then, we're looking at does growth occur,
2 does cross-contamination occur across those different
3 events, or locations. Maybe they'll clean it again
4 for the sites they can get. Maybe overnight when the
5 store is closed, some of those locations some growth
6 can occur. And then this becomes our starting status
7 for the next morning.

8 So we can run this for a series of computer-
9 simulated times for as long as we need. In each case,
10 we're tracking this time series at each one of those
11 locations. So we can answer things like how long is a
12 slicer typically contaminated for?

13 The ones that will move on is this column
14 with the customer serving. We want to relate this
15 back to a public health outcome. That's the one that
16 will eventually lead to consumption. So this is the
17 same example but in a more flow diagram kind of
18 process. These are the different major events that
19 might occur, so contamination from a non-food-contact
20 surface, the floor, the sink, all right? There's some
21 probability that will occur.

22 The one that we're spending the most time at

1 this point on is serving customers because that's
2 where most of the transfer and the contact sites
3 occur. Pick the -- get the chub out, remove it from
4 the case, unwrap it, slice it, wrap it back up, put it
5 back in the case. There is potential cross-
6 contamination in each one of those kind of events.
7 All of this -- represents a time so there is some
8 growth at any one of those, and the one that we'll
9 track for public health input, slicing the chub means
10 the customer is then leaving with a sample that has a
11 certain concentration.

12 For all of those locations, but in
13 particular for the customer leaving them, we will have
14 a series of concentration versus time. So each one of
15 these little data points here -- I can't hold it
16 steady enough, but there is customer 1, there is
17 customer 2, there is customer 3. They're leaving with
18 known, or simulated known concentrations. And we can
19 run this on the computer for as long as simulated time
20 as we need to be able to get enough certainty on what
21 those concentration profiles might look like. But
22 this is done just for one store and one set of how

1 much do they pre-slice in the morning, what are their
2 sanitation procedures like.

3 We need to think about a variety of
4 situations that might occur. Now, normally in this
5 type of model, we don't formally account for every
6 possible situation explicitly. We don't say there's a
7 1 percent mom and pop store that pre-slices 3 percent
8 in the morning, the rest is sliced to order, the
9 sanitation process, they change their gloves 87.3
10 percent of the time. We don't have that level of
11 detail. The terms you'll see us use are variability
12 and uncertainty. And it's our hope that that accounts
13 for the range of situations that we're interested in
14 mimicking from the real world.

15 Variability is where we know what the values
16 are, but they change from time to time or from site to
17 site, okay? I don't expect the growth rate in a
18 product with growth inhibitor to be the same in the
19 product without a growth inhibitor or between a dry
20 sausage, non-growth type, and a ham, right? So I
21 expect variability across those different types of
22 products. But we have some numbers for what those

1 growth rates might be.

2 There's other things that we really don't
3 know, that we're uncertain about, that further data
4 would help us. We call those uncertainty values,
5 right? The way this will work is here is an example
6 of variability. Now, instead of just one time series
7 leaving, I'm going to track in this case, just
8 hypothetically, three. The red line is for product
9 without growth inhibitor. Then maybe the blue is non-
10 growth-supporting. The black is with growth
11 inhibitor.

12 So now I've accounted for some of that
13 variability by subdividing the different types of
14 products I might be mimicking. But again, this is
15 still for one store. There is variability across
16 stores, so I have to repeat that whole process but for
17 a different store, where a store might be what types
18 of sanitation procedures do they use, how much do they
19 pre-slice in the morning, do they pre-slice in the
20 morning, how many different slicers do they use.

21 So I'll do that for different types of
22 stores and have that same kind of time series

1 analysis, both accounting for variability, where I
2 think I know what the values going in are, but then
3 I've got to repeat that multiple times for data that I
4 wasn't sure of and how to make a best guess about, all
5 right? Those are the uncertainty distributions.

6 So this is all my variability that we saw
7 from before. But maybe I wasn't really sure on how
8 much that kind of store pre-sliced. So we'll pick a
9 different value for it and run the whole thing again
10 and run it again, and we'll literally have hundreds or
11 thousands of this. So when we talk about computer run
12 times being an issue, we're not making that up or
13 whining. That's real. I mean, this is days of
14 computer run times, frequently.

15 We summarize that in what jargon we'll call
16 a CDF plot, cumulative distribution function. We have
17 our variable, our quantitative variable on the X
18 scale. For us, for right now, that's going to be an
19 LM concentration. And then we have a cumulative
20 probability on the Y scale. The way you'd read it off
21 is the 50 percent value. You'd read over and down.
22 Fifty percent of the values are at that concentration

1 or lower. That's why it's a cumulative plot. Ninety
2 percent we'd read over and down. Ninety percent are
3 that value or lower. All right. That's how CDF plots
4 will work.

5 I'll have one of those curves for each of my
6 variability sets of runs. And then because I run lots
7 of them across uncertainties, I can look at the
8 uncertainty distribution across those different types
9 of CDF plots. So what's an average CDF plot look
10 like? What's an extreme on the low side and what's an
11 extreme on the high side. Again, we're not interested
12 in just saying we expect five people to die or a
13 hundred to get ill. I want to be able to say plus or
14 minus how certain am I about that value.

15 The focus on this model development is in
16 the retail environment, but we do need to link it to
17 public health. So there is a module to take it out
18 through consumer storage time and temperature, and so
19 there is some growth allowed for there. There's a
20 serving size module, so we get a dose at consumption.
21 How many cells are they actually consuming when they
22 eat? And again, growth can be enormous here. We can

1 have orders of magnitude increase from what's leaving
2 the store to what the consumer is actually eating.

3 We'll also combine that with a dose response
4 model, all right, so we know how many cells are being
5 consumed. We know that risk of illness from a given
6 dose. So we will get the mean number of illnesses
7 plus or minus that uncertainty. So we'll be able to
8 say the incidence is not just two in a million but two
9 plus or minus 1.8 or two plus or minus 0.01 in a
10 million. That's important for the types of risk
11 management questions and decisions that we might be
12 able to make from these kind of risk models.

13 So the key data needs for sites. What sites
14 should we consider? How big are they? Sometimes
15 that's a mass, sometimes that's an area, but a size
16 measure. What temperature are they maintained at?
17 What are the growth rates for each site? Think about
18 that for a minute. What's the growth rate of *Listeria*
19 stuck on a steel blade on a slicer? What are the
20 transfer coefficients? So during an event between the
21 slicer and the chub or the slicer and the glove, what
22 are the transfer coefficients? How many cells will

1 get transferred across those different sites? What
2 different types of deli meat products or deli salads,
3 or other food groups are being used in the deli area?
4 Is it 90 percent is turkey and ham, 5 percent is dry
5 sausage? How much of that exists in the deli case and
6 how fast is it being moved through the store. And
7 then a question we'll talk a little bit more about:
8 how does *Listeria* get onto the site outside this
9 transfer process?

10 For the events, okay, what's the probability
11 of an event occurring? Customer serving hopefully we
12 can get some data on. What's the probability that a
13 cell inside a sink is going to transfer to a food
14 contact surface? We need those kinds of numbers.
15 What's the sequence of events, serving a customer, for
16 example, how long does it take? What sites get
17 contacted when serving a customer or opening up a chub
18 work?

19 And then the model will be able to do all of
20 those time series type analysis, the LM concentrations
21 at different sites over time. We can also back-
22 calculate some other things. How long is a chub

1 normally held in there because we're tracking chub
2 sales, so the model will tell us. That's something we
3 can sometimes compare to external data to make sure
4 the model is working reasonably well.

5 You can begin to get the sense this is a
6 data intensive type model. There are some data gaps.
7 So one example might be where does LM come from in the
8 store, into this deli area? We have from Ann
9 Draughon's data some evidence that some of the chubs
10 coming in are contaminated. So that will start the
11 process, all right? And that we have some
12 quantitative numbers for. We know from Joe Corby's
13 and Martin's work that, you know, cases, shoes, floors
14 also might be sources of it, but we don't have good
15 quantitative numbers for that at this point. So a big
16 question, how does LM enter this retail environment.

17 The transfer events, again, there have been
18 some good studies recently about, say, transfer from a
19 slicer to a chub or back and forth, but how about some
20 of these rare events? How about high concentration in
21 a floor drain, where we know it's there long-term, how
22 likely is that to come back to a food contact surface

1 or get into somebody's hands? Those are still kind of
2 open questions at this point.

3 In terms of getting into risk management
4 type questions, keep in mind, lots of times we're
5 asked, as a modeler, did you consider this very
6 specific kind of case? We need to simplify this to be
7 a generic type approach, okay? So if the model can't
8 answer the questions we're asked, the model is not
9 complex -- not good enough or, flat out, it doesn't
10 work. But it's easy to try and make models that get
11 to be too complex that try and answer questions that
12 aren't asked. The problem there is, as you can see,
13 run times are a real issue. But the data needs, as
14 you get more complex, increase exponentially, all
15 right? And lots of times, it may not be worth the
16 effort to make a more complex model if you're simply
17 making up the numbers that go into it.

18 So there does need to be this balance
19 between complexity and simplicity for the model. Make
20 it as simple as possible, but it needs to be complex
21 enough to answer the questions we're being asked. The
22 way it generally works is a question will come to

1 us -- we'll see some examples in a little bit. We
2 need to translate that into the variables the model is
3 actually tracking. So what changes are we considering
4 in terms of the variables that we have as control
5 knobs on the model, or we run the model for that new
6 scenario and then evaluate did the health impacts
7 change at all.

8 Let's look at two examples, one that's
9 fairly straightforward just as an example. If I
10 required that two different slicers were used, one for
11 product that supports growth and one for product that
12 doesn't support growth, does that make any difference
13 in the public health? Maybe not. I don't know.
14 We'll let the model go run it. But it's easy to
15 evaluate in the model because I can easily model more
16 than one slicer at a time. When a chub is picked out,
17 we say does this chub support growth or not, and we
18 sent it to the appropriate slicer. That's one that's
19 a question that would be easy to evaluate.

20 Here is another one that's harder to
21 evaluate. You'll know that at most deli counters,
22 there's broiled chicken and maybe some seafood very

1 close to the deli area. If we move those farther
2 away, okay, because in many cases, they're dealing
3 with raw chicken. Does that make any difference? We
4 don't have good numbers for how that interaction might
5 be occurring. So I can go into the model and change
6 the probability that that transfer is going to occur,
7 but that's more bit of a guesswork for what numbers
8 should go in there.

9 So a better framework for some of those type
10 questions is something called a sensitivity analysis.
11 That's where if I don't know what the right number is
12 or how it might change, I can run it across a wide
13 variety of values and plot the effect. And that will
14 at least tell me if that value makes a difference or
15 not and how significant that difference might be. And
16 you can do that for things that you'd never want to
17 try in the real world as well by making the situation
18 worse.

19 If you think about a food code, where gloves
20 should be used and changed every time the product is
21 handled, what happens if we don't do that 10 percent
22 of the time or 20 percent of the time or 50 percent of

1 the time? You'd never want to try that in a real
2 deli, but we can run that in our model fairly easily,
3 right, because that's some of the variables that we're
4 tracking. So we could look at the relative impact of
5 those kinds of changes.

6 So what the model will be good at and what
7 it won't be good at, we have -- we are currently
8 developing a mechanistic event-driven model, transfer
9 coefficients, specific discrete events occurring,
10 tracking where the bacteria are at any given time.
11 Questions related to mechanisms and events the model
12 should be able to handle fairly well. Changes in
13 sanitation practices, changes in slicers, changing in
14 the amount that gets pre-sliced in the morning versus
15 sliced to order, those are things that we can handle
16 in the current approach to the model.

17 More administrative type practices might be
18 very important and might be critical to reducing
19 listeriosis, things like better record-keeping or
20 better consumer education. They might be important,
21 but our model doesn't deal with those kinds of issues
22 very well. Those aren't specific mechanisms or events

1 that we're tracking. So those types of questions
2 we're not going to be able to help too much with.

3 All right. So just in conclusion, we can
4 build a cross-contamination model at retail. It is
5 very data intensive, all right? So the more data we
6 can get, the better the quality of the predictions
7 that will come out, okay? We can look at different
8 scenarios, and we think we can develop the relative
9 importance of some of the different controls that
10 might be studied for LM at retail. All right.

11 This was the first part of a two-part
12 discussion on the model. Regis is going to come up
13 and talk a lot more specifically about the data that
14 we have available and some of the data needs. So
15 again, hopefully, this has left you with some of the
16 conceptual issues that we're grappling with at this
17 point. And now time for questions. So any questions?

18 (Applause.)

19 DR. DENNIS: Question over here.

20 DR. MOKHTARI: Just to better understand the
21 data that you showed here. If you look at the graph
22 you show for a store-to-store variability, I mean,

1 yeah, you -- we see, like, substantial variability
2 when you are looking at products that do support
3 growth. But when you're looking at the products that
4 do not support growth, it's basically a flat line.

5 DR. GALLAGHER: Now, keep in mind, this data
6 is completely made up at this point to simply
7 illustrate it.

8 DR. MOKHTARI: Okay.

9 DR. GALLAGHER: Okay.

10 DR. MOKHTARI: So you basically, when you
11 start with some initial contamination, more or less,
12 you are not changing it to the end of the, like,
13 several days that you're modeling here. Does this
14 mean that basically cross-contamination is not an
15 issue here so you're not introducing new contamination
16 to the product --

17 DR. GALLAGHER: No.

18 DR. MOKHTARI: -- because everything is
19 coming from growth based on this data.

20 DR. GALLAGHER: Right. Again, this was just
21 simply to illustrate that we can track different
22 product types, and I wanted the lines to be different.

1 So this was me sitting in my office making different
2 kind of average points for it. These are not model
3 runs.

4 DR. MOKHTARI: Okay.

5 DR. GALLAGHER: Okay. We would suspect that
6 because chubs are held for a certain amount of time in
7 the case that growth can occur on those chubs if
8 growth is permitted for that particular product type.
9 So growth and transfer would both be important, and
10 for non-growth-supporting product, I mean, growth gets
11 cut out. If I have a dry sausage, even if I
12 contaminate it, that contamination level shouldn't
13 change enormously during the course of the retail
14 environment. If I contaminate non-growth-inhibitor
15 turkey or ham, the growth might increase inside the
16 retail environment, the concentrations on those
17 products.

18 DR. MOKHTARI: So basically, surrounding
19 environmental contamination by itself is not a major
20 factor, I mean, when you have -- I mean, if you
21 eliminate the growth, you're good to go?

22 DR. GALLAGHER: No. I mean, I'm not sure I

1 fully understand, but if all I ever sold was dry
2 sausage, maybe. I'm not even sure I'd buy it then.
3 But once I start to put in a growth-supporting product
4 in there, I think environmental contamination is
5 critical because if it ever does transfer and then we
6 get three or four log increases during the consumer
7 storage, you've got a health problem at that point.

8 DR. MOKHTARI: Okay. Considering the
9 growth, do you think that your model supports what
10 data was shown in the morning, as far as, like, seeing
11 1.2 percent prevalence of positive numbers?

12 DR. GALLAGHER: One of the calibration or
13 one of the things you do with a mathematical model
14 like this, you ask yourself where is the real-world
15 data that I can make sure my model compares to. So
16 one of the things that we'd like to do -- we will do
17 is make sure that our model predictions are coming up
18 with roughly the same prevalence in concentration
19 distributions.

20 DR. DENNIS: We'll have more time for
21 question at the end, but we had one more question in
22 the back. We'll take that one, and then we need to

1 move on.

2 MS. TSUI: I'm Flora Tsui from FSIS. It's
3 been a pleasure to use your 2003 models. I'm very
4 much looking forward to this new model. Just want to
5 clarify, is this model product-specific or location-
6 specific or both?

7 DR. GALLAGHER: We will pick specific
8 locations in the retail that we will track over time,
9 slicer, case, probably gloves. At some point, we need
10 to consolidate and simplify it. So we might not track
11 floors versus walls separately, for example. We might
12 just say there is a generic non-food-contact surface,
13 okay, where we will have the time series for those
14 particular locations. Then we will track different
15 product types. And again, the level of detail is
16 something under discussion. But clearly, deli salads,
17 non-growth-supporting, growth-supporting with and
18 without growth inhibitor would be the minimum that we
19 would consider at this point.

20 Your call.

21 DR. DENNIS: She said it's just a quick
22 question.

1 DR. GALLAGHER: Okay.

2 DR. HOLLINGSWORTH: Can you go back to your
3 slide that had the example of the gloves?

4 DR. GALLAGHER: Yes. That one?

5 DR. HOLLINGSWORTH: When you're looking at a
6 scenario like this, just so I understand, what kind of
7 information do you need to already know about the
8 effect or impact of changing gloves or not changing
9 gloves in order to assess what will happen in the
10 model if you do it 10 percent of the time? That's
11 what's not clear to me.

12 DR. GALLAGHER: Okay. Since this is under a
13 sensitivity, that's generally where I don't have a
14 good feel for how important or what that value might
15 be in the real world. So think about the end result
16 of this being a plot. Number of people getting sick
17 on the y-axis, percent of glove proper use on the x-
18 axis. And then I can see is it flat? Well, then
19 maybe glove use doesn't matter. Is it increasing
20 continuously? Then gloves does matter. Is it flat
21 and then jump up? You know, then you need to be above
22 that jump-up point. So we'd look at this as a

1 continuous scale for glove use, plot the public health
2 impact, and then evaluate that curve.

3 DR. DENNIS: We'll have time for questions
4 later. Thank you, Dan.

5 I just want to emphasize that where we are
6 in the process now is this is a conceptual model. And
7 what we're looking forward to is for the submission of
8 data and information and your comments that will help
9 us in building this model.

10 So our next speaker will talk a little bit
11 more about the data that we currently have available
12 and the kinds of data that we would seek in order to
13 build the model. Our next speaker is Dr. Regis
14 Pouillot. He is a visiting scientist at FDA's Center
15 for Food Safety and Applied Nutrition, in the Office
16 of Food Defense Communication and Emergency Response.
17 He has worked on risk assessments for ten years in
18 France at the French Food Safety Agency and also as
19 the head of epidemiology at the Pasteur Center in
20 Cameroon.

21 DR. POUILLOT: Thank you, Sherri. Good
22 afternoon. So, yes, we'll spend the 30 minutes to

1 speak a little bit more about the data, the data
2 sources, the data review and the data gaps, all the
3 data that are needed to -- the model that we are
4 building with Dan Gallagher.

5 The outline is as follows. We'll first
6 provide you a comprehensive review of the data needs.
7 And then in the second part I will explain why we need
8 those data. And for that I will spend more time on
9 the different basic processes we use in the model;
10 that is the cross-contamination, bacteria growth,
11 cleaning and sanitizing. And for each of these basis
12 processes, I will try to use the data we have, the
13 data we need, and also the data gaps we still have.

14 First of all, we published two Federal
15 Registry notices for this project, one in January and
16 the second one in May. And the first one was a
17 request for comments and for scientific data and
18 information, where we gathered all the data needs and
19 we asked any stakeholders to provide us data we need
20 for this model.

21 The area of that is very wide. And for
22 example, here we have the -- we asked for the

1 characteristic of the ready-to-eat food markets in the
2 United States, including the volume of cheeses, deli
3 type salads and deli meats that are sliced and
4 prepared by manufacturers compared to the volume that
5 are sliced and prepared in retail facilities. That
6 would help us to try to balance those different kind
7 of products.

8 Second kind of data are the characteristic
9 of deli department in groceries, including the
10 proportion of separated seafood, meat -- deli
11 department in groceries. This question, we have to
12 say that this question is quite vague, but we have to
13 know a little bit more about the different
14 characteristic of deli department in the groceries in
15 the United States.

16 The second set of data we ask is *Listeria*
17 *monocytogenes* levels and frequency in products at both
18 level -- when they arrive at retail facilities and
19 when they are sold by retail facilities. So first one
20 will help us to -- will be used as an input in our
21 model, and the second one, as Dan told us, could help
22 us to scale the model and to compare the output of the

1 model with the real life.

2 Another kind of data we need are the factors
3 that influence the growth of *Listeria* in cheeses, deli
4 meats, and deli-type salads, which includes the growth
5 rates of *Listeria monocytogenes* observed in specific
6 products, the chemical characteristic of cheeses, deli
7 meats and deli-type salads, where I will show you why
8 in the next slide, and the proportion of deli meats
9 that are treated with growth inhibitors, the
10 inhibitors used, the level of growth inhibitors and
11 their efficiency. In fact, we have seen that there is
12 an increasing proportion of product that includes some
13 growth inhibitors, and we really have to model it in
14 our project. So last kind of data for this growth are
15 the data on temperature to which cheeses, deli meats,
16 and deli-type salads are exposed at retails.

17 I continue rather an exhaustive list. We
18 also need some data on the environmental
19 contamination, which includes data and information on
20 the prevalence and levels of *Listeria* in the retail
21 environments and kind of data we have been presenting
22 this morning, and also data on the growth of *Listeria*

1 on non-food surface, including environmental biofilm
2 growth.

3 What will really be a very important factor
4 for this model is the factors that influence the
5 environmental contaminations, the cross-contamination
6 of food by *Listeria monocytogenes* in retail
7 facilities. And we ask for data on the potential
8 transfer of *Listeria* to food from the retail
9 environment from our experimental studies or our real
10 data and also data information on food -- activities.
11 So that means that even if we knew that the
12 environment is contaminated, we really have to know
13 what is the impact of this contamination on the food
14 by knowing the potential transfer of *Listeria* from
15 this environment to the food.

16 Last of all, we ask for data on the
17 effectiveness of control measures or interventions
18 that are currently practiced in the retail
19 environment, which includes the environmental
20 sanitation procedures that are used and the worker
21 sanitation procedures, including frequency protocols
22 and efficiency of it.

1 And we finish our Federal Registry notice
2 asking any other data related to the occurrence,
3 growth, and control of *Listeria monocytogenes* in
4 retail facilities. So you see, we need a lot of data
5 in very wide areas, and we have asked in this Federal
6 Registry notice all this kind of data.

7 I will now focus on some of them, on the
8 major data needs, and in fact, these major data needs
9 are linked to the factors that impact principally --
10 contamination that are the initial contamination in
11 products, the cross-contamination, the bacterial
12 growth, and cleaning and sanitizing procedure.

13 Initial contamination in products have been
14 presented by Janell Kause this afternoon. That will
15 be the contamination of chubs in coming in the retail.
16 We hope to get some data in the docket. And I will
17 focus, then, on cross-contamination, bacterial growth,
18 cleaning and sanitizing.

19 We defined the -- as Daniel told us, we
20 defined the cross-contamination as the transfer of
21 *Listeria monocytogenes* from any object to another,
22 which is not the classical definition of the cross-

1 contamination in the risk assessment domain.

2 So if you want to model one cross-
3 contamination, you have one contaminated object which
4 is in contact with a non-contaminated object, and then
5 at the end, object B is contaminated from a transfer
6 of bacteria. To be able to model this cross-
7 contamination, you need the initial contamination of A
8 and, of course, the transfer coefficient from A to B,
9 that -- proportion of bacteria that shifts this from A
10 to B.

11 In fact, there are more and more literature
12 studies on this kind of definition of transfer
13 coefficient, and I present you one example that was
14 published by Chen in 2001. She put on one skinless
15 chicken breast meat ten to the eight bacteria and
16 asked a participant to cut the meat, to handle faucet,
17 to wash hands and then to cut a lettuce, and at the
18 end of this experiment, she made a bacterial count on
19 the hands, the faucet and the lettuce.

20 From this kind of study design, she was able
21 to evaluate the transfer coefficient from the chicken
22 to the hand, the impact of the fact that the

1 participant wash hands, and then the final transfer to
2 the lettuce. I provided here the transfer coefficient
3 she obtained and you can see that there is a huge
4 variability. For example, from one experiment to the
5 other within the same study, you can have from 0.36
6 percent to 100 percent of the bacteria that are
7 transferred from the chicken to the hand.

8 We gathered all this kind of different
9 transfer coefficient from the literature, and we
10 currently have more than 900 transfer coefficients.
11 And we really observe a lot of different study, a lot
12 of different protocol and a huge variability in the
13 transfer coefficient. This variability is a function
14 of the source, the recipient, the kind of contact, and
15 also the bacteria.

16 And here, I provide the results obtained
17 from a transfer from meat to stainless steel surface.
18 And you can see that for this 100 transfer
19 coefficient, you can obtain some very low transfer
20 coefficient up to 100 persons. So a huge variability.
21 But we have some data on this specific area.

22 A special case of this transfer coefficient

1 that is well-studied, too -- we have now seven
2 publication on this kind of -- with this kind of
3 protocol -- is the use of a slicer. And for example,
4 for this publication from Vorst, 2006, they placed ten
5 to the eight *Listeria* on the blade and then start
6 slicing turkey, salami, or bologna. And here you
7 have, according to the sliced number, the
8 concentration, the number of CFU on each of the slice
9 of turkey, salami and bologna. So you can see that
10 you have a slow reduction in the number of bacteria on
11 each slice and that could have a real impact on the
12 number of slices that are contaminated.

13 You can see also here that when you reach
14 ten to the three bacteria, you begin to have something
15 that is more -- to the low number of bacteria, which
16 is much more difficult to count than very high number.
17 So we have also some specific data for the slicer.

18 I was speaking of one cross-contamination,
19 but, in fact, as Daniel showed you, we work with
20 multiple cross-contamination within our retail. And
21 this is a kind of schematic of -- we can do of this
22 cross-contamination, and you can see that we'll have

1 to consider not only one cross-contamination, but
2 multiple cross-contamination from clothes to hands,
3 from hands to gloves, from gloves to chubs, from chubs
4 to food contact surface, and from non-food-contact
5 surface to chubs before these chubs begin ready-to-eat
6 food that is sold to the consumer.

7 So things are much more complicated than for
8 one only cross-contamination, and the additional data
9 needed is the frequency and the probability of contact
10 between objects within the retail. For example, if
11 the food worker sliced chub and then replaced chubs,
12 there is non-zero probability that he had a contact
13 with his hand on a non-food-contact surface and then
14 from his hand to the chub. And that could be the
15 origin of cross-contamination.

16 So one question is how frequent is this
17 contact? How frequent is the contact on non-food-
18 contact surface, and if there is such a contact, what
19 is the probability that the food worker changes his
20 glove, as he should? And before changing his gloves,
21 what is the probability that he also washes his hand,
22 and so on. So we have a lot of question about what

1 really happens in a retail to be able to simulate
2 such an environment. This was a -- that I got because
3 no data existed in the United States on this kind of
4 issue.

5 So we first developed with the help of the
6 JIFSAN, the University of Maryland, GFG, and the FMI.
7 We developed the pilot observational study, where, in
8 nine or ten facilities, we go there and observe food
9 worker behaviors just following the food worker and
10 noting everything that he does. You have here an
11 example. At 11:20, he opened the deli case and using
12 his gloved hand, he picked up a ham and closed the deli case.
13 His hand rubbed the ham, and so on and so on and so
14 on. So Mary LeBrin (ph.), which is in the -- here
15 today, is currently doing in the retail and note all
16 the food worker behaviors just to see what happens in
17 the retail.

18 We only work on nine retail facilities, but
19 the first results -- the study is not finished yet --
20 but the first results seem to show a very standard
21 practice for a given food worker. A food worker
22 always does the same thing. And there is a

1 variability between food workers within single retail
2 facilities. And there is a variability from a retail
3 facilities to other one.

4 So this is the very first results. We do
5 not have finished yet. And this is only a pilot
6 observational study, but once scaled on a realistic
7 set of parameters, we will be able to begin to work on
8 our model and to try to find more deeply which
9 parameters are really important, really sensitive, and
10 this will help to define the objectives for a more
11 complete study.

12 To finish with the cross-contamination, we
13 have a remaining data gap, which is the probability
14 and level of transfer from and to the niche. And you
15 saw this morning, we have some data -- study,
16 Cornell's data, a very interesting set of data. But
17 question remains on the real impact of this
18 contamination of the environment on the food
19 contamination.

20 What is impact of a contaminated drain? Is
21 this contamination only the indicator of contamination
22 or is it the real origin of a potential contamination?

1 We don't know, currently. We can ask the same thing
2 for the cart wheels. We don't know if it has an
3 impact on the food contamination. And more than that,
4 we are working in the quantitative risk assessment, so
5 we have to do a quantification of the probability and
6 the level of transfer of bacteria from this niche to
7 this product. This is a very important data gap.

8 The second basic process we have to consider
9 is growth of bacteria within the environment. So as
10 you all know, *Listeria* can growth and the result of
11 this bacterial growth can lead from very few bacteria
12 to very huge amount of bacteria, which pose a risk.
13 The bacterial growth is a function of the time the
14 environment and the bacteria -- and you all know,
15 also, that *Listeria* has the ability to grow even as
16 such a low temperature as 29 to 40 degree Fahrenheit.
17 This is what is currently said, but you have to
18 remember that at this temperature, the growth rate is
19 really low and it's not nil, but it's very low.
20 *Listeria* can also grow at a low and higher pH, from 45
21 to 96.

22 And so we have to consider all over the --

1 variation in the environment that allow or not
2 *Listeria monocytogenes* to grow. As we told before, we
3 have an increasing proportion of products resulting in
4 microbial growth factors, and we have to consider
5 them.

6 To that, we have to some very interesting
7 tool now in the literature, which are all the
8 predictive microbiology models. You have here some
9 examples of models that fit, more or less, to observe
10 data. All these data are the evolution of the
11 population of bacteria according to time in very
12 various conditions. These models have made a huge
13 improvement the last 20 years, and we are now more or
14 less able to simulate the interaction between the
15 parameters, for example, the presence of growth
16 inhibitors and the temperature. What happens at low
17 concentration? What about the -- of the bacteria?
18 What about varying environments? And what about the
19 growth/no growth interface? So there is a huge
20 literature, lots of models we have to dig in them to
21 see the ones that will help us to predict the
22 bacterial growth in our deli meats.

1 So the growth rate are observed in the
2 published literature. We hope to get some response to
3 some of these growth rates in response to our Federal
4 Registry notice for specific products because we can
5 either use a growth rate specifically according to the
6 product or take growth rate and then evaluate the
7 impact of other characteristic of the product on this
8 growth rate using the pH water activity and --
9 microbial growth factors of this product. We need
10 also the storage temperature at retail, the storage
11 duration at retail, and we need some data on these
12 parameters.

13 The last basic process is the cleaning and
14 sanitizing procedure. Same thing as cross-
15 contamination. For one cleaning, it's quite easy.
16 You need to know the initial contamination. And then
17 you need to know the reduction in the number of
18 bacteria during the cleaning/disinfection. And
19 there's a huge number of -- reductions that have been
20 published specifically to avoid -- a lot of
21 publication have been done in hospital to avoid
22 contamination from one patient to the other.

1 Here is an example, DiSolters (ph.) in 1990.
2 Use some bacteria in suspension and some bacteria
3 dried on stainless steel and for a different batch of
4 products evaluates the log reduction. Here, you have
5 with ethanol a five to six log ten reduction when you
6 apply this product for a given duration with a
7 specific protocol on a surface.

8 So we have some of this data from the
9 literature. But what we need to know is the product
10 that are used -- that are really actually used in the
11 retail, the frequency of cleaning operation from a
12 simple wipeout to complete cleaning. We really need
13 this data. And also the protocol, the reprotocol --
14 not only the one that is supposed to be done, but the
15 one that is actually done with the duration of the
16 cleaning and the frequency.

17 For all this data, we have the data from the
18 food code, which could be used as a baseline, but we
19 need some real data from our observational study, and
20 we hope to have some response to the Federal Registry
21 notice.

22 I focus mainly on the retail, but in order

1 to be able to compare and to see the public impact of
2 the different risk mitigation, we'll have to go to the
3 risk. So we'll follow the products from the retail to
4 the consumer when they eat and when they eventually
5 get ill -- from the contamination when sold, what
6 happened when the product is eaten, they might be home
7 storage, a very long home storage with the bacterial
8 growth, and we have to model this.

9 We will not consider cross-contamination at
10 the consumer steps because it's not the main question
11 of our project. Usually, we have this data because
12 they have been used for the FSIS/FDA two or three risk
13 assessments, and there are some new data on this home
14 storage.

15 Once eaten, we have to use -- to evaluate
16 the probability of illness, and we have two concurrent
17 dose response model. We could take a whole meeting
18 speaking about those dose response model because they
19 are not perfect, but we really think that they are
20 sufficient to try to evaluate our different risk
21 mitigation.

22 Here is an example for the transport and

1 home storage. You have here the different
2 temperatures that have been measured in consumer
3 fridge from two different publication, one from 2008,
4 the second one from 2007, and you can see that we
5 are -- some consistent data with a very high -- at 40
6 degree Fahrenheit and some very low or very high
7 temperature for the consumer. We know that this has a
8 real impact on the risk, but this is not the main
9 focus of our project.

10 As conclusions, I tried to tell you all the
11 data we need, some that are available but not all, and
12 to conclude, we have all what we can call the white
13 literature, that is, what is published, officially
14 published in the different review. But we need some
15 data which is called gray data or black data, which
16 are all the data that stakeholders could have and that
17 they could share with us to try to improve our
18 project. Two dockets are currently open for comments
19 and data until September 29th. That's it. Last day,
20 September 29th, and you can obtain them. You'll have
21 the number on the presentation.

22 Some data gap will hardly be filled, for

1 example, the probability and the level of transfer
2 niche to products. We'll try to derive some specific
3 data to get some data using specific study design.
4 But this may be some limitations of the model, and
5 we'll have to deal with that. Thank you for your
6 attention and waiting for question. Thank you very
7 much.

8 (Applause.)

9 DR. DENNIS: Questions? I'll take some from
10 the audience, and then we'll go to the phone. We have
11 one right here.

12 MS. KLEIN: Hi, I'm Sarah Klein from Center
13 for Science in the Public Interest. We have
14 suggested, and I want to get your thoughts on
15 gathering data from the European Union, where a zero
16 tolerance policy has not been the standard, so that
17 the products coming into the retail environment are
18 subject to the 100 CFU per gram standard. And we just
19 kind of want to hear more about your thoughts on
20 looking at that data since that will most likely
21 provide a more realistic picture of what's happening,
22 you know, in terms of cross-contamination from

1 products that are coming in carrying some load of LM.

2 DR. POUILLOT: Okay. As you can guess, I
3 have some information of what happened in Europe.

4 (Laughter.)

5 DR. POUILLOT: And there is a real increase
6 that is observed in six or seven different countries
7 in Europe, currently, but everyone in Europe really
8 asks some question about what is the cause of this
9 increase, because the 100 LM tolerance has always been
10 there, in fact. So the current increase is not an
11 impact of this policy. As it was told this morning,
12 there is a huge impact on the elderly, on the people
13 with immune compromised status, and we really don't
14 know. So using our literature review, we'll take, of
15 course, Europe and data from all over the world. But
16 I'm not sure that we can make a direct link, and the
17 research from France on retaining -- do not do this
18 link between the 100 CFU tolerance and this increase.
19 So I don't know if you want to --

20 DR. DENNIS: Any more questions?

21 MS. COZAD: Good afternoon. My name is
22 Townley Cozad from Lockheed Martin. My question is

1 about last week's news, there were a couple of
2 articles that China decided that they were going to
3 build their first national food safety risk assessment
4 system. Is there an ongoing collaboration process or
5 sharing of information between FDA and FSIS and what
6 China is trying to do, and if so, is that shared also
7 off the information for your Federal Registry notice?

8 DR. POUILLOT: I'll have Janell --

9 MS. KAUSE: This is Janell Kause, the
10 director of the Risk Assessment Division for FSIS. I
11 believe that that's newly formed. For the most part,
12 the risk assessment community, at least in food
13 safety, is very, very tight. People do share
14 information and data because the field is very, very
15 small. Predominately, they do this through codex and
16 codex committees, but we look forward to seeing if the
17 Chinese risk assessment group does invite us to
18 provide or share information and if they're willing to
19 share information that they have as well.

20 DR. DENNIS: We'll take a question from the
21 phone if there are any questions, and then, if not,
22 we'll open it up to the full Panel.

1 MS. KAUSE: Larry Kohl?

2 DR. DENNIS: Oh, I'm sorry, Larry? I didn't
3 see you. Go ahead.

4 MR. KOHL: Regis, my question is --

5 UNIDENTIFIED SPEAKER: Hold on one second.
6 Let me bring you the microphone. I didn't see you.
7 Excuse me. Make sure everybody hears you.

8 MR. KOHL: Larry Kohl with the Food
9 Marketing Institute, and my question is for Regis.
10 Have you thought of or considered the types of
11 criteria that might be needed for the data and how you
12 might use that data, then, or if you'll use the data?
13 And I guess it goes back to the question about a lot
14 of data that might be available at the state level
15 from a regulatory standpoint and whether that data
16 would be acceptable in using within the model, and
17 there might be other data that would fall into that
18 category or a category from an industry standpoint,
19 and there might be some confusion whether that data
20 would do you any good or if you could use it.

21 DR. POUILLOT: Yeah, it's a complicated
22 problem because as many kind of data as the number of

1 the kind of -- yeah, there is a difference in the
2 quality of data according to the kind of data we need,
3 but I don't know what --

4 DR. GALLAGHER: Let me break that up into
5 two responses. The first and the harder one, is the
6 quality of the data. I mean, typically, we think pure
7 journal publication data is a higher quality, and in
8 some cases, we try and take that into account. We can
9 easily take it into account when we have multiple
10 versions of the same type of data. Then we can go
11 with the better one, what we think is the better one.

12 The second one, though, is would we consider
13 other data. I mean, clearly, the New York-type data
14 is important to us because it's giving some indication
15 of where LM might reside in a retail environment, and
16 that's not data we have elsewhere. So in that case,
17 certainly. The key point is we'll look and consider
18 any data that we can get our hands on. Whether it's
19 useful or not, we've talked about some of the
20 limitations that that New York-type data has. It's
21 mostly prevalence. There are some sites that were
22 consistent across all locations, others that were more

1 judgmental. So trying to track -- build some of those
2 issues in is a little more difficult.

3 DR. POUILLOT: Looking at representativeness
4 and quality of the bacteria logical data, if it's
5 bacteria logical data. But we want -- representative.
6 And of course, literature data are very interesting,
7 but from time to time, a batch of raw data is better
8 than this kind of statistics that we obtain in the
9 white literature. More and more people try to publish
10 their raw data on some website, and Cornell do a great
11 job on this issue. But that will help us a lot for
12 these kind of risk assessments when we really have to
13 get the raw data and work on them and get some other
14 statistics. This is important because in risk
15 assessment, we don't just want the mean as in a usual
16 study, but we really want all the variability of all
17 the different situations that occur in an environment.

18 DR. DENNIS: I just want to add to that,
19 that it's really important to have access to all of
20 the raw data so that we can evaluate the quality of
21 it. And one really wonderful place to post data is on
22 JIFSAN's Web page, www.foodrisk.org. And we'd

1 encourage researchers to post their information there.

2 MS. KAUSE: And this is Janell Kause. It is
3 the website where a lot of the federal food safety
4 agencies are collaborating and providing access to
5 their models, to their data, and so on, to make it
6 easy for everyone to find out a one stop shop where to
7 get that kind of information. And we're encouraging
8 our stakeholders to also provide the data and
9 information that they can there. Of course, some data
10 will have to be blinded for obvious reasons, but we do
11 encourage people to collaborate, because I think it is
12 our goal that if all of us have a common understanding
13 of the problem, then it can be addressed more readily.

14 DR. DENNIS: Join me in thanking Regis.

15 (Applause.)

16 DR. DENNIS: Okay. Now we will open up
17 questions to all of our speakers, and I would like to
18 start and see if there were any questions from folks
19 on the phone.

20 OPERATOR: Once again, to ask a question,
21 please press star, one.

22 DR. DENNIS: Okay. And we'll come back at

1 the end to anyone on the phone. I open the questions
2 up to our participants here.

3 MS. KLEIN: Hi, Sarah Klein from Center for
4 Science in the Public Interest. Just two quick
5 questions of clarification. Are you considering data
6 on bacterial growth in consumer homes and just not
7 cross-contamination in consumer homes or are you not
8 looking -- I mean, is the risk assessment not going to
9 reach into consumer homes at all?

10 DR. POUILLOT: We would consider growth at
11 the consumer home for different reasons. The last
12 risk assessment showed that this was a major --
13 factors on the *Listeria* risk assessments. This is
14 really important. The other thing is that it appears
15 that people store the deli meats that are sliced at
16 retail a shorter time than the ones that are sliced at
17 the manufacturers. So we have to consider this in
18 terms of risk. People know -- consider that -- well,
19 in fact, they keep the product a longer time.

20 MS. KLEIN: Great. And then the second
21 question was when we refer -- the last couple of
22 presentations referred to chubs and talking about the

1 study of contaminated chubs that are coming into
2 retail. Are we talking only about meat to meat
3 contamination, or are we also looking at meat to
4 cheese contamination on the same slicer or meat to
5 seafood contamination, where that could happen, cheese
6 to seafood, et cetera?

7 DR. GALLAGHER: Meat to cheese, yes. Deli
8 salad areas, yes. Seafood, raw chicken, broiler-type
9 issues, we're aware of them. We're not quite sure at
10 this point how to properly build them into the model.
11 Okay. The question becomes if there is a seafood
12 counter ten feet away from the retail deli area, how
13 would *Listeria* transfer from that location back into
14 the deli area. We're not saying it's not -- it can't
15 happen. We're trying to think our way through that
16 one at this point.

17 MS. KAUSE: This is Janell Kause. I think
18 we're going to learn a lot from the study the
19 University of Maryland is carrying out, the
20 observational study. I think it depends what we see.
21 If they're seeing observations where it looks like
22 there could be a transfer, maybe then it can be

1 included. Right now, as Dan points out, we're not
2 seeing how that's going to happen. But again, it's in
3 the early stages of development. So what gets
4 included in this model depends quite a bit on what
5 data we're able to garner from folks here, folks that
6 provide comments to our docket, observation studies,
7 research that academia and CDC and others do, and so
8 on.

9 DR. DENNIS: Other questions?

10 MS. SMITH DeWAAL: This is Caroline Smith
11 DeWaal. I just have one, and Janell, I'm sorry if I
12 missed your earlier presentation. You may have
13 explained this. But can you describe how
14 Dr. Gallagher and Dr. Pouillot are going to be working
15 together. I mean, are you working out of the same
16 office? Is all the materials going into the same
17 docket? Is FDA -- I mean, I'm interested that FDA is
18 managing the data gathering portion of this exercise
19 and USDA is doing the modeling. But I just want to
20 know how the data actually is going to be managed.

21 MS. KAUSE: Thank you, Caroline. That was
22 Caroline Smith DeWaal with Consumer Science in the

1 Public Interest. And this is Janell Kause with the
2 FSIS. Just to be really clear, this project was
3 initiated between FSIS and FDA last fall. And from
4 the get-go we decided that we'd both have modelers.
5 And actually, Dan Gallagher is on contract with me,
6 and Dr. Regis Pouillot works with Sherri Dennis. So
7 we have both agencies building the model. We have
8 both agencies collecting data, both agencies meeting
9 on a weekly basis on this risk assessment. Both
10 agencies have sponsored this public meeting, both with
11 money and resources. And so in terms of the docket,
12 we do have two dockets. In the future, it will always
13 be just one docket where it'll be a one stop shop, and
14 we'll have the same number.

15 So it really isn't divided between us. We
16 actually work like a single unit in this case. And
17 that was decided because when we talk about cross-
18 contamination at retail, we're not talking about just
19 FSIS-regulated product or FDA-regulated product.
20 We're talking about retail products. And so we're
21 wanting to work together from the beginning to the end
22 and also engage consumer groups, industry groups, the

1 public in general early in this process because we
2 really do believe that while everything is pointing to
3 retail and it's a place for us to go, what exactly
4 people need to do depends on what we can understand
5 about the retail environment as a system. Does that
6 help, Caroline?

7 MS. SMITH DeWAAL: Yeah, thank you.

8 DR. GALLAGHER: This is Dan Gallagher. Can
9 I add just a little bit of a geek answer to that as
10 well just to show you how the modelers work? The
11 question about sharing code and data, we maintain on
12 the Web what's called a subversion site. That's a
13 piece of software that tracks when you make changes to
14 code. So Regis and I both have access to the full
15 model, can make changes to it, and the website and the
16 subversion software are keeping track of the changes
17 that we make. So we're interacting as a repository
18 for the code that we're writing together.

19 MR. MARTIN: Hi, Chuck Martin from
20 SuperValue. Question for Regis or Dan. In terms of
21 transport and home storage, handling of products by
22 consumers, I see that there are some studies listed

1 from EcoSure and RTI 2007. Are there any other plans
2 for additional consumer behavior studies with respect
3 to handling products after purchasing from retail and
4 with respect to how long deli meats are held at home
5 and at what temperatures?

6 DR. POUILLOT: We have the RTI data from
7 2007 for what happened at the consumer. We have the
8 EcoSure from what happened before, and for transport
9 to the consumer, we have the -- to my knowledge there
10 is no other set of data. We made some initial work
11 that shows that this transport from the retail to home
12 do not have -- does not have an impact on the final
13 risk because you, in two hours, you not going to have
14 a very significant growth for --

15 DR. GALLAGHER: So basically, there's no
16 additional studies currently being planned where we'll
17 use the existing data that we have. And again, most
18 of the risk assessment questions that are being framed
19 at this point are focusing at the retail deli counter,
20 not changes that might be occurring in the home.

21 DR. DENNIS: Just to add to that -- this is
22 Sherri Dennis. Certainly, if you are aware of other

1 studies, we encourage you to submit the information to
2 the docket and if you have an opportunity to encourage
3 further research, that's something that, obviously,
4 continuing to update that information will be really
5 important.

6 MR. MARTIN: Just a follow-up. Did I hear
7 correctly that at this point, the model does not see a
8 impact on growth of LM due to handling and storage
9 time by consumers?

10 DR. GALLAGHER: You had to listen very
11 careful what he said. It was the transport. So from
12 the time you buy it at the deli counter until you put
13 it in your fridge, that time doesn't seem to be
14 terribly significant. From the days to weeks it might
15 stay in your fridge, we can have enormous growth and
16 it makes a big difference. So that two-hour period
17 driving home from the supermarket and getting it in
18 your refrigerator doesn't seem to be critical even
19 though the temperature might be a little high. But,
20 you know, keeping it for a week to 14 days in your
21 refrigerator does have a very significant impact.

22 DR. DENNIS: Additional questions? So we

1 will reconvene.

2 (Off the record.)

3 (On the record.)

4 MR. TYNAN: It's almost 3:00. It's exactly
5 3:00. I wish I had that much influence in my home.

6 (Laughter.)

7 MR. TYNAN: Good afternoon. My name is
8 Robert Tynan. I'm with the Food Safety and Inspection
9 Service, Office of Public Affairs and Consumer
10 Education, and I have the pleasure of having the
11 opportunity to moderate this portion of the public
12 meeting. It's an expert panel to talk about some of
13 the scientific information and data that we'll need as
14 part of the study. This also gives Janell and Sherri
15 an opportunity to sit and listen and not be in the --
16 right up here trying to manage and monitor the meeting
17 itself.

18 The session we have today -- I'll introduce
19 the Panelists in just a few moments. But we do have
20 three questions that was at the registration table
21 that you probably all have in your packets. We also
22 have a little bit of a slide. So there's the three

1 questions. I won't read those to you. For purposes
2 of this Panel, we'll also not have a introductory
3 remarks, in the interest of time. So we'll have the
4 questions. We'll pose them to the Panel. And then at
5 the end, if there's still time, we'll allow the
6 Panelists an opportunity maybe to elaborate a little
7 bit on their own thoughts about the issue, and that
8 will end the Panel, and then we'll go into the public
9 comment portion.

10 And let me introduce the Panelists to you.
11 I have some bios here if you'll bear with me. And
12 these, I hope, are approved bios as opposed to the
13 unapproved version.

14 (Laughter.)

15 MR. TYNAN: Down to my far right, I have
16 Dr. John Butts. John is the Vice President of
17 Research and Development at Land O'Frost,
18 Incorporated. He joined Land O'Frost as the Director
19 of Research in 1974. He's a member of the board of
20 directors of the American Meat Institute and is an
21 active member of the Scientific Affairs Advisory
22 Committee for over 25 years. And John, you're only

1 29, is that correct?

2 DR. BUTTS: That is correct. And the only
3 one here with gray hair, too.

4 (Laughter.)

5 MR. TYNAN: To my immediate right, I have
6 Dr. Jill Hollingsworth. Dr. Hollingsworth is the
7 Group Vice President for the Food Safety Programs
8 Department for the Food Marketing Institute. Her
9 fields of expertise include food safety and
10 microbiology, epidemiology, food-borne diseases and
11 public health. Dr. Hollingsworth previously served as
12 the Assistant Deputy Administrator in the Office of
13 Public Health Science at FSIS, and she's also served
14 as the FSIS Special Assistant to the Administrator
15 where she was directly responsible for providing
16 scientific and technical advice and guidance under
17 four administrations. And I've had the pleasure of
18 working with Dr. Hollingsworth, so it's nice to see
19 her today.

20 To her right is Ms. Caroline Smith DeWaal.
21 Ms. DeWaal is the director of Food Safety Program for
22 the Center for Science in the Public Interest and is

1 the co-author of *Is Our Food Safe: A Consumer's Guide*
2 *to Protecting Your Health and the Environment*. She
3 represents CSPI with Congress and in the regulatory
4 arena on a broad range of food safety issues,
5 including meat and poultry safety, seafood safety,
6 food additives, pesticides and sustainable agriculture
7 and animal drugs. Ms. DeWaal is a leading consumer
8 analysis on reform of laws and regulations governing
9 food safety. And prior to coming to CSPI, Ms. DeWaal
10 was the Director of Legal Affairs at Public Voice for
11 Food and Health Policy.

12 And to Ms. DeWaal's right, we have
13 Dr. Amirhossein Mokhtari, and I did pronounce that
14 correctly?

15 DR. MOKHTARI: Perfect.

16 MR. TYNAN: Excellent. And Dr. Mokhtari is
17 a senior risk assessor and modeler at RTI
18 International. He's worked on many research projects
19 sponsored by USDA, FDA, and EPA. He currently
20 provides risk assessment support to various clients on
21 a diverse array of projects, including developing a
22 methodological framework for the fate and transport of

1 pathogens in the environment, modeling consumer phase
2 risk assessment for microbial pathogens, quantifying
3 transmission dynamics of infection, diseases in the
4 population and evaluating the role of food handlers in
5 the spread of microbial diseases. That's very
6 impressive.

7 Okay. And with that, that is our Panel.
8 And so we'll begin by going over the questions. And
9 the first question we have has to do with information
10 or data as well as unpublished data or data sources
11 that the Panel is aware of regarding the transmission
12 and movement of LM at retail that might assist with
13 this risk assessment. And what we're looking here is
14 for information that is not -- identifying information
15 that we're not currently aware of.

16 For example, I think Dr. Draughon this
17 morning mentioned that consumers keep deli meats in
18 their refrigerators for certain periods of time, and
19 the risk increases. As an example, is there data on
20 how long deli meats might stay in the consumer case at
21 a grocery store? There are some limitations. But
22 within those regulatory limitations, how long does it

1 take to turn over? Would that data be available and
2 would that be helpful?

3 And so with that, I may ask Dr. Mokhtari if
4 he might start off the discussion of data and what
5 data we may need.

6 DR. MOKHTARI: Okay. Well, I would like to
7 answer this question in a different way. I mean, I
8 would like to share some of my experience about what
9 kind of information is useful to include in these type
10 of models, actually.

11 I talked with Dr. Gallagher after reading
12 his draft about the risk assessment he's developing.
13 I was so excited because recently, Dr. LeAnn Jakis
14 (ph.) and I worked on a norovirus risk assessment in
15 the retail food establishment, which is basically more
16 or less the same approach that Dr. Gallagher is using,
17 mechanistic model looking at temporal variation of
18 contamination.

19 So some of the outcomes that we learned from
20 that research was basically the importance of food
21 handlers' behavior, how we have to include that into
22 the risk assessment modeling, and the importance of

1 conducting observational studies and look basically
2 how food is handled inside retail stores. I mean, one
3 of the outcomes that we learned from that study was
4 just one of the major reasons for cross-contamination
5 is basically how food handlers are handling the food
6 inside the food establishment.

7 The other point that I'm interested to
8 mention here is the impact of looking at spatial
9 distribution of contamination at retail. There is
10 another work that RTI is doing with Michigan State.
11 Dr. Ryser might be part of that and Dr. Iain Todd
12 (ph.). We are looking at transmission of norovirus in
13 daycare centers. And in order to do that, as a part
14 of the research, we assigned a significant amount of
15 budget for doing sampling at different locations in a
16 daycare center to basically identify the hot spots, as
17 Dr. Wiedmore [sic] mentioned in the morning.

18 There are many different locations that we
19 are suspicious that might be a source of *Listeria* in
20 the retail store. So what we did in that study, we
21 are going to do a lot of sampling inside daycare
22 centers, different locations, like diaper change area,

1 kitchen, or even toys that children are playing with.
2 So basically, we want to include that into the model
3 to identify what sources of -- what locations inside a
4 daycare center might be a hot spot for introducing
5 contamination into the model.

6 And I think Dr. Gallagher, I didn't hear
7 that you're going to include, like, product-specific
8 gross data. There's significant studies that
9 Dr. Ryser is a part of at Michigan State, and instead
10 of looking at gross data, which, like, basically the
11 PMP model that we have for gross is using that,
12 they're looking at the product-specific data -- I'm
13 sure Dr. Ryser can mention that -- for a specific deli
14 meat product and how gross is going to be estimated,
15 just time and temperature in those products. And
16 that's what I wanted to share.

17 MR. TYNAN: Okay. Thank you, Dr. Mokhtari.
18 Dr. Butts, did you have a comment or some thoughts on
19 the data, please?

20 DR. BUTTS: I think adding to those
21 concepts, we call the factors GMPs, or good
22 manufacturing practices, which we use to control the

1 transfer of the organism basically from the growth
2 niche to the product. And those GMPs are very
3 important. And we have seen situations where we had a
4 contamination incident that occurred, although we
5 didn't know it when it was happening, but with good,
6 strong GMPs, we were able to keep the contamination
7 away from the product. And this happened at a time,
8 you know, many years ago before we had redesigned our
9 plant. And that contamination was spread throughout
10 the floors, but we were able to keep it there by
11 having strong GMPs.

12 One rule that we have, for instance -- and
13 it's not like your mother taught you at home. If you
14 drop something on the floor, you don't pick it up.
15 You know, we have people who -- that's part of their
16 responsibility to do. But if you're handling product,
17 you don't reach down and pick it up. So there is a
18 number of hard-fast things that we must do whether
19 it's in a meat processing plant making ready-to-eat
20 food, a raw slaughter facility or in a retail deli.
21 The GMPs must be strong.

22 I've heard a lot today about the

1 contamination from the chub to this to that, but I
2 heard a little about growth niches. Growth niches are
3 the root of all evil. These are the reservoirs where
4 the organism is hiding. And it's perfectly logical to
5 me to see a clean retail store, clean deli, and still
6 have a high amount of contamination. Surface
7 cleanliness is important, but the growth niches are
8 below that. And as that organism grows, they come out
9 and can contaminate product.

10 This is a serious issue in retail deli
11 compared to our processing plants because of the
12 temperature difference. That temperature difference
13 does enable the growth niches to grow faster. So I
14 think we're going to see them exude quicker.

15 MR. TYNAN: Thank you, John.
16 Dr. Hollingsworth, did you have any comments you
17 wanted to make on that?

18 DR. HOLLINGSWORTH: Getting, I guess, back
19 to the specific question about is there data out there
20 published or unpublished that can be used. I think
21 there are other sources of data that we can explore
22 together. And in all honesty, the likelihood that

1 that data will just be submitted to a Federal Registry
2 notice is not that great for a couple of reasons. One
3 is just the time and resources it takes to gather and
4 assemble it, and then also even understanding in what
5 format you need it. And I think a lot of the data
6 that might be available can best be transferred or
7 handed off more in a one-on-one setting, where we
8 specifically understand what the risk assessors need
9 and how we can get you that information.

10 For example, we know that and we saw Joe and
11 Martin's data today from New York State, but we know
12 other states collect samples. We know that other
13 states have quite a bit of data on *Listeria*, both
14 product and in environment. And we can get that
15 information, I think, if we work together.

16 Also, there is industry data. We do have
17 retailers, some of them, who collect samples, some on
18 products, some on environments, some for *species*, some
19 for LM. But also, they do a lot of sampling. We have
20 a lot of stores that do generic sanitation sampling,
21 ATPs, and what have you or coliforms. And I think
22 even though that may not be *Listeria*-specific, I think

1 that kind of information will give us some good ideas
2 to what we see as sanitation problems, areas that are
3 more difficult to clean, for example.

4 The other thing, and this actually came up
5 quite by accident, I guess, in a discussion the other
6 day, that retailers can get sales volume, what
7 products turn over quicker. They know which products
8 get sent to the stores daily or weekly, which products
9 stay for the full seven days because it's not a high-
10 volume turnover product, versus something where the
11 chub may turn over in a matter of hours, let alone
12 days. And that kind of information we can get. So I
13 think it's just a matter of us understanding what data
14 would be helpful and then us looking at how we can get
15 that from the retail sector to share.

16 There are some other things, too, that I
17 think we should look at. One is chemical use, what
18 types of cleaning products. And I guess it was Regis,
19 maybe, who brought this up. What kinds of chemicals
20 are used in different parts of the store? We have all
21 different chemicals. Some are used for countertops
22 versus the chemicals we used on floors. They may be

1 very different. And we can get information on the
2 different chemicals that are used, how they're used,
3 how they're being monitored, who verifies whether or
4 not the procedure for using them, the concentrations,
5 are correct. We can get that information.

6 The other thing I'd like to suggest, too, is
7 that we think of some innovative approaches to
8 gathering data if there is information that's missing
9 that we need. And I think that you will find that the
10 retailers are willing to get -- collect data if they
11 understand what it is you need and also if they have
12 some centralized way of submitting that information.

13 For example, I think someone just mentioned
14 hot spots. We can certainly prioritize hot spots.
15 And even do across the nation a sample collection of a
16 particular hot spot or a piece of equipment and have
17 multiple retailers take samples, pull the samples, and
18 submit them. For example, to a centralized lab to
19 have those analyses done. So I think there's ways we
20 can identify what those data gaps are and work on
21 getting them done.

22 And other thing we'd like to think about is

1 collecting before and after data. If we can collect,
2 you know, several hundreds of samples prior to making
3 a change, we make that change, and then we recollect
4 the samples, because too often, we're asked to
5 identify a problem, make a change, and then no one
6 ever really comes back and says did that change really
7 have an impact. So I think we can do both before and
8 after sampling, too, that would help. And I think
9 when we get to Question 3 and talk about how do we do
10 that together, I've got some other ideas. But I do
11 think there is data out there, and I think there's
12 ways to get some new data.

13 MR. TYNAN: Well, I was going to ask a
14 follow-up question if you had some ideas on how we
15 would get the data that you're saying --

16 DR. HOLLINGSWORTH: That's number three.

17 MR. TYNAN: That's Question 3. Okay. We'll
18 wait until Question 3. Ms. DeWaal, did you have a
19 comment on that particular question?

20 MS. SMITH DeWAAL: Thank you, Dr. Tynan. I
21 want to talk about really three different components
22 of data gathering for this project. First of all,

1 it's the incoming load of *Listeria* on the product.
2 Just to remind you, I mean, part of the reason this
3 exercise is going on with FDA and USDA is because
4 there is a change in policy at FDA about their zero
5 tolerance requirements for the food products going
6 into the deli counters that are regulated by FDA.
7 USDA has not changed its policy.

8 So we think that when you are looking at
9 data on the amount of *Listeria* entering the retail
10 environment, it's critically important that you be
11 looking at data from countries which are already
12 utilizing the 100 CFU per gram standard. Most of the
13 countries in the European Union, I believe, are
14 already using this. It may be true, also, Australia
15 or New Zealand. I actually haven't checked.

16 But I think critically important is not
17 measuring the amount of *Listeria* that might be on
18 products coming into the retail environment based on
19 U.S. data because we've been operating under a more
20 stringent standard. So I think you really do need to
21 utilize the European data especially on that question.

22 With respect to cross-contamination, I just

1 want to note that you don't need to rely strictly on
2 retail sampling or studies for that amount of data. I
3 mean, we're talking about food contact surfaces, often
4 which are the same in processing as they are in
5 retail.

6 You may actually look at some of the data
7 from the Canadian outbreak last summer from *Listeria*.
8 I believe that may have been a contaminated slicer.
9 But it caused a very large outbreak, and you should
10 gather the data from outbreaks that may have occurred
11 both in the processing as well as in the retail sector
12 to see if you have data on contamination at these key
13 points.

14 The other note here is that there may be
15 data -- I know that New Zealand had a system for
16 checking -- at different points, they checked
17 environmental surfaces, products, end product testing.
18 They checked at different points. And again, in the
19 processing environment, it might be interesting to get
20 that data if the surfaces are comparable and see if
21 you can't get data on cross-contamination.

22 And the final data-gathering point I want to

1 raise is on the consumer -- what the consumer is doing
2 with a product. So if you've already done your
3 estimates for incoming product and cross-
4 contamination, you also want to know what the
5 likelihood is that consumers may handle the product or
6 use the product in such a way that will facilitate the
7 growth of *Listeria*.

8 So what are the storage temperatures on
9 products after they leave the retail environment?
10 What are the handling and holding patterns? I think
11 you've already discussed the study coming out of
12 Britain saying that elderly consumers may hold these
13 products much longer than perhaps they were intended.
14 I don't know if we have similar data here, but the
15 bottom line is these are high-risk consumers, and we
16 need to know how they're planning to hold the products
17 and, similarly, how these products may be used in
18 institutional settings. Thank you.

19 MR. TYNAN: We have a little bit of time on
20 this Panel and we gained some time this morning. So I
21 might just before we go on to Question No. 2 ask the
22 Panel if there are any additional comments based on

1 what they heard from the other Panelists before we go
2 on.

3 (No response.)

4 MR. TYNAN: There being none, we'll go to
5 Question No. 2. Question No. 2 is what risk
6 management interventions, control measures,
7 mitigations or other technology to reduce the risk
8 should the risk assessment evaluate? Do you have data
9 or information concerning these interventions that
10 could be provided to the risk assessment team?

11 And here we're looking for changes in
12 practices relating to *Listeria* in the retail settings.
13 The study, as I understand it is a baseline -- it has
14 a baseline model and it's going to incorporate
15 scenarios using new interventions. So what are the
16 interventions that should be addressed in the study?
17 And regarding the data, is there manufacturer data,
18 things of that nature, that we could incorporate into
19 the study as well?

20 And I'm going to ask Dr. Butts, perhaps, to
21 start the discussion and talk a little bit maybe about
22 the AMI experience and some of the success they've had

1 with interventions. Dr. Butts?

2 DR. BUTTS: Thank you. This organism has
3 caused more change to the processed meats industry
4 than any other event in the last 30 years. The
5 ubiquitous nature and virulent nature of *Listeria* has
6 led us in the processed meats industry to recognize
7 the need for an effective and attainable product
8 protection plan.

9 Before we experienced DNA linkage and the
10 things that Martin was talking about, our former
11 leader, Bruce Tompkin, taught us about the three
12 scenarios that we had to deal with as an industry.
13 And those were the isolated case, where one product,
14 one package was contaminated, versus cases due to a
15 single lot or a single event, where, in our situation,
16 it would be one shift or between cleanup and cleanup,
17 versus the situation where we had clusters or isolated
18 cases, which were from the growth niche. And
19 particularly, if we have a virulent growth niche that
20 was living in our plant, that growth niche needed to
21 be eliminated.

22 So that really preceded, in our situation,

1 the DNA linkage. And we learned this morning that it
2 can persist over years in the plant. So let's look at
3 our history and what we've learned from our history.
4 In the early '90s, product and contact surface
5 sampling dominated. Growth niches were discovered in
6 hollow rollers. The industry recognized the benefits
7 of separation. We painted lines on the floor to
8 separate.

9 In the mid-'90s, equipment teardown became
10 common. That's when we developed the seek and destroy
11 concept, to really find and isolate the growth niches.
12 We did a lot of internal equipment redesign. And our
13 suppliers, equipment suppliers, were informed, but
14 during that period, our floor problems persisted. We
15 could not clean the floors. Drains were not expected
16 to be *Listeria*-free.

17 Also in the mid-'90s, we discovered the
18 persistent deep growth niches and recognized them as
19 the root cause of our problems. Also, we found
20 facility areas were primary sources of *Listeria*
21 harborage. These are walls, freezer walls, absorbent
22 materials indoors, wet floors, and cracks in floors.

1 And at that point in time, we found that mid-shift
2 cleanups -- and I liken a mid-shift cleanup in our
3 plant to your wipe-down -- that spread more
4 contamination than it solved.

5 So I would challenge you to look at these
6 wipe-downs and cleanups. Are they really benefiting?
7 You know, I understand the need because of
8 temperature, but we found it to be a problem.

9 In the late '90s, we realized the benefits
10 of dry floors. Cooking and pasteurization of
11 equipment became commonplace. The DNA linkage then
12 evolved, methods for construction process control.
13 That's a unique special cause. And spread of the
14 organism from the growth niches to the product become
15 more well understood. And at this point in time, the
16 painted lines gave way to absolute physical separation
17 in the plant.

18 In 2000 we held our first AMI workshop. And
19 again, Bruce was a strong leader in that, and I've
20 heard Randy Huffman's name a few times today. He was
21 with AMI and put it together. And he got us speakers
22 together to reach consensus on what we considered the

1 best practices. We went forth and taught that. To
2 data, we've held almost 20 workshops and trained over
3 1,200 people in industry, really, from all over the
4 world. Our last one was just a few weeks ago.

5 In 2001 the AMI board of directors met and
6 declared food safety to be non-competitive. That was
7 a breakthrough in our industry because it really
8 allowed our science peers to really talk to one
9 another and openly share best practices.

10 And from 2001 to date, many lines have been
11 brought under control. Elimination of single growth
12 niches brought new levels of control and more
13 aggressive sampling was deployed. To date,
14 pasteurization of large chubs are commonplace. That's
15 why I believe that the incoming load from meat
16 processing plants in the United States today is very
17 low because the technology is there for the
18 manufacturer to eliminate that problem. And of
19 course, the use of DNA and ribotyping really allowed
20 us to understand the growth niche situation.

21 In 2003 the AMI had a report from their
22 equipment design task force. They gave us ten

1 principles of sanitary design. And in 2004, our
2 facility design group gave 11 principles of sanitary
3 design. Both of those documents provide proven
4 guidance as to design of equipment and design of
5 facilities. We've seen them sited far beyond the meat
6 industry into other applications where food-borne
7 pathogens have become a problem. So we encourage you
8 to look at those and use them in helping define the
9 criteria for the transfer that you're seeing.

10 Lactate and diacetate -- many, many products
11 now have growth inhibitors, and we believe that
12 they're providing a higher level of assurance to you
13 and to the consumers.

14 And now we've got some plants that have
15 attained real high levels of control. It's not
16 uncommon for a meat processing plant to have 100
17 drains in it, be sampled monthly, and have no
18 positives for over a year. So we know that control is
19 available. We're not going to say that we can
20 eliminate *Listeria* from the RTE environment. It is
21 ubiquitous and very aggressive. But by controlling
22 the plant environment, we know that we can very

1 dramatically reduce that.

2 And here is a little-known fact. Over 90
3 percent of the *Listeria* recalls that you see in
4 federally inspected plants come from plants who failed
5 to hold the product when the test is ongoing. These
6 are typically smaller plants. So, you know, we can
7 just virtually almost eliminate the publicity if
8 plants would just hold that.

9 So what do we learn from our history lesson?
10 Our history and practical hands-on experience teaches
11 us to eliminate and manage growth niches. A growth
12 niche is defined as a location harboring the organism
13 after the routine sanitation process has been
14 completed. We also learned that there were critical
15 factors for sanitation process control. We've heard a
16 lot about the situation in Canada this last year, and
17 that one situation clearly demonstrated one of the key
18 factors, one of the key critical factors, and that's
19 degree of disassembly of the equipment.

20 Is the equipment in the retail delis capable
21 of being disassembled on a routine basis so that the
22 growth niches are exposed and can be eliminated? We

1 have others, chemical sanitizer treatment, hand scrub,
2 contact surfaces, heat treatment. When we clean a
3 piece of equipment, small parts come off. They go
4 into what we call a COP tank. Yes, we can apply soap.
5 We can apply some chemical sanitizer at food contact
6 concentration, but we cook that, we pasteurize it so
7 that we know that we've removed it.

8 MR. TYNAN: Dr. Butts, could I interrupt for
9 a second -- and I know you probably have many gems of
10 wisdom there to share, but in the interest of time,
11 could you summarize maybe the interventions for us and
12 allow the other Panelists to --

13 DR. BUTTS: Sure. Since 2003 there have
14 been no federally inspected plants that processed deli
15 meats linked to *Listeria* investigation. The tools
16 that we have used have been very effective. We hope
17 that we can have the scope of control -- and here are
18 the pitfalls that we need to avoid. And that's
19 punishment by either regulatory or corporate for
20 finding a problem, investigative sampling must lead to
21 positives being found, and that's a win/win situation.
22 The other is prescriptive government programs. We got

1 to have room for continuous improvement. We're
2 forming habits here. So too quick of regulatory
3 action is going to cause problems.

4 In summary, declaring food safety non-
5 competitive, ensuring process control best practices
6 within the industry has been one of our keys. We've
7 seen that there are missing links or gaps in the data.
8 Janell showed that between where we've been and where
9 CDC's data is at. That's very concerning to the meat
10 processors. And AMI and the processed meats industry
11 remain committed to solving the food safety problems
12 associated with our product from farm to fork.

13 MR. TYNAN: Thank you, Dr. Butts. I'm going
14 to ask Dr. Hollingsworth to follow up, and if I could,
15 if I could ask all the Panelists to make sure they
16 speak into the microphone so that the people on the
17 phone can also hear. Okay. Dr. Hollingsworth?

18 DR. HOLLINGSWORTH: Thank you, Robert.
19 Couple of things on the risk assessment interventions.
20 We believe that especially if you look at some of the
21 data that has been collected, for example, some of the
22 work in New York, we were very intrigued by the

1 repeated findings of *Listeria* in floor drains, and I
2 think, as John had pointed out, too, that that's not
3 uncommon.

4 But the question that we have is if, in
5 fact, it is that common in drains, is that a point or
6 a source of contamination, cross-contamination, to
7 other locations. And one of the things we would be
8 very interested in working with the risk assessors on
9 is looking at the current techniques that are used to
10 clean floors and floor drains. We have everything
11 from someone coming out with a high pressure hose and
12 blasting the floor to clean it, which may be the worst
13 case scenario, to those companies that don't even use
14 brushes anymore or liquids. They use foams or
15 chemical rings to try to keep down the moisture level
16 and also not to spread the contamination.

17 So I think that's one area and one example
18 of a place where we can identify here is a potential
19 problem. What are the retailers doing now to address
20 it? What are the good practices and maybe the not so
21 good practices? And can we assess if they're really
22 making a difference in the environment to spread

1 *Listeria* or not.

2 The other thing is that we need to also keep
3 in mind -- I think sometimes when we look at what
4 might be a good mitigation strategy, we end up causing
5 unintended consequences. And I'll give you a couple
6 of example of those that we've been talking about
7 internally in the retail sector that we're concerned
8 about.

9 Right now, there is a requirement, for
10 example, every time an employee changes their gloves,
11 they have to wash their hands. Now, some stores have
12 a policy, for example, if you're making sandwiches on
13 a line, that you should change your gloves for every
14 customer. It's not required, but it's a policy --
15 customers like it. They like to see their sandwich
16 being made with a fresh pair of gloves. But to do
17 that, the store employee has to take off their gloves,
18 leave their workstation, go over, wash their hands for
19 20 seconds, dry them, come back, put on new gloves,
20 make a sandwich, take those gloves off, go back, same
21 procedure.

22 So what we end up doing is almost pushing

1 employees not to change their gloves because the
2 consequences of changing them actually cause
3 disruption in the environment dealing with customers.
4 And so one of the things we'd like to look at, for
5 example, is changing gloves more frequently a good
6 practice and does it make a difference if you haven't
7 changed the job task that you're doing, is it
8 necessary to wash your hands every time you change
9 your gloves -- just something we'd like to look at.

10 Another example of this is opening up door
11 cases, the deli case. There have been some attempts
12 to say, all right, once the employee puts their gloves
13 on, which we want them to have the glove on to pick up
14 the meat out of the case, but they shouldn't open and
15 close the door with the same gloves. Well, short of
16 changing your gloves five times to get the door open,
17 the meat out, close the door, put the meat on the
18 slicer, open the door, put the meat in, close the
19 case, they'd have to change their gloves five times to
20 do that. But so what they do if they're told that's
21 the alternative, they leave the door open, and now we
22 have a temperature problem.

1 So I think we need to really realistically
2 look at these. There are things that happen in a deli
3 environment that will never happen in a processing
4 environment. And so as much as our friends in the
5 meat industry have been sharing information and
6 helping us, we have practices that are just different.
7 But we have to be sure that we don't have these
8 unintended consequences trying to solve one problem
9 and, in fact, create another.

10 And those are the kinds of things we'd like
11 to work on. For example, in looking at what kinds
12 of -- in doing the risk assessment, would we want to
13 put in a mitigation and run the risk and see if that
14 mitigation factor would have an effect? One thing to
15 consider, for example, is what if we just said the
16 handle on the deli case is a food contact surface? So
17 now you can touch it with gloved hands. The only real
18 difference for us is you never can bare-hand touch it,
19 and you have to clean it twice a day. So now it's a
20 food contact surface, and that may solve the problem.

21 So I think there are some solutions that
22 might work for us at retail, and we'd be happy to talk

1 about them and also share how can we measure them and
2 assess them if they really make a difference.

3 Another issue that was raised was one of
4 training. And I thought that particularly in Joe's
5 discussion -- we have lots of training programs,
6 training videos for retailers, but I thought his idea
7 of actually watching maybe in a real-world situation
8 some things that go on daily without anyone really
9 thinking about, this could be a potential problem for
10 *Listeria*. And actually creating a short little video
11 that -- I guess people don't watch videos anymore.
12 DVD. I know, I say eight-tracks, too, so just bear
13 with me.

14 (Laughter.)

15 DR. HOLLINGSWORTH: So maybe one of the
16 things we could do is have this short little video
17 that we show to deli employees, and it's just common
18 sense things that you can do in a deli to reduce the
19 risk of listeriosis. And I think something like that
20 would be doable, and we could actually put that in
21 place and measure does it seem to make a difference in
22 behaviors.

1 And the other piece I wanted to refer to,
2 and Caroline brought this up, and that's the consumer
3 piece. And we at retail certainly do not want to say
4 this isn't our problem, it's all the consumers, it's
5 not. They're our customers. And we also feel that we
6 have an obligation, though, to do everything we can to
7 help the consumer to do the right thing.

8 And some of the things that we've been
9 talking about -- and again, we'd be interested in even
10 assessing will this help -- and that is, if we put
11 best if used by dates on deli meats that are sliced in
12 the deli. Some stores already do that. Others don't.
13 Some put the actual day that the product was
14 purchased, but then we don't educate consumers what
15 does that mean. Does that mean once you've purchased
16 it, keep it for five days, seven days, until it's
17 slimy?

18 But I think that there are ways that we can
19 help educate consumers even with simple things like
20 educating them -- and we did a great job, if you think
21 about it, with cooking temperatures for ground beef.
22 We can also do this, I believe, with handling deli

1 meats, both time and temperature.

2 And lastly, I think, the one thing we will
3 have to look at when we do this risk assessment, and
4 that is the variety of different types of products.
5 One of the things that we don't have is an advantage
6 that a processing facility has, is running a
7 particular product in bulk at one time on one line.
8 And we don't. We're handling everything. We're
9 handling cured, uncured products, meats, poultry,
10 cheese. We have deli salads often in the same case.
11 God forbid the person who does have raw chicken. If
12 someone sees that, let me know. I'll go visit them
13 personally.

14 But we do believe that we're going to have
15 to look at these products as individual entities
16 because they're handled differently, and the way they
17 respond to the growth of pathogen and the way
18 consumers handle them all varies. So we'd like to
19 also talk and look at how can we look at these
20 different categories of products not in big clumps,
21 but almost product by product.

22 MR. TYNAN: Excellent. Thank you very much.

1 Ms. DeWaal?

2 MS. SMITH DeWAAL: Thank you. I like the
3 concept of moving a problem from one place to another,
4 because in a way, we may be shifting the controls for
5 *Listeria* from the processing plants that can manage it
6 differently into the retail environment. So they may
7 have to be hiring people like Dr. Butts or Bruce
8 Tompkins to come in and help assess their
9 environmental controls.

10 And so let me explore a couple of ideas.
11 One mitigation strategy would be for retailers to
12 require their suppliers to test and hold their
13 products for LM before they bring them into the deli
14 and whether actually shifting it back to the supplier
15 might be one risk mitigation strategy that a retailer
16 could use.

17 But the second thing that I think the
18 agencies need to look at as they pursue this risk
19 assessment is the fact that the regulatory touch point
20 for retailers is at the state and county and local
21 level. And if anyone here has studied the
22 implementation of the food code, you will know there

1 is a high degree of variability from the level of what
2 standards are being enforced to the qualifications of
3 the people enforcing them at the state or local or
4 county level to the frequency of enforcement.

5 So think that as part of your risk
6 assessment, you need to factor in that variability of
7 the regulatory component of retailers. And CSPI has
8 done work -- I think we did a report in '96 and we did
9 a report last year on -- where we looked at the
10 variability in this system because it's very broad. I
11 mean, you don't know from state to state who is
12 actually regulating it. And at some points, the food
13 code is adopted at the state level but not at the city
14 or county level where it's being enforced. I mean,
15 it's pretty complex. So I do think that's got to be
16 part of your risk assessment. Thank you.

17 MR. TYNAN: Thank you, Ms. DeWaal. And last
18 but not least, the last word will be Dr. Mokhtari.

19 DR. MOKHTARI: Just a couple of quick
20 points. I mean, I want to mention again the
21 importance of the consumer phase risk assessment for
22 this practice. Consumer phase is not all about the

1 storage time and temperature, in my opinion. I mean,
2 there are many different practices, food handling,
3 involved during the consumer phase, including the
4 potential for cross-contamination inside the
5 refrigerator or the issue of leftover deli meat and --
6 or even the countertop, if they put the deli meat on
7 the countertop.

8 And we are doing research with Michigan
9 State on best consumed by risk dates for deli meats,
10 actually, as mentioned here. One thing that we
11 learned from that research is basically how people
12 handling their deli meat inside homes, basically
13 impacts how long they can hold their deli meats. So
14 irregardless of what is the initial contamination of
15 deli meats purchased from retail store, the way you're
16 handling your deli meat at home, the potential for
17 cross-contamination in your own refrigerator or the
18 issue of leftover, that's going to be a very decisive
19 factor for the best consumed by dates.

20 And the other issue that I wanted to raise
21 here, as John mentioned, the question might be at the
22 end how aggressive we need to apply these risk

1 mitigation strategies to make sure that, for example,
2 the final contamination is within an acceptable range.
3 One of the really cool features that Dr. Gallagher
4 implemented in his model is the whole issue of two-
5 dimensional models, looking at both variability and
6 uncertainty.

7 And I think USDA has practiced this already
8 in the -- bacteria risk assessment for -- chicken,
9 that they looked at the issue of food safety
10 objectives, FSOs. And basically, by enforcing a
11 specific standards, at the end coming back and look at
12 what kind of ranges of acceptable levels for, for
13 example, sanitation practices, we need to ensure at
14 retail or at home to make sure that our contamination
15 at the end are within this acceptable range.

16 So I think it's a good feature that you
17 already included that two-dimensional, which is a key
18 requirement for that kind of analysis. So you might
19 want to take a look at that and already include that
20 in your modeling because at the end, that's a very
21 important feature that you have in your modeling. It
22 can answer a lot of good questions.

1 MR. TYNAN: Okay. Thank you. I'll ask the
2 Panel if they have any questions or comments about the
3 other Panelists' remarks?

4 DR. BUTTS: Yeah. I appreciate Caroline
5 offering my job up for consulting. I have a pretty
6 good fee.

7 (Laughter.)

8 DR. BUTTS: I want to talk a little bit
9 about test and hold and the great misconception of
10 product sampling. We have a lot of statisticians here
11 in the room, and I hope that you go back to those
12 basic tables we had years ago in our first statistics
13 classes when we looked at attribute sampling and, you
14 know, take those to heart.

15 The real sampling that we must use is not
16 product sampling, but it's process control sampling.
17 And process control is really -- really enables us to
18 have a high level of assurance that we are delivering
19 the product to the consumer. So product sampling and
20 test and hold is not going to provide the assurances.
21 What's going on when that product is being processed
22 will. So I strongly encourage that.

1 MR. TYNAN: Okay. Thank you, Dr. Butts.
2 With that, I'm going to move to Question No. 3. And
3 Question No. 3 is what suggestions do you have to make
4 the process more transparent and allow participation
5 of stakeholders in the development of this risk
6 assessment?

7 And I'm going to ask Dr. Hollingsworth if
8 she wouldn't mind starting off on that and maybe look
9 back and tell us how we're going to get some of that
10 wonderful data she talked about. Dr. Hollingsworth?

11 DR. HOLLINGSWORTH: Thanks, Robert. I think
12 that one of the simplest and easiest ways is just to
13 sit down with the people who you want to talk to and
14 talk to them. I think one of the things for the
15 retailers is that they're not always clear on exactly
16 what kinds and types of data you're looking for. So I
17 think if we had an opportunity, and of course,
18 meetings like this where we, you know, we have
19 speakers and we share information, that's great. But
20 I think a more one-on-one type of conversation even if
21 we have to have more than one in several different
22 locations across the country on a regional basis, we

1 can certainly bring retailers to the table who'd be
2 more than happy to talk about tell me what it is you
3 want to find out, what is it you need to learn?

4 And I also think that they would be very
5 willing to share especially if they know that there
6 were no regulatory ramifications. But information
7 like, you know, I watch this practice in my store and
8 it's always bothered me. What do you think? And just
9 kind of an open sharing of best practices of what
10 information they have of what kinds of data they would
11 be able to collect and gather. And I think they'd be
12 very willing to do that. In fact, I can tell you they
13 were because I've talked to them recently. We've had
14 a number of conference calls. And they've all said
15 let's just get together and talk about it.

16 I think the other thing that they would be
17 very willing and interested to do and I think it would
18 help all of us is even if we had a meeting between the
19 risk assessors and the retailers talking about what
20 data they can share is to have additional groups come
21 into those meetings. And there's two groups in
22 particular that come to mind. One, of course, is the

1 meat producers, the suppliers who send us these
2 products, and have a joint talk between the retailers
3 and the suppliers and get some information on who is
4 buying products with and without growth inhibitors and
5 why. There is a very good reason why a lot of
6 retailers are not purchasing products with growth
7 inhibitors. And I think we need to talk about that.

8 And the other group that I think would be
9 very important to have in these meetings are the
10 companies that provide the cleaning chemicals because
11 often those companies also do monitoring of the
12 sanitation practices. They train the employees how to
13 clean the equipment, how to test the chemicals, their
14 strength, and what have you. And I think having those
15 companies in the meeting would help, too, because they
16 can really share with you the information they have.
17 They have tons of research that they do on, you know,
18 kill steps and contact times and what has to be rinsed
19 and what equipment can and cannot be cleaned.

20 And another would be, at the same, time you
21 could have equipment manufacturers. We've seen a lot
22 of good ideas coming out from equipment manufacturers,

1 I mean, and everything -- we had a meeting with the
2 three major deli slicer companies. Now, the companies
3 that make deli slicers at retail aren't necessarily
4 the same slicers that you have in processing. But we
5 talked about if we could build -- you know, money was
6 no object, space was no object, what would be build in
7 the way of the slicer. And there were amazingly great
8 ideas that came up. Some of them were not affordable.
9 They weren't even realistic, but they were great
10 ideas.

11 And so I think bringing in these equipment
12 design companies both for slicers and also those
13 people who design things like the deli display cases.
14 Are there ways to make that a better environment or to
15 keep the temperatures down even more?

16 And I think all that's very doable. And I'd
17 like to almost, you know, just offer that as an
18 invitation right now that we'd be willing to help
19 organize those meetings and get those groups together.

20 The other thing that I think would help --
21 and I know doing the behavior studies right now that
22 we're participating in I think has been, hopefully, a

1 valuable exercise for the people who are out in the
2 stores looking at routine behaviors in a deli
3 department, but we would more than happy to arrange
4 other store visits.

5 The only thing stores that usually do have a
6 problem is bringing visitors around during peak hours,
7 and of course, that's when you want to be there,
8 because if something is going to go wrong, it's when
9 you're rushing. But we could work around that. We
10 could find ways to get people into stores and visit
11 what really goes on behind the counter or even in the
12 cooler and behind the scenes. But that way, we could
13 look at it together.

14 And there is one other piece to this that
15 always gets, I guess, a little sensitive, and that's
16 talking about cost benefit because I think if you look
17 at, you know, what is the cost of somebody getting
18 sick or a woman losing her unborn child, and there is
19 no dollar value you can put on that, and we realize
20 that. But on the other hand, what we want to really
21 do is to say at retail, if there is something we can
22 do that's really going to lower listeriosis, then we

1 need to know about that and we need to figure out how
2 can we do it.

3 I think our frustration right now, and I
4 raised this before, and I'll mention it again, is we
5 have a guide to best practices at retail for
6 controlling *Listeria*. And we feel that the retailers
7 have done a really good job putting those procedures
8 in place. And if you look at the data from 2001, the
9 Gombas study, to 2005, Ann Draughon's study that she
10 presented, we've achieved a greater than 50 percent
11 reduction in the positive LMs in deli-sliced meats.
12 But the incidence of listeriosis in the population has
13 not changed in those same four years. And that's a
14 huge frustration for us.

15 And the question we have is if we can even
16 lower it another 50 percent in deli meats, are we
17 still going to have the same level of listeriosis
18 because if so, we haven't done what we've set out to
19 do. So I want to try to remember -- to remind
20 everyone we need to keep our eye on the target, and
21 that is lowering the illness in humans. Just getting
22 it out of meat and getting it out of the stores, if

1 it's not reducing the human illness, then we haven't
2 done what we've set out to do. So let's not lose
3 sight of that.

4 And the one last thing I meant to mention is
5 I brought up this guidance document that the retailers
6 put together for retail best practices. We've
7 distributed hundreds and hundreds of copies of that
8 document to retailers all over the country. We would
9 like very much to provide that document to the risk
10 assessors, to FDA, FSIS, and get critiques back on it.
11 Are there things in that document that we could do
12 different or better, because that is our best practice
13 guide. It's been out there since, I believe, around
14 2005, and we're certainly ready and willing to update
15 it if there's other best practices that we should be
16 advocating.

17 And I forgot one other group that I do want
18 to mention, and that is the difficult time that even
19 FMI has reaching out to very, very small stores. Even
20 little country stores and bodegas and little tiny
21 shops, but they are retailers. And they're just as
22 important to us whether they're members or not because

1 they totally impact on the whole perception of are
2 retailers doing the right thing for their customers.

3 And I think one way that we can reach out
4 and involve them more that we could certainly work
5 with you on, and that is almost every state has a
6 state retail association. The state retail
7 association members are usually the very small stores
8 that are only in that state. They're not national or
9 multistate companies that belong to organizations like
10 FMI. But every retail state association is a member
11 of FMI. So we can reach out to all of those
12 associations and get you all those little stores, too.
13 And we think we need to bring them into the loop on
14 this because they're just as important.

15 MR. TYNAN: Thank you, Dr. Hollingsworth.
16 Ms. DeWaal?

17 MS. SMITH DeWAAL: Thank you. First of all,
18 I think Dr. Butts is right that, actually, the
19 statistical process control has proven most effective
20 for these products. But I will note that in the
21 ground beef industry, they actually are utilizing test
22 and hold more. And what we don't know here is the

1 issue of seafoods or cheeses that may be coming into
2 the deli counters with an increased load of *Listeria*
3 is actually what we're talking about here. Perhaps
4 there is data on whether the most effective monitoring
5 tool there is statistical process control or some
6 other technique. But I think the retailers are going
7 to have to get an answer to this to know how to manage
8 and control these products coming in.

9 With respect to getting greater stakeholder
10 involvement as well as being more transparent, I would
11 recommend a couple of things. The National Advisory
12 Committee for Meat and Poultry Inspection is one tool
13 that USDA has for regularly consulting with its
14 stakeholders. It's an opportunity for the risk
15 assessment to present its work and to get feedback.
16 And in addition to the members of the Advisory
17 Committee, it's also a public -- there is a public
18 comment period that happens there. So I think that's
19 a useful tool.

20 Secondly, I think you need to really be
21 aware of what consumers know, especially those in the
22 high-risk population. We were pretty surprised last

1 year when one of our staff was pregnant and was
2 monitoring what was happening on a variety of
3 pregnancy boards on the internet. And when there was
4 a *Listeria* outbreak or a *Listeria* problem, she would
5 highlight it to the discussion group and a lot of time
6 got feedback like, oh, it's a voluntary recall. That
7 means it's not very serious or what are you talking
8 about there's this pathogen that causes miscarriage.

9 So I think you do need to understand what is
10 known by the high-risk population. I mean, this is a
11 very severe pathogen, and a lot of consumers just
12 don't understand the consequences of messaging around
13 *Listeria*. This is not just food-borne illness in its
14 classic form. It causes severe illness,
15 hospitalization, death and miscarriage. So I think
16 you need to test the knowledge of the consumers who
17 are going to be purchasing the products and bringing
18 them home.

19 And, secondly, this is always an interesting
20 test. If you go into the retailers and start asking
21 questions -- I do this sometimes at grocery stores in
22 my neighborhood, even very, you know, well-informed

1 ones, Whole Foods, and places like that. And at
2 different stores, I'll test things like, you know, are
3 these cheeses made from pasteurized milk because
4 sometimes they're not labeled to indicate that. And
5 then I'll say, well, what's the risk if it's not made
6 from unpasteurized milk. And basically, you could do
7 tests of actually the knowledge of the counter help at
8 these retail delis. Can they actually answer consumer
9 questions about the risk of these products and what
10 the handling -- what handling should be done to make
11 sure they stay safe.

12 So I think those are a couple of
13 opportunities, the use of focus groups, the use of
14 surveys, as well as the National Advisory Committee on
15 Meat and Poultry Inspection. Thank you.

16 MR. TYNAN: Thank you, Ms. DeWaal very much.
17 Dr. Mokhtari, can I ask you to weigh in?

18 DR. MOKHTARI: Okay. Couple of quick
19 points. I think one of the final application of this
20 risk assessment model, as Dr. -- mentioned, is going
21 to be a virtual lab for FDA and USDA, which is very
22 important.

1 As far as, for example, the guidance
2 document for the retail best practice, I mean, if we
3 implement the major component of that guidance
4 document into this model, definitely you can go back
5 and see whether those recommendations are practical or
6 effective in reducing the risk. So eventually, this
7 risk assessment model can be used in that purpose.

8 But as far as a suggestion for having the
9 stakeholders involved in the process, just a quick
10 point based on my own experience, I think it's really
11 important to include the stakeholders at very early
12 stages of the process even developing the key
13 questions that we want to answer with a risk
14 assessment. I mean, I've been involved in many
15 situations that I presented my research in a
16 conference, and I got very basic questions from
17 participants, which were, like, practical questions,
18 did you include this and that in your result or can I
19 learn about this problem from your findings. And my
20 quickest answer was, like, unfortunately, we didn't
21 get enough finding from USDA and FDA. We're going to
22 do it the next situation. But that was not the

1 correct answer. The correct answer was we didn't
2 think about it because we didn't discuss with the
3 stakeholders.

4 So maybe a better approach is, like, since
5 we are in the beginning of this process of risk
6 assessment, we basically ask the stakeholders, the
7 retailers, what kind of questions you want to answer
8 with this, what kind of information. Maybe they're
9 not interested in temporal variation of contamination.
10 Maybe they just want to see if they're cleaning their
11 slicers on this frequency, is it going to impact
12 contamination or not. So it's very important to get
13 the actual feedback from the stakeholders in the very
14 beginning.

15 MR. TYNAN: Thank you. Dr. Butts, I'm going
16 to give you the last word.

17 DR. BUTTS: Jill, on behalf of the AMI and
18 the meat industry, we want to accept that invitation
19 that you extended. We greatly appreciate that.

20 As a stakeholder to be really transparent in
21 this situation, we have developed three pillars of
22 what we call microbiological process control

1 technology. And the first one, very simply, eliminate
2 or manage growth niches in the exposed product area.
3 The second, control transfer of the organism. And the
4 third one, deploy process management techniques.

5 To eliminate or manage growth niches in the
6 exposed product area, that requires knowledge of where
7 the growth niches are at. We've used investigative
8 sampling to get there. That's told us. I think that
9 can be done very simply and very easily. Sometimes it
10 can be done almost visually. I think it can be done
11 without a great expense once you realize what you're
12 looking for.

13 The control of transfer vectors, again, most
14 of our discussion today has been about GMPs or control
15 of transfer vectors. That's only one of the three
16 pillars.

17 And the third one is develop interventions.
18 And these are really process management techniques.
19 In the meat processing plants, each piece of equipment
20 that is in the RTE area is required to have an
21 intervention in our facility. And that intervention
22 goes from packaging machines that are longer than

1 these tables -- we have to be able to assure ourselves
2 that we can completely remove the organism from that.
3 We've done many strange things, you know, large
4 slicers that will fill up the area from here to that
5 wall. In fact, we believe that most of the things in
6 the retail situation can have those interventions and
7 are supporting research to hopefully help accomplish
8 that.

9 I'll tell a quick story on the cost/benefit
10 ratio.

11 MR. TYNAN: Dr. Butts, can I interrupt for
12 just a second?

13 DR. BUTTS: Sure.

14 MR. TYNAN: I think our question we were
15 talking about the process for making it more
16 transparent and for participation.

17 DR. BUTTS: Okay.

18 MR. TYNAN: Were you tying into that?

19 DR. BUTTS: That's okay.

20 MR. TYNAN: Okay. That's it? Did you have
21 some other comments on that?

22 (No response.)

1 MR. TYNAN: Okay. I think that ends the
2 formal questions. I wanted to allow the Panel maybe
3 to take one minute each if they have some additional
4 comments they want to make at this particular point.

5 (No response.)

6 MR. TYNAN: We're all done? Okay. I want
7 to thank the Panel for participating and helping us
8 out, and I'm going to turn it back over to Sherri if I
9 can to moderate the Q and A session.

10 (Applause.)

11 DR. DENNIS: Our final component of our
12 meeting today is to invite individuals who have signed
13 up for public comments to please come forward. And we
14 would ask that you do restrict your comments to three
15 to five minutes, and if we have some additional time,
16 we can open up further to folks that did not sign up
17 but would like to make some comments. So I'd like to
18 first invite Lisa Ross of Shopper's Food.

19 MS. ROSS: I apologize. I thought that was
20 a sign-in sheet.

21 (Laughter.)

22 DR. HOLLINGSWORTH: She's here.

1 DR. DENNIS: Well, you'll join the other
2 individual who signed up because they were in the
3 other meeting. So --

4 (Laughter.)

5 DR. DENNIS: You weren't the only person
6 confused. Dr. Elliot Ryser.

7 UNIDENTIFIED SPEAKER: Excuse me. I was
8 scared to put you up there. You might --

9 DR. RYSER: Just talk from here? Okay.
10 Thank you for this opportunity. Got a few areas I'd
11 like to cover very briefly. I've been working with
12 *Listeria* for the last 25 years, and over the last
13 seven or eight years been heavily involved in *Listeria*
14 in ready-to-eat meats. We've done a lot of work with
15 slicing, as people have alluded to.

16 In terms of slicing, again, we have some
17 additional data that has not yet been published.
18 We've done a fair amount of work with slicing of ham,
19 of different moisture and fat contents, and we can
20 tell you that the higher the fat content, the greater
21 the spread of *Listeria* during slicing.

22 In addition, slicer design has a impact on

1 transfer. We've looked at several different
2 commercial slicers initially, when they were new, and
3 then after continuous use at a retail deli, and we
4 also saw more extended transfer with the used slicer.
5 So, again, wear and tear in the blade increases the
6 roughness of the stainless steel, which, again,
7 enhances the spread of *Listeria*.

8 Let's see. We've done some environmental
9 sampling at a delicatessen as well along the lines of
10 Dr. Martin Wiedmann and the other work at New York
11 State, and we also have found *Listeria monocytogenes*
12 present in floor drains, in the seals around coolers.
13 Again, primarily, non-food-product contact areas, but
14 we have seen *Listeria* on some food-product contact
15 surfaces as well, including a deli slicer on occasion.
16 So again, all evidence seems to support the slicer is
17 the primary mode for cross-contamination.

18 The industry has been doing an excellent
19 job. I applaud the industry for their efforts over
20 the last few years. We've seen the incidence of
21 *Listeria* decrease in the products coming from the
22 manufacturer by 50 percent. But again, the

1 contamination rate at the retail is still seven to
2 eight times greater compared to what's coming from the
3 manufacturer

4 And along the lines of *Listeria* transfer
5 with Dr. Draughon's study, which I was involved in,
6 you need to also be aware that when we found positive
7 samples, a lot of these positive samples came in
8 clusters, which again suggests that *Listeria* was
9 transferred from the slicer. A client would purchase
10 a pound of ham. Then they'd purchase, say, another
11 pound of ham or a pound of turkey. So the next
12 product tended to be positive, and we've seen that out
13 to three or four samples, on occasion. So again, the
14 positive samples came in bunches.

15 Last item, we've been doing a lot of work on
16 growth of *Listeria monocytogenes* in delicatessen meats
17 at different temperatures, namely 4, 7, and 10 degrees
18 Celsius. Looking at deli meats both with and without
19 preservatives, being lactate and diacetate, and we can
20 tell you that we have seen considerable variability
21 between different lots of the same product with
22 supposedly the same formulation.

1 So just because the product contains
2 *Listeria* growth inhibitors, that does not mean that
3 the growth curve for *Listeria* is flat. We have seen
4 growth in some of these products that contain growth
5 inhibitors.

6 This work is being done to develop a best
7 consumed by date for consumers, and Dr. Perry is
8 involved in some of this work as well at the risk
9 assessment level. And again, this is going to be a
10 difficult challenge since, again, we've seen some of
11 these products with growth inhibitors that support
12 growth, so it's not clear from the package label as to
13 what data you would place on this package.

14 And lastly, I think some of this is going to
15 be a problem for consumers as well. I mean, I'm not
16 sure how well consumers are going to be able to adapt
17 to the best consumed by date. I'm sure that Caroline
18 Smith DeWaal would agree with me that consumers expect
19 a safe product regardless as to how long it's going to
20 last. They expect the product may spoil in the
21 refrigerator, but they don't expect to encounter a
22 serious illness from consuming that product. So

1 again, from the consumer standpoint, I think people
2 want a product that is free of *Listeria*. So the best
3 consumed by date is certainly a precaution. I'm
4 hopeful that consumers will accept this, and it's
5 become a problem with the elderly as well. Obviously,
6 they tend to keep their products much longer, and
7 you've seen that in the UK. So that's a whole 'nother
8 issue that complicates this matter. So thank you very
9 much for this opportunity.

10 DR. DENNIS: Thank you, Dr. Ryser. If you
11 did not sign up but you have a statement you would
12 like to make, we do have some additional time. I
13 would ask, though, that you please try to keep your
14 comments to about three minutes.

15 (No response.)

16 DR. DENNIS: If none, as you're driving home
17 tonight and you think of some comments, I really
18 encourage you to send your thoughts into us. And
19 before we leave --

20 UNIDENTIFIED SPEAKER: Can we check our
21 phone line one more time --

22 DR. DENNIS: Yeah, before --

1 UNIDENTIFIED SPEAKER: Check the phone line.

2 DR. DENNIS: Oh, I'm sorry. Before --

3 UNIDENTIFIED SPEAKER: The operator.

4 OPERATOR: Once again, star, one on the
5 phones to ask a question.

6 DR. DENNIS: Go ahead.

7 OPERATOR: At this time, I show no
8 questions.

9 DR. DENNIS: Okay. Thank you for inquiring.
10 And for last comments, I want to turn this over to
11 Janell Kause to close us out today.

12 MS. KAUSE: Well, Sherri, thank you. We
13 want to thank everybody who is here today, as well as
14 our panelists who stayed and participated in this
15 process. This certainly is an opportunity for us to
16 come out to you early in the process to garner input.
17 And we wanted to thank each and every one of you.
18 We've heard a lot today from CDC, from the various
19 agencies, from various universities, and from the
20 various stakeholders and what the current state of
21 knowledge is about *Listeria monocytogenes* in retail.
22 We also had a number of wonderful ideas come out of

1 the Panel just even this short session. And we think
2 that we're going to be following up on a regular bases
3 with them.

4 We want to remind folks that you may have
5 seen our constituent update, which did say that, you
6 know, we're going to close the docket in just a few
7 weeks. That is the docket that somebody asked me that
8 we're referring to that actually closes on September
9 29th. So I want to reiterate that it's open for three
10 months, and we can go a little bit longer. Our goal
11 really is the same, is to give each and every one of
12 you the opportunity to contact us, follow up with us.
13 I especially like the idea of even coming up with new
14 questions, questions we may not have asked ourselves
15 because that will help us tremendously as we go ahead
16 and try to work on the issue of *Listeria* at retail.

17 With that, I close us out, and thank you
18 very much.

19 (Applause.)

20 (Whereupon, at 4:15 p.m., the meeting was
21 concluded.)

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C-E-R-T-I-F-I-C-A-T-E

This is to certify that the attached
proceedings in the matter of:

PUBLIC MEETING ON THE INTERAGENCY RETAIL
LISTERIA MONOCYTOGENES RISK ASSESSMENT

Washington, D.C.

June 23, 2009

were held as herein appears, and that this is the
original transcription thereof for the files of the
United States Department of Agriculture, Food Safety
and Inspection Service.

TIMOTHY ATKINSON, JR., Reporter
FREE STATE REPORTING, INC.