

UNITED STATES DEPARTMENT OF AGRICULTURE

**NATIONAL ADVISORY COMMITTEE ON
MICROBIOLOGICAL CRITERIA FOR FOODS MEETING**

Empire Room
Omni Shoreham Hotel
Washington, D.C.

Thursday, January 24, 2002
9:00 a.m.

Committee Members Present

DR. KAYE WACHSMUTH, Chair
DR. DAVID ACHESON
MR. DANE BERNARD
DR. LARRY BEUCHAT
DR. ROBERT BUCHANAN
DR. CATHERINE DONNELLY
DR. FRANCES DOWNES
DR. DANIEL ENGELJOHN
MR. SPENCER GARRETT
DR. TSEGAYE HABTEMARIAM
DR. MICHAEL JAHNCKE
DR. JOHN KVENBERG
DR. ANNA LAMMERDING
DR. JOHN LUCHANSKY
DR. CAROL MADDOX
DR. MARGUERITE NEILL
DR. ROBERT SEWARD
DR. WILLIAM SPERBER
DR. BALASUBRAMANIAN SWAMINATHAN
DR. KATHERINE SWANSON
DR. DAVID THENO
DR. ROBERT TOMPKIN

**EXECUTIVE COURT REPORTERS, INC.
(301) 565-0064**

A G E N D A

Public Comment

Ted Wiener, Director
Food Safety Program
Food Marketing Institute

Caroline Smith-DeWaal
Center for Science in the Public Interest

P R O C E E D I N G S

9:02 a.m.

Convene Plenary Session

DR. WACHSMUTH: Anybody have anything? All right. What I'd like then is to turn the chair over to John Kvenberg who will report from the Subcommittee on Blade Tenderization/E. coli 0157:H7 and at least get us started on the discussion.

Report of the Subcommittee on Blade Tenderization/E. coli:H7 and Discussion

1 DR. KVENBERG: Thank you, Madam Chair.

2 Well, the subject that we were addressing is
3 E.coli 0157 and its association with blade-tenderizing
4 beef, otherwise known as non-intact beef, and the
5 subcommittee, in August, met in response to questions
6 that were asked by -- asked of it, that were formulated
7 at FSIS, and if I could, I'll just preface that by
8 saying that one of the drivers of this was two
9 situations, one in Michigan and one in Canada, from
10 foodborne illness investigations that involved blade-
11 tenderized product.

12 In August of 2000, there were two human isolates of
13 0157 identified by the Michigan Department of Health,
14 and in these cases, this was a, I believe, chain-
15 operated restaurant, small local chain-operated
16 restaurant.

17 The second was information we received and
18 reported in -- from Quebec Center in Animal Health in
19 Canada that occurred in October of 1999. Again, the
20 risk factors identified here were roast beef cooked
21 rare and a second reported situation where the risk
22 factors included again rare cooked beef.

23 So, to preface this, I guess the word you
24 could use is a paucity of outbreak information that we

1 had to work with relative to outbreak situations that
2 occurred.

3 The first question that we were asked is,
4 based on the available information for non-intact
5 products, could you ask -- could you answer several
6 questions relative to 0157 survival in steaks and in
7 roast beef products, considering the traditional
8 cooking process for these products can be very rare or
9 rare?

10 The subcommittee concluded that there was
11 sufficient information to address steaks, which is what
12 we dwelled on in the second question, but there was
13 just no data to really address the question that was
14 addressed to roast beef products.

15 The question that we addressed is, do non-
16 intact blade-tenderized beef steaks present a greater
17 risk to consumers from 0157 compared to intact beef
18 steaks if prepared similarly to intact beef steak
19 products?

20 The conclusion that we reached was based on
21 studies that were done at Kansas State University
22 relative to a comparison of the steak materials that
23 were tenderized by one pass through a blade tenderizer
24 as compared to steaks that were not, and the only

1 heating characteristics that was studied in the Kansas
2 State study was broiled steaks, bearing in mind that
3 grilled steaks and other formation of steaks are also
4 ways of preparing this, so the heating kinetics in this
5 study dealt specifically with the broiling process.

6 The results of the Kansas State study said, I
7 think the first interesting point is that through the
8 tenderization process and misting the 0157 on the
9 surface of the organism, then putting it through a
10 single pass of the blade-tenderization process resulted
11 in an internal core inoculation of three to four
12 percent of the surface contamination of the product.
13 So, there is internalization of the product.

14 We had some discussion, since the study was
15 based on examination of translocation to the geometric
16 center of the cut of meat, was that the cold spot of
17 the meat? We don't -- there's some data gaps that will
18 come through on our studies.

19 So, the first point, I guess, that we drew a
20 conclusion, and we went through this again from the
21 draft you've seen earlier and was handed out this
22 morning, would focus on the Point A of the second
23 question, and our finding so far is that non-intact
24 beef steaks do not present a greater risk to consumers

1 if the meat is oven broiled, emphasis added, and cooked
2 to an internal temperature of a 140 degrees or above.
3 That seemed clear from the data that was reviewed.

4 The data became more variable at temperatures
5 below 140 degrees, coefficient of variation was
6 growing large, but looking at the data and in some
7 depth yesterday afternoon, we can state that there is
8 an achievement in tenderized product of a log reduction
9 of 3.2 logs reduction in blade-tenderized product and a
10 5.2 log reduction for intact beef steaks at a
11 temperature achieved instantaneous at a 120 degrees
12 Fahrenheit and that's about as far as we could take the
13 particular conclusion.

14 One of the questions that we've been asking
15 is, what's the significance of the log reductions, and
16 what would be the value to achieve the safety given an
17 unknown quantity of what would be expected on the
18 surface of a product?

19 It calls for cooking instructions for the
20 industry to, if the conclusion is reached that blade-
21 tenderized steaks actually need to be treated
22 differently, what would that recommendation be?

23 In addition to those questions, we were also
24 asked to address yesterday an additional question by --

1 that was posed to us, does the available scientific
2 evidence support the need for labeling requirements to
3 distinguish between intact and non-intact products in
4 order to enhance public health protection?

5 I think that might be a point that would
6 warrant further discussion by the full Committee. In
7 the subcommittee deliberations yesterday, we concluded
8 that there wasn't sufficient data at this time to
9 warrant a response to the question, but I think the
10 full Committee ought to have a discussion of what might
11 be an appropriate recommendation.

12 It's clear that research needs were
13 identified, and they are listed at the tail-end of this
14 document, and therein, I think, is a point for
15 discussion, where the data gaps are, to more fully
16 understand the situation, and what we're really talking
17 about with blade-tenderized products.

18 We don't have quantitative baseline data for
19 0157 or other information or data on indicator
20 organisms basically for comparison, including other
21 pathogens that might be brought to bear on answering
22 this question of what the recommendation should be.

23 There's not data collected from various types
24 of establishments on this question of inoculum. More

1 research could be applied to the survival of 0157 in
2 core beef samples following cooking at the specific
3 temperatures. We don't -- we thought that there was
4 information that could be gained from that.

5 As I stated earlier, both industry and
6 consumer practices relative to cooking of beef
7 products, other than the information so far provided by
8 Kansas State in the broiling process, needs to be
9 developed so we have a better understanding of that,
10 and as is any recommendations for exactly how
11 tenderization is conducted, that is the number of
12 passes through the tenderization process, would change
13 the dynamics.

14 The assumption of the Kansas State data was a
15 single pass, and a very limited information survey
16 conducted by Kansas State said it was the practice of
17 some establishments to make more than a single pass.
18 It was rare but there was at least one report of up to
19 eight passes through the tenderization process, which
20 would change the dynamic of the inoculum inside
21 tenderized steaks.

22 I guess to summarize this, a better
23 understanding of the variability of the internal
24 temperatures and exactly what's going on, even with the

1 data so far presented on broiled steaks, would be
2 helpful, and a quantification of the D and Z values.
3 They use a cocktail strain of five types of E.coli to
4 get some indication of exactly what the thermal
5 destruction kinetics of 0157 used in the Sporing study
6 are would be helpful.

7 The status of the research that was done at
8 Kansas State has been completed in the thesis and
9 articles have been prepared for publication but are
10 still internal within Kansas State University and will
11 publish shortly.

12 I'd like to also make the Committee aware
13 that information we learned yesterday, that additional
14 researchers are reporting out information on blade-
15 tenderized products today. The Western Cattlemen's
16 Association in Denver is having a meeting and a
17 conference where information on risk assessment, the
18 organisms of Listeria and Salmonella on production of
19 cooking blade-tenderized steaks, is being reported by
20 ABC Research Laboratories.

21 Silliker Labs has got information relative to
22 a survey they have done on retail samples to determine
23 levels and the types of pathogens in non-intact beef
24 products.

1 At this same meeting, the Kansas State
2 University research is being presented on what we
3 discuss here today. There's a discussion, and I don't
4 know the topic, of the Canadian Beef Information
5 Center. This is also more information that's coming to
6 light.

7 So, I think it would be -- the Committee
8 should be aware that reports of additional information
9 and research is currently going on, and it's a work-in-
10 process.

11 So, with that, basically that's an overview
12 of where we are today, and I think I would propose,
13 Madam Chair, that we open up the full Committee for
14 discussions and recommendations.

15 Clearly, I think what we're pointed here is
16 filling in data gaps, additional information, and then
17 coming up with a formulation of recommending to USDA
18 where we can fill data gaps and come up with finite
19 recommendations to the questions that were posed.

20 DR. WACHSMUTH: Okay. I guess the best way
21 to proceed would be to take it from the beginning.
22 Start on Page 1 and ask if anyone has any comments just
23 on the General Background or Question 1 to get us
24 started, and then if we can make our way through the

1 report, I think what I'm hearing is the subcommittee
2 would like for this Committee to tell the agency the
3 data are not there to answer the questions the agency
4 has posed, and the subcommittee would call for more
5 data or maybe recommend that the agency call for more
6 data.

7 Any comments from any members? Dave?

8 DR. THENO: Thank you, Madam Chairman.

9 I've served as a subject matter expert to
10 this Committee and have reviewed the Kansas State data
11 at some length and have at least cursory knowledge of
12 some of the items that are going to be discussed at
13 this meeting today in Denver, I believe.

14 One of the -- there are certainly a number of
15 gaps that exist in the data. One of the pieces of
16 information that does come out of the Kansas data is
17 that if contaminants are on the surface of a muscle
18 mass, and you are needle-tenderizing, they are trans-
19 located throughout the body of the product.

20 Question 2, where it goes down, do they
21 present a greater risk to consumers if prepared
22 similarly to intact beef steaks?

23 Kansas State used a broiling methodology, and
24 if you use that assumption, things don't seem to be a

1 problem. The concern is, is that a product like this
2 could be requested by a consumer to be served what's
3 called "blue" or "blood rare" or whatever you want to
4 call it, but in essence cold centers, and the data, as
5 John alluded to, I think, is it three to five percent
6 or two to five percent, something along that line, that
7 from the surface, is pushed down through the muscle
8 mass, and if in fact that would happen and products
9 were served with centers less than 120, that any
10 organisms that were there would likely survive and be
11 passed on.

12 So, one of the things is what percent of
13 these products are, you know, served that way, and
14 another question is, would a restaurateur, if
15 requested, be able to tell the difference between a
16 needled and a non-needled product as they receive it at
17 their restaurant? Without labeling today, they would
18 not.

19 So, in fact, I would guess that most people
20 in the serving side of the restaurant business do not
21 know one from the other. The purchasing people may,
22 but certainly the operators would not, and at the
23 retail, yes. There's a retail component to this. If
24 it's not labeled or aware of it, and it's sold through

1 the retail case, the consumer would not know at all.

2 So, I think that that's another gap in the
3 knowledge. I do not know if that's being addressed or
4 discussed at the Denver meeting.

5 DR. WACHSMUTH: Is this information anywhere
6 in the report or these concerns or are these concerns
7 --

8 DR. KVENBERG: Well, actually --

9 DR. WACHSMUTH: -- in the minds of other
10 Committee members? John?

11 DR. KVENBERG: We simply left it that we
12 didn't feel that the information was sufficient enough
13 to make a recommendation for labeling. Perhaps what
14 Dave Theno is saying is that in terms of guidance that
15 may be put out or information to both the consumers and
16 to food restaurant information should be developed.
17 That's not in our report at this time. Perhaps it
18 should be. It's just that we're really at a loss as to
19 exactly what to recommend, other than the way Dave
20 Theno presented it, is that cold centers would -- could
21 intuitively present foodborne outbreaks associated with
22 cold center steaks.

23 The data ended at a 120 degrees, and we don't
24 have anything below that. So, there's no science

1 behind the question that we're aware of. The research
2 ended at cooking to an internal temperature of 120.
3 That's all the information that currently exists.

4 DR. WACHSMUTH: I guess what I'm hearing from
5 here, though, is -- and Dave's comments, it sounds as
6 if there are some facts. I mean, there are data that
7 organisms go from the surface into the center, two to
8 five percent.

9 DR. KVENBERG: That's true, and it's in the
10 report that --

11 DR. WACHSMUTH: That's true. You'll have the
12 report then. Yeah.

13 DR. KVENBERG: Basically, that was part of
14 the Kansas State study, that the internalization was
15 there as we stated previously. Inoculation to the core
16 of the sample would result in three to five percent
17 what was on the surface to be down to the center of the
18 core of the steak.

19 DR. THENO: We spoke with one of the
20 principal researchers yesterday on a conference call,
21 and they acknowledged that the study was designed
22 really on the conservative end, if you will, of the
23 inoculum on the surface, and, you know, they felt it
24 was a worse case scenario.

1 But nonetheless, even at -- and they used two
2 levels of surface inocula, that there was translocation
3 at high and low levels to the center of the product.

4 DR. WACHSMUTH: John?

5 DR. LUCHANSKY: Good morning, everyone.

6 I think John did a nice job of trying to
7 convey some of the issues that we as a subcommittee
8 struggled with yesterday, and I think one of the
9 critical issues in our deliberations was the way the
10 question was phrased, and so I wonder if the Committee
11 needs to re-evaluate what the actual question would be.

12 In the strictest sense, it was, is there a
13 difference between blade-tenderized and non-tenderized.

14 We didn't really -- we really weren't asked to say
15 what constitutes safe or how much of a difference would
16 be significant. We just took it the way it was
17 written, and we debated that question using a single
18 data set and that was the Kansas State data set, and as
19 John intimated, it has not yet been peer reviewed.

20 We were very lucky that we had an opportunity
21 in August and yesterday to talk at length with the
22 folks at KSU, and they were very helpful, but from a
23 strictly scientific point, that was brought out by
24 several Committee members, Carol being one.

1 The way that the sample was taken to
2 determine residual or remaining viable cells did not
3 necessarily address whether those cells were at the
4 surface of the meat or whether they were in the center
5 of the meat at the time the sampling was done.

6 So, Dave's point about where the bacteria are
7 and how much heat gets to them and how many may have
8 survived might be another scientific question that
9 needs to be addressed, but again to reiterate, whether
10 a three-log reduction is sufficient or a five-log
11 reduction is sufficient, wasn't the charge that we were
12 given.

13 We were simply asked to determine whether
14 there was a difference between tenderized and non-
15 tenderized and really the only data set we have was the
16 KSU data set. So, I want to hopefully not to belabor
17 the point but to bring that for discussion.

18 DR. WACHSMUTH: Dave?

19 DR. ACHESON: Two points. One is that I
20 think from what Dave's saying, is that if we believe
21 that on occasion, there are live 0157 getting to the
22 center of steak that will essentially remain cold, and
23 from what we know of the epidemiology in ground beef,
24 we can assume that as few as 10 of those, 10 to 50,

1 that may be an infectious dose. That may be enough to
2 impose disease in a susceptible person.

3 I think, so we really don't need many in
4 there, and if it's a rare event, where it's essentially
5 not heated at all in the center, then I think we've got
6 a serious issue to that.

7 The other part of this is that I think
8 getting the epidemiology to link rare steak with 0157
9 outbreaks is going to be almost impossible. It's got
10 to be an extremely unusual event, but if we're right,
11 then maybe some of these rare steaks are responsible
12 for sporadic 0157 infections.

13 The epidemiology is just not there, and we
14 don't have the resources, as far as I know, to go
15 chasing sporadic cases. So, we're never really going
16 to get there. So, I think what I'm leading to is that
17 we really do need definitive data, and to pick up on
18 John's point, to show that there are a certain number
19 of live 0157s getting to the center of the meat as
20 opposed to from the surface down, which I remember was
21 an issue, and then I think if we know that, we know
22 what the infectious dose is, we should be in good shape
23 to move forward.

24 DR. WACHSMUTH: Is that captured in the

1 questions, do you feel?

2 DR. KVENBERG: I think some --

3 DR. WACHSMUTH: I'm thinking in terms of how
4 we might need to revise the report, if we do, to
5 capture the things that we're saying right now.

6 I think it's there in a way. It says
7 survival of 0157 in core beef samples following
8 cooking.

9 DR. KVENBERG: Madam Chair, I would point you
10 and the Committee to the Research Needs, the second
11 proposed research needs. David, that's getting to your
12 point. The survival of 0157 in core beef samples
13 following cooking at specified temperatures.

14 Does that rendition get us to where you want
15 to be?

16 DR. ACHESON: I think that's exactly the data
17 we need, and based with what we know of consumer habits
18 that David alluded to with people who eat blue steak.

19 DR. WACHSMUTH: Okay. Dane, welcome.

20 MR. BERNARD: Thank you, Madam Chair.

21 Dane Bernard, Keystone Foods.

22 As the subcommittee deliberated this, I'm
23 sure the question of how do we get to the exposure
24 assessment piece came up, and I think some of the

1 questions that you asked in terms of data needs address
2 that.

3 Specifically, is there any way that we can
4 use existing data on E.coli, a certain number of those,
5 maybe A-7, to try to come up with some estimate of how
6 many micro -- 0157:H7 might be on the surface that
7 could be in fact translocated?

8 As Dave said, Kansas State did a job, and we
9 all as microbiologists have done challenge studies.
10 You've got to use enough bugs that you can detect them
11 after you run the study and that tells you it's
12 possible.

13 The other piece is how likely is the
14 contaminant to have been in a location where it could
15 have been translocated in the first place and that's
16 what you need to do, kind of a risk estimate, so that
17 you can make a decision on the risk management decision
18 as to whether to label or not or whether there are
19 other options you have.

20 So, I'm wondering if there's some thought
21 about how we can get to that piece.

22 The other question I have for the
23 subcommittee deals specifically with Question 2, Answer
24 A, Madam Chair. I don't know if you want to go to that

1 point yet or not.

2 DR. KVENBERG: Madam Chair, could I respond
3 to one point?

4 Maybe it wasn't clear in the research need
5 identified, and we could modify it, but in our first,
6 very first research need identification, Dane, was
7 pointing out the lack of qualified -- qualitative
8 baseline data on 0157 that's presenting itself on
9 primal and sub-primal cuts.

10 I think that's where we thought, when we went
11 to the exposure assessment, the data that would be
12 useful may lie. Maybe if you want to look at that and
13 modify. That was our best guess on that point to where
14 we could go get the data.

15 MR. BERNARD: That gets to it. My question
16 specifically is, do we have information before us today
17 where we wouldn't have to enter into new data-
18 gathering? Is there something we can use today?

19 On our subcommittee, we talked about data we
20 don't have, which is the E.coli data that industry
21 collects, but if we could access some of that, make
22 some assumptions about how many of those were H7s, is
23 there a way to use that kind of information? That was
24 the nature of the question.

1 DR. WACHSMUTH: Okay. John, do you have data
2 like that available? Are there data on these cuts of
3 meat or the surface of untenderized cut?

4 DR. KVENBERG: I'd actually defer to John
5 Luchansky. I don't know the answer.

6 DR. WACHSMUTH: Okay. John?

7 DR. LUCHANSKY: Again, just yesterday, we
8 became aware or cognizant of some data that Russ
9 Flowers is going to present in Denver, and I don't know
10 if the rest of the Committee has the agenda for that
11 meeting, but he will be talking on a national survey to
12 determine levels and types of pathogens in non-intact
13 beef products, and if the information was correct that
14 we received, that would be at the retail level, and
15 they were unable to find 0157:H7.

16 Now, the absence of evidence is not evidence
17 of absence. What was the threshold for detection? How
18 many samples? We weren't privy to that type of
19 information, but that might be a start in the right
20 direction, although certainly having more data would
21 obviously be -- allow us to make a more informed
22 decision about the levels likely to be found and then
23 to extrapolate as to the levels that might then be
24 internalized and the temperature and time regimen that

1 might be needed to effect a positive outcome.

2 So, I think we're going to be actually
3 getting the executive summary of that conference today,
4 and so the information should be available shortly.

5 DR. WACHSMUTH: The other thing we might do
6 is craft another research need bullet to put in this
7 report because the agency can use this report to take
8 to ARS or to others and say, you know, the advice of
9 our Microbiology Advisory Committee is that we need to
10 get this information. It would help stimulate that
11 research, I think.

12 John?

13 DR. KVENBERG: Well, drawing from discussions
14 we had yesterday, I don't know what the viability of it
15 is, but there is information on E.coli in beef
16 products, and what comes to mind is I don't know if
17 it's indicated or indexed, maybe that's a thing to look
18 at to see if there's some indirect way of measuring
19 information you can't get directly.

20 There is industry data out there on E.coli.
21 There's just not information on 0157 and that seems to
22 be the driver here. I don't know the applicability of
23 it, but again if we had some basis for making a
24 comparison and could extrapolate from the data that's

1 known on E.coli generically, that might be useful.

2 DR. WACHSMUTH: Yeah. As I look at it, I
3 think you've got everything in Research Need Number 1.
4 That should be sufficient.

5 Dave?

6 DR. THENO: Along that line about available
7 data, National Cattlemen's Beef Association did some
8 carcass survey work, and while it's not germane to
9 primals, at least it relates, and I will ask if they
10 will release that information to the Committee.

11 Skip, was there an AMI study, a survey, of
12 carcasses or primals? I recall there was a request or
13 it was contemplated. I just don't know if it was ever
14 funded or completed.

15 DR. SEWARD: Yeah. I don't know. I'll ask.
16 It was before my time, but I'll ask on that and see.

17 DR. WACHSMUTH: Okay. We have quite a few
18 flags up. Next, I'm going to call on Bob Buchanan.

19 DR. JACKSON: He's not here.

20 DR. WACHSMUTH: Oh, he left? Carol Maddox,
21 and then go around to Bruce.

22 DR. MADDOX: The one thing that I agree that
23 trying to obtain this additional data regarding on the
24 contamination that occurs in the field on sub-primals

1 and primal cuts is important, but I think the one thing
2 that we need to be cautious about is the way in which
3 we collect the data on core samples as opposed to the
4 current data which we have which is limited, because it
5 was based on log reductions and cross-sectional samples
6 of steaks.

7 DR. WACHSMUTH: Okay. Thank you.

8 Bruce?

9 DR. TOMPKIN: This is Bruce Tompkin.

10 Perhaps a worse case in the matter of whether
11 you can stretch it this far, if you consider that we're
12 talking about a blade-tenderizing steak. A worse case
13 may be a ground steak, and USDA does have extensive
14 data on their survey for 0157 in ground beef where the
15 data show that the prevalence is something less than
16 one percent and that's using a method that involves an
17 enrichment of 325 grams, which is about a 12-ounce
18 steak, I think.

19 That's actually arrived at by enriching five
20 individual 65-gram samples, and the agency has been
21 able to go back into some of those results and
22 determine how many of those five sub-sample units were
23 positive, and Walt Hill provided that at one time.

24 But it is a way to arrive at a concentration

1 for 0157, excuse me, in a mass of meat that would be --
2 was ground. Now, there's some things about grinding
3 that actually could lead to a different distribution,
4 but the basic idea may be applicable and helpful.

5 Another -- I did have a question, and I don't
6 see it addressed in the questions here or in the
7 information. What is the quantity of this material?
8 If you think in terms of what is the exposure to
9 consumers, how much of -- how much blade-tenderization,
10 blade-tenderized beef steak, roasts, are actually made
11 available to retail establishments?

12 DR. KVENBERG: Madam Chair, we discussed that
13 question in passing, but it's not in the report. Does
14 Dan have the -- Dan Engeljohn maybe can --

15 DR. ENGELJOHN: This is Engeljohn.

16 I would say it's identified as the Research
17 Need 5, the proportion of blade-tenderized meat
18 distributed to retail establishments.

19 DR. KVENBERG: Thank you.

20 DR. WACHSMUTH: Does that get it, Bruce?

21 DR. TOMPKIN: Yeah.

22 DR. WACHSMUTH: Okay.

23 DR. TOMPKIN: It's the quantity.

24 DR. WACHSMUTH: Right. Okay. Okay. Bob?

1 We'll come back to Bob. You were out when I called on
2 you, but we'll call on you again.

3 DR. BUCHANAN: Yeah. I do apologize, and if
4 my question was asked in a different manner.

5 I did want to ask the question on the five
6 cocktail strain that was used to do these experiments.

7 Was this an uncharacterized group of strains or are
8 these well-characterized strains that have been put in
9 a reference collection, etc.?

10 DR. KVENBERG: We revisited that question
11 yesterday afternoon on the telephone call, and the
12 strains that are involved in that, I guess, basically
13 what's needed to be done, and it's something that I
14 think they intend to do at Kansas State, is to do
15 thermal-kinetic studies on the cocktail of the five
16 strains they used. It's common strains. It's
17 information we didn't have before us at this point.

18 We identified it. I think it's obtainable.
19 It could be identified as a research need, but the type
20 -- the five strains that went into them, I think, are
21 enumerated in the thesis.

22 DR. MADDOX: We have an additional piece of
23 information that we obtained yesterday with D AND Z
24 values on the 0157:H7 strains that they used and the

1 cocktail.

2 DR. BUCHANAN: Because I was going to say
3 that --

4 DR. MADDOX: They're not identified as
5 recognizable strain designations. We just know the D
6 AND Z values of the cocktail.

7 DR. BUCHANAN: Because if they were,
8 different strains have been put to the culture
9 collections, there's a great number of these that have
10 had their thermal-resistances characterized. So, I'm
11 just wondering if that information is available, just
12 it hasn't been dug out of the literature.

13 DR. WACHSMUTH: Okay. This may be addressed
14 in Point Number 7 under Research Needs or do you need
15 to add something to that?

16 DR. BUCHANAN: Well, that's my point. There
17 may not be a research need, if they've already been
18 well-characterized. We just would -- they may not have
19 personally characterized the D AND Z values for the
20 strains, but if they're well-used strains in terms of
21 experimentation, there have been numerous studies on
22 the thermal-resistance of E.coli 0157.

23 DR. WACHSMUTH: Okay. Skip down to -- is
24 this to this point, John? Go ahead.

1 DR. LUCHANSKY: I agree, Bob, and we can get
2 that information, but knowing how often some strains
3 get passed it, then, you know, maybe it would be
4 worthwhile just to go ahead and run the values anyhow
5 for them, and they are commonly-used strains and you
6 can compare them. Since so much emphasis is going to
7 be placed on those five strains, it would be nice to
8 check them out.

9 DR. WACHSMUTH: Okay. I'm going to go to
10 Peggy.

11 DR. NEILL: I think it would help the flow of
12 the document. There are a number of things that we've
13 been talking about here this morning which are not in
14 the document, and they had to relate to positioning
15 them, I think, just under Question 2 because that is
16 the point at which in the document, it is being asked
17 about risk to consumer.

18 It makes a better rationale to look at the
19 laboratory aspects, if you know that there have been
20 instances in which transition by such a mechanism has
21 occurred.

22 In a related fashion, on the top of Page 2,
23 under the Situations Discussed by the Committee, the
24 consensus is Number 1, Additional Data from Michigan

1 and Canada Outbreak Reports.

2 There is no reference preceding that in the
3 paper as to what they are, you know, whether it's a
4 document or a privileged communication or something
5 along these lines. So, the way I would suggest trying
6 to blend this in would be to insert what would probably
7 become two paragraphs under Question 2 that would
8 relate first to what is known on the basis of
9 epidemiological data, the outbreaks and sporadic cases,
10 and make explicit the fact that distinction of the
11 blade-tenderized versus not is not gathered in the
12 current mode of investigation for cases, so that you
13 lay out a rationale that says, yeah, there have been a
14 few times in which this has occurred, but in actual
15 fact, the entire surveillance system really is not
16 picking up the distinction.

17 Then the second point that I would probably
18 bring in, and I don't know quite how you do it, would
19 be the point that has been brought out so far twice,
20 that we really don't know the extent to which these
21 products are in retail trade, and that even for those
22 that are, probably many persons, either in commercial
23 operations or individual consumers, would not be able
24 to recognize it per se.

1 I think if you lay out that in a more
2 explicit fashion, that makes a much better rationale,
3 and it makes the report clearer for when you go forward
4 with the next set of paragraphs that pertain to what
5 are in essence laboratory experiments.

6 DR. WACHSMUTH: Okay. As the, at least for a
7 short time, the potential recipient of such a report, I
8 think that Peggy's correct because the agency won't
9 have access to all of that information, the points that
10 you brought up in the beginning.

11 So, I think the best thing to do would be to
12 try to craft a couple of paragraphs during the break or
13 lunch and maybe we could get those just one sheet of
14 paper back to the Committee to adjust the report,
15 because it comes across much stronger. I think it
16 gives the agency more guidance in terms of the need to
17 do this than the current report.

18 John?

19 DR. KVENBERG: Madam Chair, may I suggest
20 that we get together with -- as you suggest during the
21 lunch break and craft this, with Peggy's help, we can
22 put an insertion into the report on her comments, and I
23 guess with that, that basically is, in addition to
24 research, I think I'm hearing an additional

1 recommendation relative to how epidemiological
2 information is conducted and maybe we need to have --
3 within the paragraph that we're going to be crafting on
4 that, is to pose that question to CDC for its guidance
5 to states and locals on their investigations for asking
6 the question, so that data could be captured. Maybe we
7 could get that in there, too.

8 DR. WACHSMUTH: If it's possible. Okay.
9 Larry?

10 DR. BEUCHAT: Larry Beuchat.

11 We've heard some discussion, and I think the
12 essence of it is that there is need to consider more
13 research, more information, not only on the beef itself
14 but on the microorganism, and my questions follow up
15 on, I think, what Bob was -- the direction from which
16 he was coming.

17 I have not read the thesis nor any of the
18 reports that have come out of Kansas State or Silliker
19 or elsewhere, but the questions that I would want to
20 find answers to would be the nature of the organism
21 itself, the heat-resistance of each strain of the
22 cocktail that was used, the physiological age of these
23 cells, the influence of fat content in the beef.

24 We know that fat can protect. The rate of

1 thermal-transfer is influenced by fat content. The pH
2 of the meat. A number of factors that I think would be
3 very useful to the subcommittee in terms of gaining
4 insight as to the behavior of these five hopefully
5 well-characterized strains in meat and beef with a
6 range of characteristics that would be exemplary of
7 what would be on the market.

8 These are just added points that I would look
9 for in making -- feeling better about making
10 recommendations on the time-temperature relationship
11 for inactivating whatever number is targeted that might
12 be on the surface and transferred to the center.

13 DR. WACHSMUTH: Maybe it would be possible
14 for the subcommittee to amplify the Research Need 7 to
15 include something like that.

16 John?

17 DR. KVENBERG: I guess that brings me to a
18 point I wanted to bring up in discussion at some point,
19 and that is, the fate, if you will, or the future
20 activities of the subcommittee.

21 I think, although we can come back with a
22 response to the agency on the questions as they were
23 posed today, I ask the question, is there usefulness
24 for additional work by keeping the subcommittee engaged

1 on the issue in light of new information we haven't
2 seen yet, the peer-reviewed information, and future
3 work that we may be able to report back additional
4 information and findings on these questions that are
5 being posed at a future meeting of the Committee.

6 I don't know what the thought of the charge
7 is, if the idea was this would be the completion of our
8 work. I think we could offer additional review, if we
9 were to continue.

10 DR. WACHSMUTH: Well, I think you've
11 completed the assignment the agency gave you. You
12 might -- another addition to this report may be that
13 other data are being generated almost as we speak or at
14 least being presented as we speak, and let the agency
15 come back, let the agency read this report, react to
16 it, and then they'll come back to the Committee.

17 But for now, I think the subcommittee has
18 dealt with the information it had, and it's completed
19 the task.

20 Okay. Okay. One more assignment. Rather,
21 it's not quite complete. Thank you very much.

22 Okay. We are running a little early. Unless
23 there are objections, I'd like to go ahead and start
24 the Hot-Holding Temperature.

1 Okay. What I'd like to do then is ask Dan
2 Engeljohn to give us the Report from the Subcommittee
3 on Hot-Holding Temperature and start the discussion.

4 Report of the Subcommittee on Hot-Holding
5 Temperature and Discussion

6 DR. ENGELJOHN: Thank you, Madam Chairman.

7 I'm going to walk us through the handouts
8 that I gave this morning which are the slides. They're
9 just a condensed version of the report. The report has
10 not changed from the version that you were sent prior
11 to the meeting as well as what's available out at the
12 table. So, in the interest of getting us through the
13 introductory and summary, I'll just walk you through
14 these slides.

15 First, the hot-holding issue was initiated by
16 FDA partially to respond to questions that are
17 contained within the current Food Code. FDA provided a
18 thorough background document of which all of you have a
19 copy. I thought it was very well done and had a lot of
20 important information that facilitated the group's
21 work.

22 The subcommittee comprised eight people. All
23 eight individuals attended the subcommittee that was
24 held November 13th in Washington, D.C., and I thank the

1 Committee for making that effort.

2 We also received input from industry members
3 that day as well as from FDA and FSIS individuals who
4 were there to answer questions.

5 The first question is, should the hot-holding
6 temperature in the Food Code be changed from a 140
7 degrees Fahrenheit to a lower temperature, and if so,
8 should there be an associated monitoring and record-
9 keeping?

10 The second question, is there an increased
11 risk to food safety if the temperature is lowered from
12 a 140 degrees Fahrenheit?

13 The third question, if a margin of safety
14 needs to be associated with a lower temperature, what
15 should it be?

16 Fourth, what minimum time temperature
17 parameters for hot-holding would ensure food safety?

18 And the final question, should there be
19 monitoring and/or recordkeeping requirements associated
20 with hot-holding at temperatures less than a 140
21 degrees Fahrenheit?

22 These questions became important in part
23 because the issue came before the Conference for Food
24 Protection previously at which time, the voting

1 delegates declined to accept the recommendation that
2 came forward on the lowering of the 140-degree
3 temperature requirement in the absence of record-
4 keeping.

5 To answer Question Number 1, the response
6 that the Committee came up with in summary is that the
7 temperature can be lowered if an associated dwell time
8 is associated with a lower temperature, and the
9 Committee believed that HACCP is an appropriate
10 framework for hot-holding.

11 On the second question, non-compliance with
12 the current requirement of a 140 degrees was apparent.

13 FDA provided information to show that roughly, I
14 think, a quarter of the industry that was surveyed had
15 hot-holding temperatures out of compliance with the
16 140-degree requirement that's currently in place.

17 The subcommittee concluded that there's
18 substantial safety margin at the 140-degree current
19 requirement. We also recognized that abuse, gross
20 abuse represents -- probably represents the outbreaks
21 that have occurred with regard to hot-holding, and that
22 if the temperature is lowered from a 140 degrees, the
23 safety margin would in fact be smaller.

24 For Question Number 3, regarding margin of

1 safety, we looked at information related to the
2 equipment capability and found that there's a wide
3 variation in the ability of equipment to maintain
4 temperatures, fluctuated from plus or minus 20 degrees
5 to plus or minus 5 degrees or so, and that temperature
6 capability was probably a very crucial aspect to what
7 needs to be built into any safety margin.

8 In addition, to define the safety margin, we believed
9 that we had to address the -- what we viewed to be the
10 primary organisms of concern being Clostridium
11 perfringens and Bacillus cereus, Staphylococcus aureus
12 was also listed within the reference material, but we
13 focused our attention on Clostridium perfringens and B.
14 cereus.

15 We believed that the safety margin should be
16 set above the growth -- the maximum temperature for
17 growth range for those two particular organisms.

18 We also identified that we believed a 130
19 degrees was the minimum temperature for hot-holding,
20 below which there was the possibility for growth. So,
21 I think our conclusion was that a 125 degrees was
22 probably the best representative temperature at which
23 growth would not occur, but because of the capability
24 of the equipment and the ability to monitor and

1 maintain temperatures, we identified a 5-degree safety
2 margin and identified the 130 as the minimum
3 temperature.

4 For the minimum temperature parameters for hot-holding,
5 we also looked at information provided in the FDA
6 document that related to a one-log growth for C.
7 perfringens. That one-log growth has some history in
8 part. There are other federal requirements related to
9 growth of Clostridium perfringens, in particular within
10 the FSIS regulations that we have in place for ready-
11 to-eat products for which there's a cooling
12 requirement. We've established a one-log growth
13 maximum for that particular product.

14 We don't actually have a regulation related
15 to hot-holding, although we've had policies in place
16 for a number of years. Many of our establishments do
17 maintain hot-held products within the federal
18 establishments, and we've generally recognized in FSIS
19 as a 130-degree minimum temperature.

20 So, in looking at the information provided
21 and using the one-log growth maximum information, the
22 modeling information in part that was provided to the
23 ARS Pathogen-Modeling Program, we determined that there
24 could be a time-temperature relationship established

1 for ensuring food safety, using a 130 degrees as the
2 minimum temperature.

3 We then established, based on the information
4 provided, that a maximum time of four hours at a 130
5 degrees would be equivalent to a maximum time of eight
6 hours for 135 degrees or an indefinite period of time
7 for hot-holding at temperatures of a 140 degrees or
8 more, which is the current requirement.

9 And for the final question, should there be
10 monitoring and recordkeeping, we did have information
11 provided to us that generally, the retail
12 establishments do have monitoring of some sort in
13 place, although it's sketchy as to whether or not the
14 very, very small establishments or operations have as
15 much information or as much control as the larger ones
16 that do have the opportunity to go through some of the
17 training that's provided, but that generally, we
18 believe that there was monitoring that was occurring
19 but recordkeeping was in fact something that probably
20 was not occurring and that we believed as part of a
21 HACCP program, it is important to have both monitoring
22 and recordkeeping. That recordkeeping, of course,
23 includes documentation that should be maintained.

24 That answered the five questions that we

1 viewed FDA had asked us to respond to. Having gone
2 through that information, we then determined that there
3 could be some recommendations that could go forward to
4 facilitate ensuring food safety with regard to hot-
5 holding.

6 One of the primary issues was in regard to
7 educational materials to be provided to food service
8 and retail operations on how to ensure that food is
9 properly maintained in terms of temperature. One of
10 the issues that FDA did identify with regard to a
11 concern had to do with evaporative cooling and the
12 concerns raised with that as an issue.

13 We did look at the information that was
14 provided and believe that in fact, the information was
15 not sufficient to determine that we could make a
16 decision that evaporative cooling needed to be
17 addressed within the recommendations. So, we
18 identified that we didn't have enough information to
19 address that issue further, but that there clearly was
20 evidence available to us that temperatures varied
21 considerably from surface to the internal temperature
22 in a variety of food matrices, that stirring of foods,
23 putting lids on foods, replacing and replenishing foods
24 was problematic in that even though the temperatures

1 are highly variable, cross contamination has been
2 identified as a problem with regard to some
3 epidemiological evidence.

4 So, educational materials was an important
5 aspect for which we believed there could and should be
6 more information provided to industry.

7 The second issue is providing information
8 about equipment capability and that there does seem to
9 be a great deal of variability with the equipment
10 that's out there and being used and that more
11 information and knowledge can be developed about
12 equipment capability used for food service in
13 particular.

14 And then, also, that recordkeeping was
15 important, and we had identified that recordkeeping was
16 important for at least 30 to 60 days. In part, that
17 would be a time period that we believe these types of
18 foods would be available to consumers to eat and that
19 if there was going to be a foodborne problem with that
20 product, that that recordkeeping for that period of
21 time would be appropriate.

22 Certainly keeping the information for longer
23 than that period of time would be advisable as well.
24 The fact that recordkeeping seemed not to be a part of

1 the establishment's operations, we believed, was an
2 important aspect to draw attention to.

3 The second recommendation related to
4 assessing the impact of changes regarding the hot-
5 holding temperature and the use of time. In our
6 discussions, we were provided some information that
7 time is used as a food safety control and that lowering
8 the temperature, thereby increasing the amount of time
9 or decreasing the amount of time that product can be
10 held, may in fact jeopardize or conflict with an
11 existing requirement within the Food Code. So, we just
12 raised that as an issue.

13 Oh, sorry. And then, finally, with regard to
14 the information that FDA did collect, we thought that
15 was useful and quite helpful, although there was more
16 information that we believed should be designed into
17 the surveys that would be conducted, primarily related
18 to the food matrix, the holding time below the minimum
19 temperature as opposed to just collecting information
20 about what temperature the product was held at, and
21 then just the procedures that industry uses for
22 ensuring temperature is maintained would be an
23 important aspect to capture in any future surveys that
24 the agency would be doing.

1 So, open to comments.

2 DR. WACHSMUTH: Very nice, and we actually
3 had the document from the subcommittee in advance of
4 the meeting. So, I'm assuming everyone's read it, and
5 if you have any comments, this is the time.

6 John?

7 DR. KVENBERG: Thank you, Madam Chair.

8 Just one point relative to the temperature
9 requirements and the way the Code states it, and I
10 think we did cover this ground. Is that the Food Code
11 recognizes time as a public health control, and the
12 four-hour requirement is basically there.

13 So, I'm just trying to get an interpretation.

14 I was on the working group, and I think I understand
15 it, but I just want to make sure that we're saying this
16 the same way.

17 As a practical matter, within a four-hour
18 time frame, knowing that the food is being out at hot-
19 holding, the requirement would be at 130 with a time-
20 dated -- the time it was offered for retail basically
21 would be sufficient, if the investigator found that
22 below a 130, it would have to be removed.

23 The question is, if we're getting in this
24 middle ground of a 135, I guess that would kick in a

1 record requirement of temperature monitoring over a
2 longer period. I think that's what we really are
3 saying here, is within the four-hour requirement, the
4 only thing there is the timing of the presentation of
5 the hot-holding process and that would be consistent
6 with the rest of the Code as time as a public health
7 control.

8 Am I right?

9 DR. ENGELJOHN: Right. I would just follow
10 up, and please, any of the subcommittee members, if you
11 have other memory about the issue to bring forward,
12 that would be great.

13 But as I recall, part of the issue was we
14 were provided information about the time control as a
15 public health factor or safety procedure, in that it
16 was very detailed in the sense that the time the
17 product's taken out and a time record is kept, and so
18 there's a process in place that's quite specific to
19 that which is not as rigorous or may not be as rigorous
20 for the hot-holding for which, you know, that's -- the
21 issue would be, you might need to take a look, I think,
22 at those two procedures simply because with hot-
23 holding, it's a looser type of control than what we
24 were led to believe on the time as a control factor.

1 So, I think that was part of the issue. So,
2 there's some very critical procedures in place, very
3 specific, about when product's going to be taken out,
4 when it's going to be out of the container or out of
5 the heated environment or wherever it's taken, and
6 those differences in procedures between hot-holding and
7 the other may present a problem.

8 We raised it because we thought it might have
9 some concern.

10 DR. WACHSMUTH: Okay. Fran?

11 MR. BERNARD: Thank you. Dane Bernard.

12 Oh, I'm sorry.

13 DR. DOWNES: Thank you.

14 The other practicality issue that we
15 discussed at that -- in that deliberation was the issue
16 of the local inspector or sanitarian assessing both
17 time and temperature without records and that if there
18 were to be changes in the time allowance and
19 temperature, that they would have to have assurance
20 that that had been held for that amount of time at that
21 temperature.

22 MR. BERNARD: Thank you. Dane Bernard.

23 My question also has to deal with the time-
24 temperature issue, and first, I have a difficult time

1 understanding how we derived the time frames.

2 Looking at the reference material, it says
3 that if we hold it at 130, it should be safe for the
4 extent of time that it's presented for sale.
5 Considering the pathogens we're looking at don't grow
6 till below 125, I have a difficult time determining how
7 we said, based on a one-log growth criterion, that
8 we've got four hours at 130 and eight hours at 135.

9 I assume, and I guess this is the point, that
10 the concern here is non-uniformity of temperature in
11 the food product, and if that's the concern, I think we
12 ought to spell out a bit how we arrived at those times
13 based on that assumption.

14 What's the temperature distribution within
15 the product? How did we arrive at that assumption?
16 How did that assumption lead us to conclude that four
17 hours and eight hours at those temperatures were
18 appropriate?

19 DR. WACHSMUTH: Okay. Dan, can you answer?

20 DR. ENGELJOHN: I can answer it in that in
21 the information that we reviewed, it became apparent to
22 the subcommittee that there's a lack of in part control
23 of maintaining a uniform temperature within the food as
24 it's presented at retail simply because of the nature

1 of the food, the liquid products being different than
2 the solid mass products, and that there's a high degree
3 of variability there for which I think the cold spots
4 within that product are not known, and it appeared to
5 us that the level of control that the retail operations
6 have was not that consistent or uniform or nor possibly
7 capable of maintaining those temperatures. But these
8 time-temperatures would in fact be for the coldest spot
9 within the product.

10 MR. BERNARD: Thanks.

11 I think you can see the difficulty I'm having
12 because if the bug doesn't grow at these temperatures,
13 we're saying that these times and temperatures will
14 prevent a one-log growth. What we haven't said and
15 what we haven't been transparent on is what are the
16 assumptions regarding temperature distribution in that
17 product?

18 We have got to be making some statement with
19 these times; otherwise, it's a leap in logic. There's
20 a gap here in our logic, and we've made an assumption
21 that there are zones in that product that are at a 125
22 or less, and to compensate for that, we feel as a
23 Committee that we need to have time controls at the
24 lower holding temperatures.

1 I mean, that's what we've said. I'm not sure
2 I agree completely with the argument, but essentially
3 that's what you're asking us to check off on as a
4 Committee. My question to the subcommittee is, what
5 are those temperature assumptions, and can we be
6 transparent in the document about what they are?

7 DR. WACHSMUTH: Thank you. Yeah.

8 John, is it to this?

9 DR. KVENBERG: Yeah. Just to the point, and
10 Dane refreshed my memory or maybe you do or don't know
11 the issue.

12 But, Dan, when we discussed this in the
13 subcommittee, part of the issue that was brought
14 forward was evaporative cooling. We're talking about
15 cold spots. I mean, we maybe didn't go through this in
16 enough detail to put the science to the question, but
17 there is a lack of real research data and maybe that
18 isn't in the report and it may be helpful, that part of
19 the concern is that the hot-holding temperature is
20 going to fluctuate for various reasons, and one of the
21 research needs that maybe could be put forward into
22 this is examining the thermal-kinetics of hot-holdings
23 at these temperatures to where you would have areas.

24 As Dane said, you phrase it as cold spots,

1 but I've heard concerns being raised relative to
2 evaporative cooling and the way equipment is
3 constructed. So, there is a science around this, but I
4 don't think it's published. So, I guess my thought is,
5 if there's something we could put forward, we could
6 point out the need for studies relative to what
7 temperature variability would be in a hot-holding.
8 That's the only thing I could offer.

9 DR. ENGELJOHN: This is Engeljohn.

10 I would add that in the materials that were
11 provided, Table Number 8 is the information that we did
12 look at that identified the differences found within
13 some operations within Maryland with regard to surface
14 and internal temperature.

15 So, that was part of the basis for
16 identifying that there are in fact widespread
17 differences of temperatures within a product itself,
18 not necessarily within the container but within a food
19 matrix itself.

20 We can certainly add a research need to
21 clarify that more.

22 DR. WACHSMUTH: Would that satisfy you then?

23 MR. BERNARD: I think adding a research need
24 is appropriate, but I think the language that we would

1 put forth in our report should be specific and say this
2 is why we are recommending -- making this
3 recommendation, is that there's an unknown here, and we
4 think this is an appropriate way to fill that gap until
5 specific data is collected or something of that nature.

6 To me, it just said, well, we know it doesn't
7 grow here, but to keep it from growing a log factor,
8 we're going to put in 130 for four hours. It just
9 doesn't make sense.

10 DR. WACHSMUTH: Okay. Can you work on that?

11 DR. ENGELJOHN: That sounds fine.

12 DR. WACHSMUTH: Okay. Is it this?

13 DR. SWANSON: It's this.

14 DR. WACHSMUTH: Okay.

15 DR. SWANSON: Yeah. It's this.

16 Now, I would just want to support the
17 discussion or the need for more discussion here because
18 as you read through the Committee's conclusions, 125 is
19 the cut-off point. You've got a 5-degree margin of
20 safety on top of that, and then to say, well, you need
21 time on top of that makes people kind of wonder where
22 it came from.

23 You have to have a little bit more there to
24 discuss. It's because of variability that exists.

1 Because in answering these questions, the assumption is
2 that's the minimum holding temperature is a 130. So,
3 it just -- the logic doesn't fly. So, we need to have
4 more in there to substantiate those times or some
5 wording around unless you have exquisite control
6 because there are differences in liquids versus solids,
7 and it looks a little too arbitrary to me to really
8 support. The science just doesn't seem to be there to
9 support those times and temperatures.

10 DR. WACHSMUTH: Okay. I think we should give
11 Dan an opportunity to craft a sentence to add to that
12 that addresses the concerns.

13 Bill?

14 DR. SPERBER: Yes. On this particular point,
15 this is Bill Sperber.

16 I was a member of the subcommittee, and as I
17 recall our discussion and thinking on this, we arrived
18 at this conclusion, we think, for good reasons.

19 The current Food Code regulation requires
20 hot-holding at 140 degrees, a minimum temperature, with
21 no time requirement, and we know from reports and from
22 industry representatives at our subcommittee meeting
23 that this is not fully complied with. There's a fairly
24 significant level of non-compliance with the 140-degree

1 holding requirement.

2 So, our logic is that if there is to be --
3 140 degrees is a very significant margin of safety
4 over, say, 125 for the maximum growth temperature of
5 the pathogens of concern. If there is to be any
6 reduction in minimum holding temperature, there should
7 be more teeth in the Food Code, more power given to
8 public health inspectors to enforce the minimum holding
9 temperatures.

10 So, we put in the time recommendations and
11 not a mandatory but at least a subtle recommendation
12 for recordkeeping, so that there be some evidence of
13 compliance with a lower minimum holding temperature
14 that would have a much smaller margin of safety in it.

15 So, I don't think 130 or 135 is unreasonable
16 at all, if it is better controlled, than current
17 practice. If current practice can't be improved, then
18 you might as well leave the regulation where it is.

19 DR. WACHSMUTH: Is that Bob?

20 DR. BUCHANAN: Well, I guess having, you
21 know, quickly looked at your report, I guess that's --
22 and having listened to your presentation, I guess one
23 of the questions I would ask is, if you get such a
24 small percentage of compliance at the current

1 temperature, what information or data do you have to
2 think that you wouldn't get that same rate of non-
3 compliance at the lower temperature and have a worse
4 situation?

5 I'll give you an example that has nothing to
6 do with food safety but it does have to do with safety.

7 On Interstate 95, when they raised -- when the speed
8 limit was 55, everyone did 75. When they raised the
9 speed limit to 65, everyone did 85.

10 There is at some point where yes, the people
11 won't go higher than the posted speed limit because
12 they're too afraid to drive that fast, but what are
13 your expectations that you'll actually get the same --
14 at least the same level of compliance?

15 DR. WACHSMUTH: Okay. Katie?

16 DR. SWANSON: Hot-holding temperatures for
17 many products is not like driving down a highway
18 because many times, products are held hot so the
19 consumers get right hot soup. So, you can't drop it
20 down too far. There are data out there about what is
21 the appropriate temperature to present an expected hot
22 product and have it taste hot to consumers, and so you
23 could get data that would be appropriate there, if you
24 got the right channels.

1 There are also reasons why somebody might
2 want to hold it at a lower temperature and that's
3 related to quality because it will dry out faster at a
4 140 than it would at a 130, but I -- my recollection of
5 the exact temperatures are pretty low, but I think most
6 consumers want it to be at least above a 130 for it to
7 taste hot instead of tepid.

8 DR. WACHSMUTH: Okay. Bill Sperber, John
9 Kvenberg, and then Bob again.

10 DR. SPERBER: Yeah. This is Bill Sperber.

11 We were told generally that the level of non-
12 compliance with the current regulation was about 25
13 percent. So, we're thinking that any requirement for
14 recordkeeping would improve compliance, whether the
15 minimum holding temperature stays at 140 or if it's
16 reduced.

17 But if it's going to be reduced, we would
18 certainly expect some additional tools that would
19 ensure better compliance so that the foods would be
20 held safely.

21 There are two rules of food safety. Two of
22 the commandments of food safety are to keep hot foods
23 hot and keep cold foods cold, and the zone in between,
24 the century of doom or whatever you want to call it

1 between roughly 40 degrees Fahrenheit and 140
2 Fahrenheit, needs to be controlled.

3 I know this is not exactly part of the Food
4 Code, but in general, over the last 30 years or so,
5 it's been kind of FDA practice that if you're in this
6 range between 40 and 140, the practice is you keep hot
7 foods above 140, cold foods below 40. You can be in
8 between for up to four hours max. So, that partly
9 underlines our selection of four hours maximum at 130.

10 Strictly speaking, you could be at 120 or 110
11 or 70 for four hours, and the food would still be safe,
12 but, of course, we couldn't regulate that.

13 DR. WACHSMUTH: Okay. John?

14 DR. KVENBERG: Thank you, Madam Chair.

15 I actually have data, and I think we looked
16 at it during the subcommittee report and wasn't
17 included as a chart. Maybe this would be helpful.

18 Information that was gathered was the range
19 and frequency of distribution of hot-holding
20 temperatures across the United States, and the sample
21 size was 1,288 observations across all types of food
22 establishments on hot-holding, and the results in very
23 brief summary were, the findings were that 70 percent
24 were determined to be at or above a 140 degrees, 30

1 percent were over -- were below 140 but over 130, 17
2 percent of the observations were below 130, above 120,
3 and nine percent of the population observed was below
4 120 degrees.

5 So, there is a frequency distribution and
6 sort of a bell curve that we looked at to at least help
7 Bob Buchanan's question about what observations we had
8 to consider in the working group, and so that's
9 basically it.

10 Right now, as it stands today, our survey was
11 nationally-based. I don't know if it's statistically
12 valid or not. I can't answer that. That's kind of the
13 thumbnail, 70, 30, 17 and 9 percent.

14 DR. WACHSMUTH: Okay. I had Bob next, and
15 then going to Dane, Bob.

16 DR. BUCHANAN: And I guess it was that
17 distribution that elicited my question and comment.

18 Is there any indication at all that that
19 distribution would stay the same and wouldn't shift to
20 the left if you used a different standard?

21 I do have to reflect on Katie's comment.
22 Yes, in a real world, that's -- your supposition might
23 be true, but when I think of this kind of hot-holding,
24 I think of school lunches and teenagers that have 30

1 minutes to eat lunch, and they're not likely to be
2 complaining or not eating. They eat and go.

3 So, I think it's assuming that below a
4 certain temperature, consumers will complain is not
5 necessarily a good control measure to put in place.

6 DR. WACHSMUTH: Okay. Dane?

7 MR. BERNARD: Thank you.

8 Dan, in your presentation, you mentioned that
9 most of the outbreaks were associated with catastrophic
10 failures on holding temperatures, is that correct?

11 And part of the same question is the
12 compliance issue, but if the ultimate objective is, as
13 we all think it is or feel it is, that the holding
14 temperature is an essential part of the food safety
15 network, are the things that result in food safety
16 problems just the failure to totally comply, not to be
17 at 135 versus 140, or 130 versus 135? Is it the steam
18 table was off?

19 Thanks.

20 DR. WACHSMUTH: Okay. David?

21 DR. THENO: Bob, to address a couple of your
22 concerns, certainly within the food service industry,
23 the compliance that John talked about is a general
24 survey.

1 It's my sense, and I don't have a
2 quantification for this, that where a time-temperature
3 requirement is put in place, which is what Bill alluded
4 to as being below 140, that compliance is substantially
5 improved, that in fact these time-temperature
6 relationships are special events and allowances really
7 that require more things. So, I think you'll find
8 actually better compliance where you might be below
9 than you might on the general 140.

10 As to your observation that there's a maximum
11 speed limit that you raise and no one drives faster
12 than that, I'm from California, and I disagree with
13 that totally, unless it's the speed of light. Physical
14 constraint may stop Californians.

15 And to your concerns about the school lunch
16 program, I actually consult to the San Diego School
17 District and their School Lunch Program, and those
18 programs also are using HACCP-based systems now and
19 time-temperature relationships.

20 So, I know that they aren't all there, but I
21 can tell you that as a group, the school boards are
22 trying to get to those kinds of places. Contract
23 feeders that are involved with that, major feeders are
24 Sodexo Marriott, Aramark, are using HACCP-based

1 systems.

2 So, I think we're seeing substantial
3 improvement relative to these kind of control systems
4 over there, also.

5 DR. WACHSMUTH: Okay. Dan Engeljohn, then
6 Frances Downes. You want Frances to go first?

7 DR. ENGELJOHN: I would just point out, we
8 did have the information, John, you referenced in our
9 materials. It's Table 10, Figure 1. But we have
10 different numbers there. So, you might have an updated
11 one. You might want to think whether or not we need to
12 include that information here just because the numbers
13 are different, the total numbers. So, you might have a
14 more final report or something.

15 And then, secondly, the FDA did provide us
16 information that one state actually has a 130 degrees
17 for its hot-holding temperatures, but we don't have
18 information or weren't provided information about the
19 compliance within that state at that lower hot-holding
20 temperature. That would be, I think, important
21 information to at least look at to see how
22 establishments there are complying with that and
23 whether there's a different non-compliance rate there
24 than there is in other states that do have a 140

1 currently.

2 DR. WACHSMUTH: Frances?

3 DR. SWANSON: I'd like to respond to Dane's
4 concerns about the gross temperature violations being
5 associated with outbreaks.

6 We did discuss that issue and partly recognized that
7 sporadic cases or small clusters are not going to
8 become apparent to public health because generally,
9 people don't seek medical care for Clostridium
10 perfringens or Bacillus cereus due to the shorter
11 duration and less severe symptoms as compared to a
12 Campylobacter or Salmonella infection.

13 And secondly, if they would go to seek
14 medical care, clinical laboratories do not generally
15 provide testing for those specific organisms. So,
16 there was a recognition that we're aware of the big
17 events but not the smaller more sporadic events.

18 DR. WACHSMUTH: Bob?

19 DR. BUCHANAN: Important interesting comment
20 about South Carolina, and there might be a natural
21 experiment there, if you can find out when they changed
22 the temperature and look at some of their disease
23 information.

24 Unfortunately, you don't go to CDC for those

1 data because I happen to know that reporting has been
2 uneven up until recently.

3 DR. WACHSMUTH: I guess that could be a
4 recommendation to consider.

5 Bob?

6 DR. BUCHANAN: Dan, can I ask a question
7 about your Table 12 in the document? And this is just
8 to point something out that either may be confusing or
9 I just want to verify how you came up with those
10 numbers for the sake of transparency.

11 Can I ask on what basis the numbers were
12 derived? Oh, okay. Well, it's our document. Never
13 mind. I'll go find our own people.

14 DR. WACHSMUTH: Okay. Any other comments?
15 Are we going to -- I guess my question should be to
16 Dane Bernard.

17 Given the extended discussion on the reasons
18 for including the time and the temperature, do we still
19 need a sentence that we asked Dan to craft earlier
20 about the variability?

21 MR. BERNARD: I'm sorry. You're asking if
22 adding some words to that comment? Yeah. Absolutely.

23 I think we need to explain at least the fact that
24 there's a data gap and that we're recommending this

1 approach because there is a data gap, but we know that
2 there are temperature variations and to compensate for
3 that lack of information to be specific at this point,
4 the Committee feels this is appropriate, rather than
5 just say we know it doesn't grow here, but we're going
6 to require you to do this time and temperature anyway.

7 DR. WACHSMUTH: Well, I asked because I
8 thought I heard several explanations from that
9 subcommittee, but we still should work on that
10 sentence.

11 Okay, okay. I think this is a good time to
12 break.

13 Oh, Dave? Sorry.

14 DR. THENO: This is not on topic, but Madam
15 Chairman, I apologize that I will -- my presence is
16 required in San Diego in the morning, and so I'll be
17 leaving you early today.

18 But I would like to welcome all of you that
19 are attending the IFP meeting to San Diego. We have a
20 fledgling IFP chapter, the Southern California Chapter,
21 that will be hosting, and any of you that have the
22 opportunity to come by the Jack-In-The-Box Support
23 Center or want to see our Food Safety Systems are
24 welcome to, and we hope to see all of you in San Diego

1 this summer.

2 I thank you for the morning and the time this
3 week.

4 DR. WACHSMUTH: Thank you. Sorry you have to
5 leave us.

6 Okay. Let's -- I'm thinking now of where we
7 stand with some of the other documents in terms of what
8 type of break we should take. Public Comments?

9 I would be concerned that there might be
10 someone who would arrive at 11:30 to comment, and we
11 would be on break. So, let's take a break for a half
12 an hour and come back and see where -- in the meantime,
13 we'll get a status on the different reports.

14 (Whereupon, a recess was taken.)

15 DR. WACHSMUTH: What we're going to do is
16 rearrange the schedule so that you all will have as
17 little down time as possible. I know all of you value
18 your time. You should.

19 The solution that we've come up is to have
20 Dr. Buchanan introduce the topic Criteria for Shelf-
21 Life Based on Safety and Full Committee -- well, we'll
22 have a discussion of that, as soon as we have the
23 Public Comment, and then we will wait until after lunch
24 to have any discussion on that. So, you've got a set

1 of slides. You'll have the presentation.

2 So, after lunch, we'll have any discussion on
3 that topic. That way, if someone's waiting until lunch
4 to come here just for this topic, they'll have an
5 opportunity to hear the discussion and take part in it
6 or at least hear it from the audience or have public
7 comment.

8 So, what we'd like to do to get us on that
9 schedule is to see if we have any public comments at
10 this time. We don't have anyone signed up, I don't
11 think.

12 Okay. If you'd introduce yourself?

13 Public Comment

14 MR. WIENER: I'll hold this because it's kind
15 of short.

16 My name is Tim Wiener. I'm the Director of
17 Food Safety Programs with the Food Marketing Institute.
18 We are the trade association for supermarkets here in
19 the U.S. Our members make up approximately three-
20 quarters of all the supermarkets here in the U.S. We
21 also have membership in over 60 different countries.

22 The issue that we would like to discuss this
23 morning or provide comment is on the hot-holding
24 issues. This was an issue that we had presented to the

1 2000 Conference for Food Protection. It was initiated
2 by the industry, and I am thankful that FDA was able to
3 pursue this and push it forward for discussion for the
4 National Advisory Committee.

5 There are several things I do want to point
6 out and some issues that we would like to make sure
7 that everybody's aware of. Currently at this time, two
8 states, not one, have adopted a 130 degrees as a hot-
9 holding temperature requirement and one state is
10 currently pursuing this adoption. The second state
11 that adopted it was the State of Arizona, Department of
12 Health.

13 Through their Food State Task Force, they
14 brought in industry representatives, county and state
15 regulatory folks and academia, and they sat down, and
16 they looked at the science, and they evaluated. At
17 that time, the science proved that a 130 degrees was
18 considered safe.

19 The second issue, and this is something that
20 I do want to point out, is the difference between what
21 is considered safe versus what is considered out of
22 compliance.

23 When the FDA did their Risk Database Report
24 several years ago, they were looking at temperatures

1 out of compliance to the current FDA Food Code. They
2 were not looking at the safety issue. The draw and the
3 ties were: are these out-of-compliance issues tied in
4 with safety and foodborne illnesses?

5 At that time, and I think at this time, I don't know if
6 we can conclusively come to say a 140 degrees, a 135
7 degrees or a 130 degrees can be tied to a foodborne
8 illness associated with either Bacillus or Clostridium
9 perfringens.

10 So, the issue should be distinguished between
11 what is out of compliance and what is safety. The data
12 that FDA was able to provide, and FMI has worked
13 through the University of Wisconsin Food Research
14 Institute to obtain some additional data, clearly
15 indicates that a 130 degrees is safe.

16 The issue now comes in at what temperature do
17 you allow one-log growth? At a 130 degrees and above,
18 a 130 degrees is still safe and would not provide for
19 one-log growth. To go back and cite a quote that Dane
20 Bernard had this morning. "Is it possible for growth
21 of these bacteria at these temperatures, a 130 degrees
22 and above?" No.

23 How likely will this occur? I don't think we
24 can answer that question because there hasn't been a

1 whole lot of challenge studies at these temperatures,
2 but what we do know, based on peer-reviewed literature,
3 is for Clostridium perfringens to survive, it has to
4 have 13 amino acids that are usually commonly
5 associated with protein or soy-based food products.
6 They cannot synthesize this. So, that's important
7 because when we look at a 130 degrees for hot-holding
8 for the other potentially-hazardous foods, that may not
9 be protein-based or soy-based. It may not be an issue.

10 One of the things I do want to bring to point
11 in regard to several recommendations that came out at
12 the subcommittee is in their final recommendation,
13 having FDA pursue developing educational materials. I
14 would recommend that the recommendation should be --
15 have FDA work with industry and academia to develop
16 educational materials, the reason being is the industry
17 is a key stakeholder in this whole issue.

18 If you go back and you read the Preface of
19 the Food Code, it says both the industry and the
20 regulatory agencies have the responsibility for public
21 health. We are the front-line workers. We can reach
22 that audience a lot quicker, a lot more effectively,
23 than regulatory agencies can. Make us part of the
24 stakeholders and let us be your communicators.

1 One more issue I did want to bring up is the
2 issue of the time constraints that was associated with
3 the temperature requirements.

4 The state regulatory agencies, the local
5 regulatory agencies, and the industry are looking
6 toward the federal agencies, FDA, USDA, CDC, for sound
7 science to ensure that the public health is protected.

8 When guidelines, statutes and rules are pushed
9 forward, that there is no supportive science, then what
10 we end up with is non-uniform subjective oversight,
11 both by the regulatory agencies and by the industry,
12 and what that results in is inappropriate data being
13 reported, conclusions being tied to foodborne outbreaks
14 associated with in this situation Clostridium
15 perfringens, where it may not be an issue.

16 An example. A lot of individuals have prior
17 to this meeting come to the conclusion that anything
18 less than a 140 degrees contributes to the foodborne
19 illness involved in Bacillus cereus and Clostridium
20 perfringens, where the data here came out and clearly
21 indicated it is a gross abuse of temperature that leads
22 to these illnesses.

23 When we went back and looked at the
24 information from the CDC, from Washington State and New

1 York State, we were surprised that the information was
2 not captured, but we were also surprised that the
3 information that was available showed that it was gross
4 temperature abuse, all the way up to a 110, 115
5 degrees, that contributed to foodborne illnesses
6 involving these target organisms. It was not
7 temperatures at a 130 degrees and above and that is our
8 concern.

9 What we are looking for is the science to say
10 yes, this is safe, but we're also looking for the
11 science and applications that the industry can use and
12 that we can take back to the state and local
13 governments that they can apply as a regulatory
14 oversight tool.

15 Thank you.

16 DR. WACHSMUTH: Thank you for your comments.

17 Anyone else? Public Comment? The microphone
18 is open. Did you want to make any remarks, David?
19 Okay.

20 (No response)

21 DR. WACHSMUTH: All right. Then I think we
22 can move on to the Shelf-Life Issue, and we'll ask Bob
23 to introduce that at this time. I think he has a
24 PowerPoint, and you have some hard copies of that.

1 Bob?

2 Introduce Criteria for Shelf-Life Based on Safety
3 and Full Committee Discussion

4 DR. BUCHANAN: Okay. If I can get this to
5 work, here we go.

6 What I want to do basically is in the next
7 few minutes take you through -- you should have
8 received two documents related to this new request for
9 a working group, one that is a -- that says Request for
10 Scientific Parameters for Establishing Food Safety Use-
11 by Dates for Refrigeration -- oh, they don't have it?
12 Oh, good. Okay. Then I will introduce this, and you
13 will be getting a formal charge. Okay. We will try to
14 find what happened to the documents that you were
15 supposed to have received.

16 But I want to go over briefly as a result of
17 that the -- what we do have to date in terms of a
18 general introduction, and then we'll take additional
19 questions, I believe, after lunch. This is a chance
20 just to get it started.

21 It is a new, and we put this in parenthesis,
22 performance standard-type of working group because you
23 will be developing what could be interpreted as a
24 performance standard. The specific title of the

1 request that's coming to you is a Request for Requisite
2 Scientific Parameters for Establishing Safety-Based
3 Use-by Dates for Refrigerated Ready-to-Eat Foods.

4 What I want to do in the next few minutes is
5 to give you a little background, pose some of the
6 questions, and then talk about some of the parameters
7 that we anticipate you're likely to consider but it
8 certainly will not be an all-inclusive list.

9 The reason that we're here is that this came out
10 largely, even though it's been a long matter of
11 discussion, this came to us as a critical issue as we
12 completed the Listeria monocytogenes Risk Assessment,
13 which again I'd like to just take a sidetrack for a
14 second and thank all of you that have been involved at
15 multiple steps in the way of getting that document out,
16 that we greatly appreciated it and used the input of
17 the Advisory Committee, and it was one of those
18 beneficial sources for us to be able to bounce off the
19 assumptions and the data that we used and the
20 approaches we took. It was an important part of our
21 overall review process.

22 But anyway, the risk assessment, one of the things that
23 the risk assessment emphasized to us was the importance
24 of both the duration and temperature of refrigerated

1 storage in regard to the risk of Listeria monocytogenes
2 infections via the food supply.

3 This, by extending the period of refrigerated storage
4 as we've seen over the last years as our technologies
5 have gotten better, that increases the potential for
6 growth of psychrotrophic pathogenic bacteria, and in
7 the case of the Listeria monocytogenes risk assessment,
8 of course, was Listeria, but also we see issues like
9 that with other potential psychrotrophic pathogens,
10 like Clostridium botulinum.

11 So, as I've gone through this introduction,
12 you will see a focus on Listeria, but please keep in
13 mind the fact that we're interested in all
14 psychrotrophic pathogenic organisms.

15 We also have gone through a discussion about
16 "will extending the refrigerated storage period also
17 increase the likelihood that the product will be
18 exposed to transitory periods of abuse, temperatures
19 that would rise slightly above those that are -- would
20 prevent growth of the organisms of concern?"

21 This has been identified, and you were -- the Committee
22 was certainly a thought of mine in establishing part of
23 our Action Plan for Listeria monocytogenes that came
24 out at the same time as the Draft Risk Assessment, and

1 I will, if you have not seen it, we can provide copies
2 of both the Risk Assessment and the Listeria Action
3 Plan, but an objectified, sub-objectified, and this is
4 a direct quote from it.

5 "FDA and FSIS will seek the advice from a
6 scientific advisory committee on the scientific basis
7 for establishing a safety base use-by dates, use-by
8 date labeling for refrigerated ready-to-eat foods."

9 You might note that I put these together late
10 at night and haven't proofread them since.

11 So, let's talk a little bit about background.
12 Currently, there are various types of date labels that
13 are used on food products and just taking three
14 examples that we've seen in the marketplace, and in
15 fact, we've discussed here in the Committee at
16 different points, we see "consume-by" date labeling.
17 We see "best if purchased by" date label, "best if used
18 by", and there are a variety of other different
19 modifications of these labels.

20 These labels, as far as we know, are almost
21 -- are exclusively used to describe some product
22 quality attribute and that's what they've really been
23 the basis for their use. However, based on our focus
24 groups to consumers and the discussions that we've had

1 with various other stakeholders, consumers interpret
2 these labels as meaning "safe to consume until", and so
3 there's this significant disconnect between how the
4 message that's coming across on these labels and how
5 the consumer is viewing them.

6 Okay. And so, what we're looking for is your
7 advice and assistance in developing a scientific
8 framework for the establishment of not "quality-based"
9 date labeling, but "safety-based" use-by date labels,
10 and what we're looking for is to establish a working
11 group that will deal with some of these issues and then
12 present that for the full Committee, take advantage of
13 the broad background of the full Committee and then
14 provide that advice to both FDA and FSIS as we move
15 forward in attempting to implement our Action Plan to
16 see if safety-based date labels are reasonable and what
17 we would have to do to approach them.

18 Now, in coming to you for advice, we have
19 four specific questions that we're going to be asking
20 your input on. These questions are: what are the
21 scientific parameters that we need to consider in
22 establishing safety-based use-by date labels for
23 refrigerated ready-to-eat foods?

24 Two. Should safety-based use-by dates for

1 refrigerated ready-to-eat foods be established using
2 mathematical modeling techniques? If so, what modeling
3 approaches are best suited to the development of
4 safety-based use-by date labels for refrigerated ready-
5 to-eat foods?

6 Question Number 3 is: what data need to be
7 acquired to scientifically validate and verify the
8 adequacy of a proposed safety-based use-by date label
9 for refrigerated ready-to-eat food? So, once you've
10 decided what your date label's going to be, how do you
11 determine if it really is that? You validate the
12 label, and then also, how would someone subsequently
13 actually verify that that date label that's on the
14 label is actually the one that is appropriate?

15 Question 4 is: what effect do the multiple factors
16 that influence the growth and survival of Listeria
17 monocytogenes, i.e. strain differences, food matrices,
18 production and distribution systems, consumer
19 susceptibility, you can think of a variety of others,
20 have on the establishment of safety-based use-by date
21 labels for refrigerated ready-to-eat foods?

22 We know that the growth of Listeria monocytogenes, and
23 I might note other psychrotrophs here, are influenced
24 by a great number of factors. How do we and to what

1 extent should we deal with those factors in the
2 development of the label?

3 Oh, and the fifth question: what impact
4 would "safety use-by date labels" likely have on the
5 control of other foodborne pathogens in ready-to-eat
6 foods? And so, again, this is reminding you that while
7 we anticipate that the primary focus of the working
8 group will be on Listeria monocytogenes, we are also
9 interested on what will be the impact of such a date
10 label on both other psychrotrophic pathogens and also
11 for mesophilic pathogens, such as Listeria
12 monocytogenes or E.coli 0157.

13 The working group would have -- we're going
14 to ask -- start the working group off with the
15 following members. I will be chairing the working
16 group and acting in part to get you in touch with the
17 people that will be supporting your activities.

18 The list of these individuals on the working
19 group are here listed on this slide, and we will be
20 getting that formally to you in the documents that come
21 out asking the questions. However, I would encourage
22 and invite any of the other members of the Committee,
23 since you're going to see this anyway at some point, if
24 you have free time, and you want to be involved in some

1 of the working group meetings or if you have data that
2 you think would be of interest or you have opinions,
3 please don't wait until the full Committee meeting. We
4 would love to have you sit in on the sessions and
5 provide your insights as we go along, and again this is
6 the long tradition of NACMCF that any member can sit in
7 on any working group session, but this is the group
8 that we're really going to be looking to to do the
9 heavy lifting on this subject.

10 Now, we're also attempting to do this in
11 accordance with our Action Plan in a timely manner, and
12 this is an aggressive time line that we've put out for
13 you and part of my job is to try and realize this time
14 line. We're looking for a completion in October to
15 November of 2002 for your recommendations to the
16 Committee.

17 We anticipate that this will require two to
18 three working group meetings between now and next
19 September, so that we will be ready to make a
20 presentation to the full Committee in or around October
21 or November or whenever the full Committee meets during
22 the Fall of that period.

23 We will be attempting to do as much of the
24 work as possible by e-mail and by correspondence.

1 However, we feel that we're likely to have to have
2 these face-to-face meetings in order to get through
3 some of the thorny issues that are certain to arise in
4 this complex subject.

5 FDA does plan to support the working group as
6 much as we can to make your work, let you focus on the
7 scientific issues. We will have two formal liaisons
8 that will be working with the subgroup, acting in the
9 role of getting the materials you need.

10 These are Dick Whiting and Andreas Keller,
11 and they should be in the audience. Can I get you two
12 to wave or stand up? They're there for us to use and
13 not abuse too much but certainly use them to identify
14 the things that you need.

15 We will provide shortly some initial thoughts
16 in a document and some of the factors that we think
17 that may have to be considered in the deliberations.
18 We are completing a literature review of documents that
19 we feel may be of interest to you in your
20 deliberations, and we'll be providing those by mail to
21 the working groups.

22 If there are specific technical experts that
23 you feel would be beneficial to the deliberation of the
24 working group, we would request that you identify them,

1 and we will see about getting them on board to help us
2 or at least identifying the type of technical expertise
3 you need, and we may be able to match our list of
4 technical experts against your specific needs, and then
5 the last one is just to indicate if you can think of
6 anything else that will make these deliberations go
7 more smoothly, allow you to get the science you need to
8 bring to bear, please don't hesitate to either address
9 those questions or needs to me or to the two FDA
10 liaisons, and we'll try and get the help that you need.

11 Okay. We will be providing you a document
12 that just went through some of the thought processes we
13 had in coming up with this request and some of the
14 areas that we think it's likely that you're going to
15 have to consider in addressing this highly-complex
16 scientific question, and I do want to reinforce, we
17 understand fully that what we're asking you to take on
18 in developing this framework is a highly-complex issue
19 that involves a great number of factors, and so we're -
20 - some of the things that we believe that you're going
21 to have to be dealing with is the selection of the
22 target organisms for which you base the date label
23 around, the selection of the safety-based endpoint.

24 Traditionally, we talk about things like one-

1 log cycle of growth for a number of practical reasons.

2 Identification and selection of the maximum practical
3 shelf life has been a subject of great debate, and it's
4 one that we think that you'll probably need to get some
5 technical expertise in or at least some background
6 information.

7 Do you develop a date label based on just the
8 maximum shelf life on the date or do you assume, for
9 example, that consumers will keep it a certain amount
10 of time beyond the date label, and if so, how much --
11 how long is that? And so, we'll talk about some of the
12 values that have been used typically by industry in
13 developing, for example, quality attributes.

14 Differentiating foods that do and do not
15 support growth is certainly an area that is of interest
16 and will have a direct impact on whether or not you're
17 going to be date labeling a product, and certainly one
18 of the resources that we will be providing you is that
19 FDA has had commissioned through IFT an evaluation of a
20 system for looking at what is referred to as
21 potentially hazardous foods, those that do and do not
22 require refrigeration.

23 So, we'll be providing some of the background
24 information we've acquired there, and I think that

1 there were a number of you actually that were involved
2 in that process that are sitting around the table. So,
3 you'll get to relook at your own work at some point.

4 We think that there's probably going to have
5 to be a substantial amount of expertise available to
6 modeling growth of pathogenic microorganisms and
7 considering things like general versus product specific
8 models, and we have a number of sources of expertise
9 that we can draw upon there, and likewise, I know John
10 has a whole research team that is working on that, and
11 we've already been in contact with them about the
12 possibility of assisting us.

13 Certainly, one of the issues we think that's
14 going to come up in your deliberations is the impact of
15 spoilage as a potential control measure, and there
16 should be a discussion, we anticipate, on the
17 relationship between spoilage and safety, and we're
18 anticipating trying to get some information to you.

19 One of the issues that we would like you to
20 consider in your deliberations is how do we deal with
21 biological diversity in the development of these
22 performance standards or criteria?

23 For example, do you deal with the most -- the
24 fastest-growing pathogen or do we in some way look at

1 the diversity of the organism? The diversity of foods
2 and the variance from food product to food product is
3 certainly one that we're going to have to take a look
4 at, and then when you start getting into issues related
5 to spoilage, we anticipate looking at the diversity of
6 microflora associated with any particular refrigerated
7 food. May be a scientific issue that we'll have to
8 address.

9 We also would ask you to think about during
10 your deliberations, approaches for validating safety-
11 based use-by date labels. How does someone not only
12 propose to use a date label in conjunction with their
13 food but actually be able to provide some kind of
14 scientific rationale?

15 We also are interested in being able to
16 develop approaches for verifying safety-based use-by
17 labels and do note that I separate the two-process
18 validation and verification, and you can go back to
19 consideration of passive definitions, if you want, to
20 distinguish the two, but one's up front and one, you
21 test after you're implementing.

22 And then, we also would like you to consider
23 some alternatives that are out there in coming up with
24 them or ways of verifying through on a continual basis.

1 There have been a great deal of discussion about time-
2 temperature indicators -- integrators. We've had
3 comments and deliberated on time-temperature
4 integrators in this Committee on at least three
5 separate occasions and in fact have made
6 recommendations about them, and so we will be asking
7 you to -- the Committee to revisit those -- that
8 technology.

9 Okay. I think that brings me to the
10 conclusion of my presentation in terms of a brief
11 introduction. We will be providing you with two
12 documents. One will be a two-page charge to the
13 working group and to the full Committee itself in terms
14 of the issues, so that you have that document in front
15 of you at all times, and we do encourage you, and I
16 will be encouraging the chair, to go back and reread
17 that on a continuing basis, so we don't lose the forest
18 for the trees.

19 And then, again, we do provide in more detail
20 some of our initial thoughts, and again these aren't
21 all-inclusive. We're just, you know, throwing things
22 out for you to consider, some of our initial thoughts
23 on some of the issues that may come up.

24 I would say from a personal basis, I find

1 this an extremely challenging question to bring before
2 the Committee. We are looking and you will note in
3 this case, we have not provided you with a document
4 ahead of time, other than just some general first
5 thoughts on our part, and so we are looking for you to
6 start this one on a whole cost basis and work to the
7 principles, and we will be around, if you have ideas or
8 concerns on how this would interface with the
9 regulatory agencies.

10 We certainly, through the FDA liaisons, and
11 they can get us some contact with the FSIS liaisons,
12 certainly be willing to bring these people in to talk
13 about, you know, the practicality of certain
14 approaches, etc.

15 So, with that, I'll turn it back over to
16 Kaye.

17 DR. WACHSMUTH: Got a little information on
18 the documents. We'll be receiving all those at once in
19 the mail.

20 DR. BUCHANAN: Okay.

21 DR. WACHSMUTH: This is certainly important
22 to FSIS as well as FDA. They've both worked on the
23 document. I think they're pretty thorough documents.
24 They've had to clear general counsels and such, and I

1 think that's what taken so long, but you'll receive
2 everything at once in the mail, and I guess, did you
3 want to say anything about the charge or this is
4 enough?

5 Okay. We'll talk about the strategy that
6 Bob's outlined after lunch, as we said. So, we'll have
7 an early break for lunch and come back at 1, when Mike
8 Jahncke will begin the Report on Codex, and perhaps by
9 the time that's over, we will have the document for
10 Performance Standards, and we'll take another break to
11 work, read that document and hopefully have that
12 discussion this afternoon.

13 John?

14 DR. KVENBERG: Madam Chair, if we're about to
15 break, could I just ask the Subcommittee on Blade-
16 Tenderization to hang around for a few moments, and
17 we'll see -- we were going to get together with Dr.
18 Neill relative to those revisions.

19 DR. WACHSMUTH: Okay. Well, you should meet
20 over in that far right corner.

21 DR. KVENBERG: Fine.

22 DR. WACHSMUTH: Anyone else have -- Bruce?

23 DR. TOMPKIN: Bruce Tompkin.

24 Considering the short window that's been

1 proposed for the refrigerated product labeling and so
2 on, I didn't see in here in the plan that a summary or
3 a listing of cases, outbreaks, attributed to
4 refrigerated foods would be provided to help identify
5 the foods that have been involved in foodborne illness
6 through conventional refrigerated storage and so on,
7 and so, I think it would be helpful if CDC were to be
8 asked -- I keep going back to CDC because they have all
9 the data, but if they could be asked to provide to the
10 Committee a summary of that kind of information.

11 DR. WACHSMUTH: I know at one time, CDC had a
12 nice packet of Listeria information.

13 DR. SWAMINATHAN: Thank you, Madam Chair.

14 Unfortunately, I will have to leave this
15 afternoon. So, I just wanted to bring up a couple of
16 things.

17 I think with this aggressive time line that
18 Bob has put forth, it would be very useful for the
19 Committee members to have these documents, the Risk
20 Assessment, Listeria Risk Assessment, the Action Plan,
21 and just to amplify on what Bruce was saying, I would
22 like to see five key references or documents that Bob
23 and others at FDA feel would be very useful to the
24 Committee members, should be provided to the Committee

1 as quickly -- subcommittee as quickly as possible, so
2 that we can get up to speed, and I would like to
3 suggest that we should have our first meeting of the
4 subcommittee as soon as possible to start deliberating
5 and dissecting the issues and begin thinking about the
6 issues.

7 It is a very complex issue, and it's going to
8 take quite a bit of discussions to get the task done by
9 Bob's deadline.

10 DR. WACHSMUTH: This is true. Just for a
11 little historical value for people who retire, the
12 first meeting of this Committee that I attended as a
13 CDC representative in the '80s, this was the topic, and
14 we discussed the integrators, and there was great
15 debate. So, you have quite a challenge.

16 Did you want to add anything, Bob?

17 DR. BUCHANAN: Yeah. We're going to have to
18 get ahold of your schedules, but we're anticipating
19 early March for the first working group meeting,
20 probably late April/early May for the second, and then
21 the third some time around July.

22 We are going to attempt to be pretty
23 aggressive about getting everybody together or as best
24 we can. You should be receiving the literature review.

1 We actually took pity on you because we figured you
2 didn't want to be carrying 40 pounds of reprints home
3 with you, and you certainly didn't want to carry the
4 Risk Assessment home.

5 So, we will, as much as possible, where we
6 have electronic versions, we will attempt to put them
7 on CD-ROM disk and send them to you that way. However,
8 some of them, we -- scanning them in turns out to be
9 more time and effort than just putting them in the
10 mail. So, you should be receiving a fairly good-sized
11 literature review. Again, we took pity on you, so that
12 you didn't have to carry them back on the plane.

13 DR. WACHSMUTH: Bruce?

14 DR. TOMPKIN: This is going to be all
15 refrigerated foods, is that correct? It's not just FDA
16 foods? It's -- I know the agency -- FSIS has a lot of
17 interest in it. So, it's across the board.

18 DR. WACHSMUTH: Yes. FDA is taking the lead
19 in running the subcommittee, but it's very much an FSIS
20 concern.

21 Was there a flag? Dane?

22 MR. BERNARD: Thanks.

23 Has this -- I don't have much memory of the
24 past Committee activities on this particular topic, but

1 the question is, is there anything in the Committee
2 archives that should be revised -- just brought up?
3 Where did the Committee net out in previous
4 discussions? Is there anything there that's
5 informative, so that we don't replot old ground?

6 DR. WACHSMUTH: Okay. We'll take a look
7 through the filing cabinets and see if we come up with
8 anything. Also, any members who have memories or
9 documents, that would be welcome.

10 Katie?

11 DR. SWANSON: Will the IFT potentially-
12 hazardous foods document be made available? Because
13 one of the considerations in there was the need for
14 time-temperature control. It was focused primarily at
15 shelf -- well, "shelf-stable products", products that
16 could be held at room temperature, but some of the
17 principles in that was related to matching time and
18 temperature, and it might be useful for the Committee.

19 DR. BUCHANAN: We're already in contact with
20 IFT to get it in an electronic format, so that you
21 don't -- again don't have to lug around a fairly
22 substantial document. As much as possible, we're going
23 to put all these things on a limited number of CD-ROM
24 disks and get them out to you.

1 DR. WACHSMUTH: Okay? And during the lunch,
2 that will give the two subcommittees who reported out a
3 chance to pull together the sentence or paragraph and
4 maybe we can finalize that at lunch as well.

5 Okay? If there's nothing else, we'll take a
6 break.

7 (Whereupon, at 11:44 a.m., the meeting was
8 recessed, to reconvene this same day, Thursday, January
9 24th, 2002, at 1:00 p.m.)

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

1

2

3

4

5

6

7

A F T E R N O O N S E S S I O N

8

1:08 p.m.

9

DR. WACHSMUTH: One reminder. Brenda is requesting your calendars, so we can plan the next meeting. If we do it soon enough, we might be able to get a nice hotel like this hotel again. So, either Brenda or Karen Thomas, when you have your calendar ready.

15

Okay. It looks like some people were busy over lunch. We have some new documents. But I think what we'll do is first apologize to anyone in the audience who was using the old schedule. We did have Bob Buchanan present the shelf-life proposal before lunch, and we did also say at that time, we would continue the discussion after lunch, and Bob's slides are available as a handout.

23

So, at this time, I'd like to open it again for first any comments from Committee members and then

24

1 any comments from the public since we went a little out
2 of sequence. You must have been very clear, Bob.

3 Mike?

4 Introduce Criteria for Shelf-Life Based on Safety
5 and Full Committee Discussion

6 DR. JAHNCKE: Michael Jahncke, Virginia Tech.

7 I have just one general comment. As the
8 subcommittee gets together to focus on this issue, it
9 is an interesting complex issue, and I know in the
10 slides, it is alluded to, but I would just want to re-
11 emphasize the many unique differences of different
12 commodities and how they're handled and distributed.

13 There's one example, and I'm sure we've been
14 doing some work with the smoked fish industry, and for
15 instance, the smoked fish industry, when they process a
16 product, many times they freeze that product, then they
17 ship it out to the retailer or wholesaler and that
18 wholesaler or retailer thaws the product out and then
19 sells it. That adds another twist to, you know, a use
20 by date on this, and when is the -- how do you
21 establish it for that and some of the problems with
22 that.

23 I'm sure there are other food product
24 commodities that have their own unique twist to it.

1 DR. WACHSMUTH: Bob?

2 DR. BUCHANAN: Certainly, what we're looking
3 for here, and it would be helpful to talk a little bit
4 about our expectations, is that we're not looking for
5 every possible commodity and the details of them to be
6 articulated by the working group.

7 What we are looking for is some well-thought-
8 out general principles and a framework around how to
9 approach individual commodities and some of the key
10 questions that need to be asked about their
11 characteristics, about the way they're transported or
12 stored, so that we can provide advice, both to
13 ourselves and to others, about how to go out and
14 develop a safety-based standard and be able to come out
15 with the right answer in terms of the procedure they
16 need to have.

17 MR. GARRETT: Madam Chair?

18 DR. WACHSMUTH: Spencer?

19 MR. GARRETT: Thank you. Is the mike on? I
20 can't tell.

21 I certainly agree with what Bob Buchanan's
22 indicated, and I would presume from your answer, Bob,
23 then that perhaps what Dr. Jahncke has indicated would
24 be one of the key questions relative to, you know,

1 fishery problems.

2 DR. BUCHANAN: Certainly, I think one of the
3 things that, you know, I will be challenging the
4 working group to during the process is to pick a couple
5 of commodities and put those commodities aside, develop
6 your principles, and then go try your framework out and
7 see if you come up with -- see if the framework you've
8 developed then allows you to answer or to pose and then
9 answer all the correct questions for those commodities
10 and that's a way of validating the framework you have,
11 and so if you feel that there's certain seafood
12 commodities that represent a unique challenge or one
13 that we ought to bring up, I'd certainly encourage the
14 working group to identify those.

15 DR. WACHSMUTH: Okay. Are there any -- oh.

16 DR. DONNELLY: Bob, I had a question from
17 your presentation. It seemed like the focus of the
18 Committee's work was going to be on products that had a
19 sell-by date or some kind of labeling, yet when you
20 look at the Listeria Risk Assessment, there are many
21 products produced in retail food establishments, like
22 deli salads or deli meats, that aren't going to be
23 packaged with any sell-by or use-by date, and so
24 there's kind of a dichotomy.

1 Are we intentionally going after manufactured
2 foods that would have that sell-by date or is it more
3 broadly inclusive?

4 DR. BUCHANAN: I certainly think that, you
5 know, the principles that we come up with as a result
6 of these deliberations will have an impact beyond just
7 the specific question that we posed to you.

8 You need to start somewhere, and basically
9 the questions that have been addressed to us deal with
10 regulatory issues associated with labeling, and so the
11 approach that we're going to take is deal with those
12 and the knowledge that we gain will probably spill over
13 into other areas.

14 DR. WACHSMUTH: Go ahead, Spencer.

15 MR. GARRETT: Thank you.

16 Just to point out that for some retail
17 establishments that have central kitchens, in fact, do
18 have sell-by dates or use-by dates on them.

19 DR. WACHSMUTH: And those places also
20 purchase items that have sell-by dates.

21 Okay. Are there any public comments then
22 before we move to the next agenda item?

23 (No response)

24 DR. WACHSMUTH: Okay. Good. Glad we didn't

1 mess anyone up by switching the times.

2 What I'd like to do now is ask Michael
3 Jahncke to lead us through the Report from the Codex
4 Subcommittee.

5 Codex Subcommittee Report and Full Committee Discussion

6 DR. JAHNCKE: Thank you, Madam Chair.

7 All of you should have the two documents in
8 your original packet you were provided with the draft
9 of the Codex Committee and Food Hygiene and also the
10 charge to the subcommittee. So, that was in your
11 documents.

12 This morning, you should have received the
13 subcommittee's review on the Discussion Paper on
14 Proposed Draft Guidelines for the Validation of Food
15 Hygiene Control Measures and also a red-lined document
16 of the Codex Committee on Food Hygiene.

17 We met -- our subcommittee met on Monday and
18 also the last couple of days to finalize this. We were
19 given instructions at our subcommittee meeting. Mike
20 Weir, one of the U.S. delegation members of the U.S.
21 Codex Group, met and gave us an overview of the purpose
22 of this document, and we were given the instructions
23 that what they wanted at this point was for the
24 subcommittee and then followed by full discussions by

1 the entire Committee to give some guidance to this
2 document, to give some suggestions to it.

3 It is a long way from being a final document.

4 It's, as I believe, going into Step 3, and there's a
5 long process in Codex from there. As we were told,
6 what the U.S. delegation was looking for at this point
7 is to provide -- to go over this document, provide
8 suggestions and guidance of how it could be improved,
9 where it could be changed, how it could be expanded,
10 what could be added to it, subtracted to it, and they
11 will take these suggestions over the next few months
12 and rewrite this document.

13 This will be sent out to their draft country
14 partners for further revisions, and then, in October,
15 this will be brought up on Level 3 at the Codex Food
16 Hygiene Meeting that will be held here in Washington in
17 October.

18 So, with that in mind, keep in mind, also,
19 for those of you that are not that familiar with Codex,
20 there are some very unique terms that are specific to
21 Codex that are found in the document. Our
22 subcommittee, when we went through this, we had these
23 discussions because there are some very specific terms
24 to Codex that have very unique meanings.

1 As we went through this document, we were
2 charged -- there were five questions that were
3 presented to the subcommittee, and there were several
4 parts to each of the five questions. As we went
5 through the questions, we also realized that to really
6 do justice to this, not only did we have to answer the
7 questions, we had to make some changes and
8 modifications to the document, basically some
9 strikethroughs and some moving of sections, so it
10 flowed a little bit better, and suggestions for how to
11 better present the document, so it's a little more
12 understandable.

13 So, we went through and did a red line on
14 this, and what I'm going to do is walk us through our
15 questions that we answered and then related to this
16 document.

17 We noticed, and we recognized the document
18 like this. Keep in mind, the purpose of a document
19 like this that's going to be presented to, I think, in
20 the Codex Food Hygiene Group, there's approximately a
21 162 countries, and these serve as general guidance
22 documents to these countries, gives them something to
23 develop and implement in their countries as general
24 guidelines.

1 As we were looking at this document in the
2 first read-through, we also were very cognizant of the
3 fact that although HACCP is not explicitly specific in
4 this document, it is mentioned right at the end of the
5 document. There are HACCP-like terms scattered
6 throughout this document, and with that, as we went
7 through the questions, one of the first things as we
8 did a general read-through this, we as a subcommittee
9 realized that what would be helpful for this document
10 is to have a scope or a purpose inserted at the front
11 of the document, and we added some verbiage in there.

12 Again, we were instructed not to write this
13 document but just to give some examples and guidelines,
14 so the authors of the document can take this and
15 redraft it. But we did feel that a scope section needs
16 to be added to the front of it, and the scope needs to
17 clearly address and differentiate between validation
18 activities and verification activities and delineate
19 differences between processing plant production
20 procedures, such as thermal processes, chemical
21 controls, which can be validated, versus employee
22 behavior practices, good hygienic practices, which are
23 difficult to validate but should be verified.

24 We felt that this document should in ways

1 elaborate and address food control measures that are
2 under a company's direct control versus those, such as
3 retail food service, consumer handling, storage, etc.,
4 that are beyond a company's direct control.

5 The reason we did this, because as we looked
6 through the original document, in sections, there were
7 some mixes and matches of different ideas. There were
8 some things that were put in and proposed as validation
9 activities, but as we reviewed it, they appeared to be
10 more verification activities. So, we felt that in the
11 beginning of the scope to put all of this into context
12 would help future readers of this document be able to
13 follow it and make it more useful.

14 We also recommended, since again this
15 document is not based on HACCP, but there are a lot of
16 HACCP-like statements and words that are in this
17 document, and at the end of the document, there was
18 four or five lines that did specifically address HACCP,
19 and we felt that that needed to be moved into the
20 scope, perhaps be expanded upon.

21 We also felt that what would be helpful in
22 this document, at least as we looked at it from the
23 subcommittee perspective, that a glossary of terms
24 needed to be put up front. Now, there are definitions,

1 there are terms defined in this document on Pages 2 and
2 the top part of 3. We felt that a glossary of terms,
3 defining things, such as food hygiene, validation,
4 verification, process variability, uncertainties,
5 should be put right up front, right underneath the
6 scope, again to help set the stage for the document and
7 allow the reader to have a very good solid
8 understanding of what they're going to be expecting as
9 they go through this document. So, that was our
10 overall thought process on this.

11 Then, as we looked up the questions, there
12 were a series of five questions, and each of the
13 questions had three parts. Some had two. On Question
14 1, the question, there were three parts to it. The
15 Question 1 related or was specific to the sections in
16 this Codex document. Question 1 directed the question
17 to prerequisites to validation, which is located on
18 Page 3 of the Codex document.

19 The reason I'm giving you that page is
20 because if you page through this, unless you have a
21 reference to what the question is relating, it's very
22 difficult to follow. So, it relates to the
23 prerequisites of validation, and the question was --
24 there were three prerequisites that were put into this

1 document, and the first question was, are the stated
2 prerequisites necessary, and as a subcommittee, we
3 decided yes, all three stated prerequisites are
4 necessary but with some modifications, and if you take
5 a look on Page 3, what we did on the first prerequisite
6 was modify that statement to include the words
7 "evaluate the reasonable likelihood of occurrence and
8 the potential impact to the consumer."

9 So, that statement reads, "Identify specific
10 hazards to be controlled, evaluate the reasonable
11 likelihood of occurrence and the potential impact to
12 the consumer. These hazards include microbial,
13 chemical and/or physical hazards."

14 Then what we recommended was that the next
15 two prerequisites be switched in order. It just flowed
16 a little bit -- we thought it would flow a little bit
17 better to have the identification of the food hygiene
18 control measures followed by establishment of
19 performance criteria for processes.

20 The second part of the Question 1 that we
21 were asked, are there other prerequisites that are
22 critical but have not been adequately identified? We
23 as a subcommittee were not able to identify others.
24 Now, you, as a full Committee, you may have some

1 additional suggestions, and in the discussion part of
2 this, I would encourage all of you to provide some
3 guidance on that.

4 Another part of the question was, do all
5 these prerequisites have the same degree of importance,
6 and looking at the three that were stated, the
7 subcommittee decided no, that Number 1 was the most
8 important because if you determine, as these activities
9 are conducted, that there are no identified specific
10 hazards to be controlled, then Numbers 2 and 3 don't
11 apply.

12 Although we do indicate as a guidance that
13 even if they don't apply, the general principles of
14 food hygiene practices still apply, even if no specific
15 hazard is identified, but we point out in here that
16 those types of activities are very difficult to
17 validate. You can verify those activities but not
18 necessarily validate them. So, we pointed that out and
19 that needs to be identified in the document. But we
20 also indicate that if there are specific hazards, the
21 control measures must be validated.

22 As we've paged through the document, the next
23 part of Page 3 was not related to any of the questions,
24 but we took a look at this, and there's a segment here

1 that talks about nature of control measures, and
2 they've broken it up into three sections, Controlling
3 the Initial Levels of a Hazard, Acquiring
4 Documentation, Testing to Specifications.

5 On Page 4, we have a subheading on Preventing
6 Unacceptable Increase of a Hazard, and Reducing the
7 Level of the Hazard. We added in a fourth item that we
8 felt they ought to include and consider, a subheading
9 of Education and Training, and we recommend that the
10 draft -- the people drafting this add some initial
11 bullets recommending education and training for plant
12 employees and management.

13 The next question that the subcommittee
14 addressed relates to the Approaches to Validation on
15 Page 4, and we were asked the question, have the
16 scientific basis for the approaches to validations been
17 adequately justified?

18 There were three items in there, and as we
19 looked at these, again it gets back to, as I said
20 earlier, in this document, there are items put in here
21 that were alluded to being -- that you could validate.

22 We were looking at some of these activities more being
23 verification methodologies than validation
24 methodologies.

1 Looking at the three statements, we
2 determined that only as written, the Approach Number 1
3 is a scientifically-based validation activity. Numbers
4 2 and 3, which are located on Page 5, we have a strike-
5 out on those. These are -- we recognize that the
6 concepts in those two are important, but those are
7 really verification measures and not validation
8 procedures. But they can provide useful data for
9 validation purposes.

10 So, we recommended that Items 2 and 3 remain
11 part of the document but maybe put into an annex, to
12 pull it out of here, so it's not confusing. This
13 document's supposed to be dealing with validation.
14 Pull that out of here, put it into an annex, expand
15 upon it a bit, give some examples of how -- what
16 they're looking -- how this can be done and how this
17 can be used to support validation activities.

18 We were also -- another question was, are
19 these approaches sufficient to promote validation of
20 food hygiene control measures, and again we indicate
21 that Number 1, yes, with some modifications.

22 If you look on Page 4, under Item 1, on the
23 approaches, we did some minor editorial. We struck
24 through peer-reviewed and felt that scientifically-

1 valid experimental trials were a little more clear. We
2 also recommend that incorporated into that Item 1, that
3 the data collected for that validation studies can come
4 from other places besides experimental trials.

5 There are other sources. There's scientific
6 literature that can be used. Government regulations or
7 action levels that have to be observed. Equipment
8 manufacturer specifications on their equipment.

9 We also feel that additional explanations
10 need to be put in here to indicate that any control
11 measures that are put in place and validations that are
12 done are really plant-specific and need to be validated
13 on a plant-by-plant basis and that it may even include
14 doing some scale-up trials.

15 Question 3, we really answered Question 3.
16 It was what elements should be further elaborated? We
17 answered Question 3 as we addressed Question 2 and the
18 response to Question 2, basically saying that Items 2
19 and 3 are important but really are verification
20 measures. That needs to be moved into the annex or it
21 needs to be further developed with some examples and
22 also add additional information to Item 1 that's
23 indicating there are other sources of data for the
24 validation purposes.

1 Question Number 4, are the factors being
2 considered in validation complete? That refers to on
3 Page 5 the section which was previously labeled
4 "Factors to Consider and Limitations of Validation".
5 The question was, are factors to be considered in
6 validation complete, and as our subcommittee looked at
7 the document, we said no, that the information in this
8 section needed to be revised and refer to this Codex
9 document.

10 What we did with this, we had trouble
11 understanding or trying to put a handle on what they
12 meant by "factors". So, what we did, we struck that
13 and suggested a new title being Limitations of
14 Validation. We had a section, as you see, underneath
15 there. There's an underlined area. Those words were
16 at the end of this particular section. We felt that
17 was important to move to the beginning of this to sort
18 of lay the groundwork for this particular section.

19 As you can see, we went through and made some
20 editorial suggestions and some wording suggestions for
21 each of these sections. There are various subheadings
22 in this particular area. In fact, this area probably -
23 - this area took us the most time to sort through.

24 Our goal on this was to try and keep the

1 flavor of the document, and we may have gone through
2 and struck out more than is necessary, but the people
3 on the Codex Committee can certainly go back and reuse
4 the words that are in there. So, we tried to be
5 concise. We also tried to make sure that everything
6 focused on validation.

7 The title of the Constancy of Control Measures, we did
8 some strikethroughs, but the message we wanted to get
9 across was the constancy of control measures varies by
10 method. The greater the number of control measures
11 that require validation, the greater the potential for
12 variability in the validation process of the final
13 product.

14 We changed -- turn over to Page 6. We did
15 additional strike-throughs on all of these, some of
16 this just for readability, again just trying to ensure
17 that the new readers of this document pick up the main
18 thrust in an easy way.

19 We did some strike-throughs, and we have the
20 next section as Process Variability, and variability
21 that occurs in each step of a food-processing operation
22 and must be considered when conducting a validation
23 study. Variability occurs in each step of the food-
24 processing operation and must be considered when

1 conducting a validation activity or validation study.

2 There may be a few on here that should be
3 struck through that weren't and that is just due to the
4 operator of the Compare Software Program which is
5 myself. As you go through, it's not a zero defect
6 program, as Spencer's so found of saying, and the next
7 section, they have Limitations.

8 We felt that -- again, we had a problem
9 putting a handle around that, and plus the fact we
10 changed the title of this section Limitations. So, we
11 struck that and simply say "sampling plans and
12 analytical test methods" and rewrote the sentence that
13 was in there, we thought, was a little more clearer,
14 indicating the reliability of analytical testing is
15 directly related to the precision parameters of the
16 analytical methodology used and the statistical
17 sampling plans employed.

18 The next section, we did some strike-
19 throughs, changed the subheading to being Necessary
20 Extended Validation, trying to keep the flavor and
21 again trying to focus on things that can be validated
22 and that was our goal of the subcommittee, since this
23 document is specific for validation.

24 The extended validation required would be a

1 function of how well the science is established and the
2 parameters affecting the process are known. For
3 procedures with a single control measure that are well-
4 established and utilized, such as the pasteurization of
5 milk, the process has become so standard, that approval
6 of parameter changes can be given by consulting a time-
7 temperature chart. Novel processes with multiple
8 control measures, for example, potato salad, will
9 require far greater resources for validation.

10 The next section that dealt with Maintenance
11 of Control Measures, I'll just read through it. We did
12 a lot of strike-through here. In certain cases, it may
13 be important that control measures that lie beyond the
14 responsibility of the producer or processor be
15 validated. For example, cold chain distribution of
16 ready-to-eat foods.

17 The key point in this regard is that the
18 safety of the product is maintained. As noted above,
19 adequate additional control measures may require the
20 use of other safety margins and/or verification
21 activities applied elsewhere in the food chain which
22 are beyond the processor's control in order to provide
23 consumer protection. These additional control measures
24 should be validated where necessary.

1 The last or the next-to-last section of this
2 was on Resource Constraints, basically indicating that
3 validation activities are often resource-intensive, and
4 areas as product sampling, analytical testing requires
5 significant resources, particularly when applied in an
6 appropriate statistical fashion. The extent to which
7 activities can be undertaken will place limits on
8 ability to validate food hygiene control measures.

9 Turning over to Page 7, we indicated that
10 this section here, that wording there was moved to the
11 beginning of that section. We felt that it set the
12 tone for that a little bit better.

13 We could not -- another part of Question 4
14 was, are there additional factors that should be
15 considered, and again the subcommittee could not
16 identify any others, and part of that question was, do
17 all the factors have the same degree of importance?
18 The factors or limitations, as we suggested, are -- we
19 felt were all interlinked, and it wasn't really
20 possible to rank any of these limitations by degree of
21 importance. All of these must be considered important,
22 and our subcommittee could not separate any of them
23 and/or rank them by priority.

24 The last question about this document was, is

1 the information that was presented in the original
2 Codex document, when validation or revalidation is
3 needed, sufficient and reasonable in relation to the
4 goals of being protective of public health, fostering
5 scientifically-based food safety systems and developing
6 practical advice and validation or control measures.

7 We indicated yes, but again with
8 modifications. The modifications we suggested, again
9 for readability and easier understanding, this is on
10 Page 7 of the document, we changed the title a little
11 bit to add in to which validation/revalidation is
12 needed, when is validation/revalidation required.

13 If you look down in the document, there's
14 some subheadings. The original subheading was Level of
15 Risk. We felt that level and severity of risk added --
16 was important to add in there.

17 The last paragraph, we separated out a little
18 bit. It was all under Historical Experience. We kept
19 that in and suggested the following wording. If little
20 or no experience exists with respect to the control of
21 a hazard, validation of control measures to control the
22 hazard must be undertaken. Care is needed, however, to
23 avoid assuming that a food production or processing
24 system is safe based solely on historical experience.

1 Then, to bring out the fact that this is not
2 only a section on validation but on times when it has
3 to be -- when the system has to be revalidated, we
4 opened -- we put a new subheading in of "Process
5 Innovations", using most of the words that were already
6 in the document, indicating that the addition of new
7 technology creates new systems. Minor changes may also
8 result in a new system, multiple minor changes will
9 certainly result in a new system and requires
10 revalidation. Also, new data, such as new clinical
11 information, new detection methodology, may indicate
12 that the previously-used food hygiene control measures
13 were less effective than previously thought, and
14 require revalidation of the system.

15 Any processing, packaging, distribution or
16 marketing innovations or scientific data indicating the
17 emergence of new pathogens, etc., will require
18 revalidation of the system.

19 The last segment of the original document was
20 on "Focused Validation", and we had as a subcommittee a
21 difficult time trying to follow what the authors of the
22 document meant to say in that section.

23 We recommended that that section requires a
24 rewrite because as we read it, at least as some of us

1 read it, it seemed to imply to us that if resources are
2 limited, validation is not necessary, and our
3 subcommittee disagrees with that particular
4 implication. I don't think that's what the authors
5 meant but that's how it came across in the write-up.

6 If you turn over to Page 8, that's the
7 section that did address HACCP specifically, HACCP
8 Validation. That information, those four lines, were
9 moved into the scope of the document, again to set the
10 stage for what's going to be incorporated in this
11 document.

12 With that, that's basically a walk-through of
13 what we did. Again, keeping in mind, this document is
14 a guidance document, and our charge was not to rewrite
15 the document but to go through it, offer suggestions
16 and guidelines, so the authors of this document can
17 take the suggestions, use that to rewrite the document
18 and keep it focused entirely in the area of validation,
19 and anything that alludes to the verification, more
20 important, moved out of the main text of the document
21 into an annex, would -- certainly could be fully --
22 more fully developed.

23 And with that, Madam Chair, that's the Report
24 of Codex Subcommittee.

1 DR. WACHSMUTH: Very nice, very thorough job.

2 As Mike said, I just re-emphasize, this will
3 be -- there will be a lot of other inputs into this
4 document before it becomes final. So, I don't know
5 that we have to wordsmith to the nth degree, but
6 certainly any concepts or other people have different
7 ideas about the approach the subcommittee had?

8 Bill?

9 DR. SPERBER: Yeah. Mike, on the bottom of
10 the second page of your notes, the alternative
11 approaches to validation, --

12 DR. JAHNCKE: In the notes? Okay.

13 DR. SPERBER: -- you're talking there about
14 additional sources of information that could be used
15 for validation. The scientific trials regulations,
16 etc.

17 DR. JAHNCKE: Hm-hmm.

18 DR. SPERBER: I don't see that that's been
19 carried over into the document.

20 DR. JAHNCKE: I noticed that, too, just
21 before this happened. I can -- I will --

22 DR. SPERBER: So, you're going to do that?

23 DR. JAHNCKE: -- move that over, yes.

24 DR. SPERBER: Good.

1 DR. JAHNCKE: I noticed that, also.

2 DR. WACHSMUTH: Spencer, and then Dane.

3 MR. GARRETT: Yeah. Thank you, ma'am.

4 I have about three very quick comments. One,
5 this is a very important document that a lot of things
6 are waiting on. For example, I attended last week the
7 Executive Board of the ISSC, and I'm in charge of
8 heading up the group that's putting together the
9 validation procedures for post-harvest treatment of
10 molluscan shellfish to reduce *Vibrio vulnificus* to non-
11 detectable levels.

12 In that, in working with our colleagues in
13 FDA, we intend to again eclectically cherry-pick out of
14 here what, in terms of the laboratory validation
15 procedures and other commonly-accepted and understood
16 laboratory validation procedures are, relative to
17 reducing pathogens to non-detectable levels.

18 So, again I can't emphasize this is a very
19 important document, and there are other things even
20 within Codex, I think, that are kind of waiting for
21 this.

22 Secondly, and I know we're not supposed to
23 rewrite the document, and I certainly applaud that.
24 Secondly, though, I would think for our drafting

1 partners, these definitions are very important under
2 the glossary of terms. So, I would suggest that we do
3 in fact provide our drafting partners our own
4 definitions first because I know how this works, and
5 they very likely would carry through the document.

6 Then finally, the only other comment that I
7 would have would be on Page 6, where you're talking
8 about Limitations of Sampling Plans and Analytical Test
9 Methodologies, I do think that you need to indicate in
10 there that when sampling plans are used, those
11 samplings should be not only stated but they also
12 should -- their performance characteristics should also
13 be included and referenced with the sampling plans, and
14 you'll find that in other Codex documents, for example.

15 Thank you.

16 DR. WACHSMUTH: Okay. Dane?

17 MR. BERNARD: Thank you, Madam Chair.

18 Just as an overall comment, thanks, Mike.

19 Good report, good job.

20 One of the things, though, that I think we
21 should consider, and I apologize for not having had the
22 time to read through this thoroughly, but as you note,
23 there are many HACCP terms in here, and we should avoid
24 using any language in here that modifies those HACCP

1 terms beyond what the Codex guidelines on HACCP already
2 say.

3 Again, I don't know specifically, and I might
4 even be incorrect here, but let's look at Page 3, Item
5 1, which you modified a bit. Basically, what we're
6 talking about here is doing a hazard analysis. What
7 you've talked about here are the elements of a hazard
8 analysis, and I don't think we want to redo hazard
9 analysis description in the document.

10 Maybe the best solution as you have modified
11 on Page 1, you've moved the reference to HACCP up
12 front, and to maybe further modify that paragraph where
13 you've moved HACCP up front and reference the fact that
14 the HACCP plan during its development includes a hazard
15 analysis which should serve as the identification of
16 those hazards needing validation and let it go at that.
17 That references it back to the Codex HACCP document.

18 Any time we come across those HACCP terms
19 that we need to address in the validation document, it
20 should reference back to the HACCP guidance rather than
21 elaborate further in this particular document, if that
22 makes sense.

23 Also, on Page 5, you talked about
24 limitations. We get into a discussion of uncertainties

1 and how they should be considered as we establish
2 performance criteria. I'm not sure that is where this
3 needs to be in the document.

4 Performance criteria should come well before
5 this document. I think we should limit the document to
6 a discussion of validation of identified hazards within
7 a HACCP context and limit it to that scope.

8 Thanks.

9 DR. JAHNCKE: Thank you, Dane. Good points.

10 I mean, these are all things that, as we were
11 looking at it, we also discussed and struggled with and
12 tried to come to some type of a consensus about how to
13 deal with it.

14 Thank you.

15 DR. WACHSMUTH: Okay. Bruce?

16 DR. TOMPKIN: Thank you. This is Bruce
17 Tompkin.

18 On Page 2, this concept and definition of
19 validation is important. However, I think that the
20 last -- the bottom paragraph in particular deals with
21 the ALOP, the FSO, and the performance criterion are
22 important because they define what constitutes a
23 validated process or process step and perhaps that
24 should be highlighted or have its own section.

1 You've got to know where you're going or what
2 must be -- you're trying to validate a process as being
3 acceptable, but what is acceptable, whether it's in
4 terms of achieving an ALOP or an FSO or performance
5 criterion. I think that could be highlighted somehow.

6 I think that that whole idea of the FSO and
7 the performance criterion from a Codex standpoint's
8 going to have to evolve eventually.

9 On Page 4, there's actually 3 and 4. There
10 are three factors influencing the level of a hazard.
11 It's the initial number or whatever, and then how you
12 can prevent an increase, and then how you can reduce
13 it, and there are many ways that influence -- many
14 factors that influence those three. They're the three
15 basic ones, and yes, education and training is just one
16 aspect that can influence the number or the presence of
17 a hazard, but I think that that one should come out and
18 be deleted.

19 I know it was inserted. I feel that the
20 three alone are the basic core factors, and then on
21 Page 5, this Item Number 2 up at the very top,
22 Collection of Microbiological and all this data, and
23 that was to be placed in an appendix or some place, an
24 annex.

1 Actually, that information that's generated
2 through surveys of in-plant processes determines the
3 initial number, and you can't validate a process unless
4 you actually understand the level of the hazard to
5 begin with, and so I feel it should be an integral part
6 of this document as a basic requirement for validation.

7 Let's see. I think that was it. Thanks.

8 DR. WACHSMUTH: Okay. Bob's next.

9 DR. BUCHANAN: First, I'd like to thank the
10 subcommittee for addressing in such a timely manner
11 this document and providing the detail that they did
12 and also quickly learning Codexese and addressing the
13 document in terms of the language that is used by the
14 Codex Committee.

15 I also want to emphasize the importance of
16 this review. Certainly because of the importance of
17 this document, it's basically holding up several other
18 projects in Codex.

19 We are -- while this is at Step 3, this is
20 one of the documents that's considered for fast-
21 tracking. So, it may actually go much quicker than one
22 would normally think of a Codex document.

23 I would like to focus a couple of questions
24 on this, so that the delegation can use it effectively

1 and understand your concerns. In particular, I'd like
2 to turn to Page 5 and the follow-up question somewhat
3 what Bruce had asked, and it had to do with the
4 collection of microbiological, chemical and physical
5 data; that is, basically you run a plant for awhile to
6 determine what your in-plant process capabilities are,
7 and this is normally what we would consider in process
8 control a process capability study.

9 I also ask, in classifying these is
10 verification attributes, did you keep in mind that
11 typically, the arena we're playing with here is the
12 introduction of food in international trade? So,
13 particularly with the item, statistically-designed
14 surveys, often what we will have is a historically-
15 regional product that has been produced and consumed
16 for a long time in one region of the world has now been
17 introduced into international trade, and the countries
18 in so doing and validating this process that makes this
19 food and introduces it will draw on a long historical
20 record of the safe use of this food.

21 In this current way, while it is actually
22 verification data or data that's been acquired on
23 products, it's being used in conjunction with a
24 validation process that is introducing it from Country

1 A into Country B, and with that type of interpretation,
2 would you still consider that that is a verification
3 tool and not a validation tool?

4 DR. JAHNCKE: That helps a lot on helping to
5 clarify the approach on this, because when we first
6 looked at this, our first blush at this and
7 discussions, for instance, if you read through on Item
8 2, talking about intermediate and finished product
9 sampling and testing.

10 We looked at that as a way of verifying that
11 the system was working properly and that was our
12 interpretation, and 2 and 3, we felt, went together,
13 and so we looked at it. Your explanation now, at least
14 in my opinion, helps to put it in a little bit
15 different context, but when we first looked at it cold,
16 at least our read on it, maybe some of the examples and
17 how it's worded in there need to be modified a bit to
18 give -- to emphasize that, because as we read through
19 it, it appeared to be that this was primarily
20 verification activities, and we thought that on a
21 validation document, it added confusion.

22 But I think with some additional verbiage and
23 some changes, at least in my opinion, and certainly the
24 rest of the subcommittee can chime in, probably would

1 be able to stay there with some additional explanation.

2 DR. WACHSMUTH: Does anyone want to address
3 that specifically? Okay. John, and then Spencer, and
4 then I'll get back to the -- I have a list of flags.

5 DR. LUCHANSKY: Yeah. Obviously we -- John
6 Luchansky.

7 We struggled with that for quite awhile, and
8 I think in terms of establishing a glossary, you know,
9 might be a way of clearly delineating the concepts of
10 verification, validation and -- but taken strictly in
11 terms of what in my mind constitutes a validation
12 versus a verification, without the luxury of having
13 verbiage to elaborate and give specific examples, I
14 think if the purpose of this document is on validation,
15 again I would go with the more strict definition of
16 that, and by that argument, this still in my mind seems
17 to be verification, although helpful up front, like
18 Bruce said, in the collection of that information. It
19 all depends what the respective Codex Committee would
20 view as their interpretation of validation.

21 DR. WACHSMUTH: Okay. Spencer, and then I
22 think Bill, on this point as well.

23 MR. GARRETT: Thank you.

24 DR. WACHSMUTH: Okay.

1 MR. GARRETT: The last to Bob's comments and
2 then the latter comment goes straight to the point that
3 I was saying, that I think that in the glossary itself,
4 we need to begin to put in our own definitions or
5 explanations of what we mean by these terms.

6 When we're validating a thermal process or a
7 process to reduce bacteria that has some physical
8 dimensions and so forth, that's one thing, but when
9 essentially you're trying to do a conformance
10 assessment, and I like to use phrases like that,
11 conformance assessment of a food control system, and
12 there are certain auditing terms one might want to use
13 or concepts, all of the concepts one might like to use.

14 So, a laboratory validation scenario is one
15 thing, but then a conformance assessment-type
16 verification or validation of a conformance assessment
17 verification is something other. So, it's just
18 something to think about because this is food and
19 international trade.

20 Thank you.

21 DR. SPERBER: Yes. Bill Sperber.

22 In our subcommittee deliberations, I felt
23 that we were trying to work with at least one hand tied
24 behind our back because the scope of this document is

1 proposed guidelines for the validation of food hygiene
2 control measures, and right there, we get into a
3 problem with definitions between what food hygiene
4 means to the rest of the world and what food safety
5 control means in the United States, and we concluded on
6 our subcommittee that food hygiene encompassed loosely
7 what we would call HACCP and GMPs in the United States.

8 Where our hand got tied behind our back was
9 that we were told at the outset that in this document,
10 we really could not address HACCP for some political
11 considerations with other countries, maybe it was
12 lesser-developed countries, and we couldn't understand
13 that because without being able to refer to HACCP and
14 critical control points and critical limits, you really
15 can't get into validation.

16 Almost everything that we validate in a food
17 safety system are critical limits at a critical control
18 point in a HACCP system. Almost everything we do with
19 GMPs is really a verification-type activity, and those
20 things can't be validated.

21 Employee practices, for example, personal
22 hygiene, how do you validate the effectiveness of hand-
23 washing, of wearing a clean uniform, of wearing a
24 hairnet? Some of the activities mentioned in here.

1 Inspection activities. How do you validate those?
2 Those in themselves are verification activities.

3 So, we, in our very limited time, only one
4 day, we tried to push this document into the direction
5 of including HACCP. This Committee itself is bedrock
6 HACCP. We've been pushing that for over 12 years. So,
7 I don't know how we can put forth an international
8 document that is supposedly guidelines for validation
9 of food hygiene control measures without addressing
10 HACCP square on, particularly since Codex themselves
11 have published a HACCP document in complete harmony
12 with our own.

13 DR. WACHSMUTH: Okay. I think that this is
14 dangerous, if you will, when any of the sponsoring
15 agencies would bring a topic to the Advisory Committee.
16 You're going to get their advice, and you're going to
17 have to deal with that.

18 Katie's been up for quite awhile. Is it
19 related to this, Katie?

20 DR. SWANSON: No, it's not related to this.

21 DR. WACHSMUTH: Okay. You want to address
22 this, John?

23 DR. KVENBERG: Yes, thank you. John
24 Kvenberg.

1 Yeah. My placard went up and down because
2 Bill covered a point that I wanted to get into. I just
3 would like to add a little bit to the remarks.

4 This is a tough thing to do, but basically in
5 the context of the international arena, just to
6 elaborate a little further to what Mike briefed us on,
7 the drafting partners we've been dealing with, I think,
8 are fully on board with the entire concept of HACCP.
9 It's France. It was International Dairy Federation.

10 The concern is in the larger context of
11 making the whole concept of validation move forward,
12 the game seems to be, is to be able to get this concept
13 moved forward and consensus agreed, so we were
14 struggling with utilizing the terms under the context
15 of conducting a hazard analysis, regardless if it's
16 HACCP or not. That seemed to be the sensitivity.

17 So, that was at least driving some of us
18 within the working group as we were trying to grope
19 with making it HACCP but not calling it as such in
20 order to aid acceptance of the document that was being
21 drafted. Is that fair? That's basically what we were
22 asked to do. So, that was said but not put into the
23 actual charge.

24 Thank you.

1 DR. WACHSMUTH: Okay. Bob?

2 DR. BUCHANAN: Just to respond to all of you,
3 really what we're looking for, the delegation's looking
4 for is making sure that the science and the issues
5 being addressed are appropriate. Don't worry about the
6 political spin or the political limitations or how we
7 have to get that through the Codex process. We'll take
8 care of that and try and make sure that everything is
9 there, but we're really looking here for the core
10 science that's underlying the validation process.

11 So, again, Codex has a strong foundation in
12 HACCP. It's a strong believer in HACCP, but on the
13 other hand, we have issues associated with language and
14 developing countries, and there are issues like this
15 that we look for a consensus more than laying down a
16 bright shiny line.

17 DR. WACHSMUTH: Okay. I think one thing
18 that's important in the context of this meeting,
19 though, is that all of the members would understand and
20 agree with what the subcommittee did. So, if we could
21 address that at the same time that you're commenting.

22 What I'm hearing right now is you can leave
23 these comments the way they are, and the delegation can
24 deal with harmonizing with the international

1 constraints, if everyone's in agreement with the
2 approach.

3 Spencer?

4 MR. GARRETT: Thank you, Madam Chair.

5 Just two points very quickly. First of all,
6 I agree with everything Bob said, and secondly,
7 relative to what food hygiene means, you know, like
8 comparing what we generally consider it to be in this
9 country versus in the Codex lexicon, food hygiene in
10 the Codex lexicon, as you know and many know, is far
11 beyond just sanitation and so forth. It includes all,
12 you know, food safety issues as well. So, there is a
13 little bit of disparity of what we understand that to
14 mean.

15 Thank you.

16 And my suggestion would be, quite frankly, I
17 think that we've gotten quite a few very good comments
18 on how to deal with this document and realizing the
19 subcommittee only had one day, if the sponsoring agency
20 could just take these -- leave the document as it is
21 and take the referenced comments that have been made
22 here this afternoon and incorporate them in their
23 considerations on how the U.S. should modify the
24 document, and I think that would certainly suffice.

1 DR. WACHSMUTH: Okay. I think that's a good
2 suggestion. We just need to make sure that everyone's
3 in agreement on the basics because this is not going to
4 be a formal document that's going to go further in the
5 process.

6 So, I'll get back to the order that I have
7 the flags now. That'll be Katie.

8 DR. SWANSON: Okay. Thank you.

9 On Page Number 7, the section related to
10 Historical Evidence, I certainly agree with your
11 addition of the decision should not be safe based
12 solely on historical experience. However, the strike-
13 out that you have, I believe, went a little too far
14 because you do need, as Bob pointed out, to consider
15 historical evidence for certain products.

16 Many times, as scientists, we don't want to
17 rely on that. We want to rely solely on data, but
18 there are products out there that we ignore that have
19 extensive historical experience, an example being
20 Wonder Bread. There is really no need for extensive
21 validation studies to document the safety of a product
22 such as that, if you're not doing something drastically
23 different to how it was handled in the past.

24 By the strike-outs that you have, you seem to

1 have removed everything in here that suggested that
2 sometimes you don't need to do extensive validations.

3 DR. WACHSMUTH: Okay. Let's address that.
4 Mike?

5 DR. JAHNCKE: I think you're right, and I
6 think part of the strike-outs was due to the operation
7 of the -- trying to get back to some of our words, back
8 to some of the computer operations. I'd have to go
9 back to look at some of our original notes on that.

10 I mean, in our discussions, we did recognize
11 that prior history is a way -- can be -- is very
12 useful, --

13 DR. SWANSON: Yes.

14 DR. JAHNCKE: -- and it was not our intent to
15 strike all of that out.

16 DR. SWANSON: Okay.

17 DR. JAHNCKE: But we did want to emphasize
18 that even if you have prior history, you still have to
19 be -- that is still not foolproof, and you still have
20 to be careful.

21 DR. SWANSON: Yes.

22 DR. JAHNCKE: But it was not our intent to
23 completely strike out that. If that's how it appears,
24 it's not our intent to completely strike out that

1 concept.

2 DR. SWANSON: Okay.

3 DR. WACHSMUTH: Okay. Anna?

4 DR. LAMMERDING: Thank you.

5 I just want to reiterate, also, that I agree
6 totally with what Bruce Tompkin said, that I think part
7 of validation is actually what happens in your own
8 operation.

9 The second comment is to do with semantics.
10 On Page 7, Level and Severity of Risk. These are
11 really concepts that are embodied underneath the
12 terminology of risk. Severity of human health impacts
13 is a part of how you define risk, and the high
14 potential for adverse health effect is a matter of --
15 the likelihood that something's going to happen, go
16 wrong, and how bad is it going to be if it does go
17 wrong?

18 So, that's only like the wording, and again
19 it's within the Codex umbrella, and the third point I
20 want to -- would like to make is the concepts under
21 "Focused Validation". I would disagree that's -- the
22 way it's written, it implies that if resources are
23 limited, validation is not necessary.

24 From the strike-out material, that's not the

1 impression I got. Rewording may be suitable, but I
2 think it's a valid point to emphasize or reiterate that
3 we really should be focusing on the important aspects
4 of a process and somehow that could be brought into,
5 instead of wasting time on trivial aspects, to
6 underline the fact that we are concerned about
7 important parts of the processing procedures.

8 DR. WACHSMUTH: Okay. Good. Dan?

9 DR. ENGELJOHN: Yes. I think there's a real
10 need to provide -- for this document anyway to provide
11 additional guidance on how to transfer scientific
12 literature to validate a process, and I know you said
13 you were going to add that on Page 4 under Number 1.
14 It was something that didn't get added here, but in
15 that section, halfway down the paragraph, in the
16 sentence that begins, "For certain well-established
17 processes", I would suggest that sentence should be
18 struck.

19 The concept there is mentioned again on Page
20 6 under the Necessary Extent of Validation, and I think
21 it goes to the issue of pasteurizing milk and using a
22 single temperature. I think there's the potential that
23 that sentence on Page 4 could in fact be
24 misinterpreted, particularly by just using a

1 temperature and procedures for which this process is
2 well established.

3 There may need to be a definition then for
4 what well established is. I'm just looking at it as on
5 a first read, it looks like a verification statement.
6 You get back to Page 6, and it explains it further and
7 why it's a validation, and I think it should be struck
8 on this page because it's mentioned again.

9 DR. WACHSMUTH: Okay. Bruce, and then Dane.

10 DR. TOMPKIN: Yes. Well, validation is very
11 important to us domestically as well as for the
12 international community. So, this is the beginning
13 perhaps of something that may be further developed for
14 internal use, domestic use.

15 So, it's well that we do it right, but with
16 regard to definitions, there has been, I understand, as
17 this develops, we go forward with our recommendations.

18 If the next version is going to include definitions,
19 and as we revise it and then submit it, I would
20 encourage that we go with current definitions that are
21 either National Advisory Committee or Codex, preferably
22 Codex, definitions, and that we not create new ones.

23 That's all I had to say about that.

24 DR. WACHSMUTH: As the person who's struggled

1 with the chair of the Hygiene Committee, I think you're
2 absolutely right. Anywhere you've got a precedent
3 that's already been accepted internationally, that
4 would be, of course, your first choice, and then the
5 work that this Committee has done publicly, I think, is
6 a good second.

7 Bob?

8 DR. BUCHANAN: Yeah. Just for those of you
9 that are not familiar with the Codex process, and I'd
10 have to also rely on Kaye and Mike to validate the
11 statement that I'm going to make or possibly verify it,
12 is one of the traditions in putting together Codex
13 documents is that you don't make up new definitions for
14 words that are accepted on the international -- by the
15 international community, and I do not believe that any
16 of the words that are used in this document have not
17 been defined before in Codex documents, and as such, we
18 would typically not repeat them in these documents.

19 It would be accepted. You go. There's a
20 glossary of terms that we use in Codex, and I believe
21 that every one of these words has an official
22 definition that we've used before.

23 Mike, can you verify -- verify would be the
24 appropriate term.

1 DR. WEIR: For those who don't know me, I'm
2 Mike Weir with FDA and the delegate to the Hygiene.

3 I think that's right, Bob, and also, I know
4 we talked early on in the session, the first day, we
5 talked about the International Code of General
6 Practices in Food Hygiene, and it should be clear that
7 we should do that and any other documents that can
8 cross over to provide those definitions, it would be
9 helpful to do that.

10 DR. WACHSMUTH: Okay. Dane?

11 MR. BERNARD: Thank you.

12 A couple of points have come up which kind of
13 bring me back to my initial comment about limiting the
14 scope of the document. We don't want to venture to
15 redefine hazard analysis nor do we want to, I think,
16 try to recreate some perception that we want to redo
17 risk assessments or anything like that in here.

18 We need to limit or I would recommend that we
19 stipulate to the U.S. delegation that we limit the
20 scope of the document merely on how to validate rather
21 than what it is we are to validate. I think we have to
22 go with a presumption that the hazards have been
23 identified either according to a hazard analysis, a
24 risk assessment process or some other process already

1 defined within Codex. Just lead in with that, and then
2 anywhere where we talk about severity and likelihood
3 and those kind of things, we don't need to address in
4 this document.

5 I think the document has done a good job of
6 addressing the whole range of control procedures that
7 may need to be validated, those that are personnel-
8 based and all that. So, I think those are in here, and
9 I think that should be the focus, and we need to limit
10 the scope of the document right up front by saying that
11 the hazards should be appropriately identified using
12 already-accepted methodologies within the Codex
13 framework and then go on with talking strictly about
14 validation.

15 Thanks.

16 DR. WACHSMUTH: Okay. And part of my opening
17 comment was that some of this will just be automatic.
18 I don't think the Committee will allow some new
19 definitions. There's a fallback that some of this will
20 just be automatically corrected, harmonized, if you
21 will, with Codex language.

22 Are you still up, Dan? Oh, I'm sorry. I
23 think the best thing or the thing that I would like to
24 do now is ask Bob or even Mike, if he wants to come to

1 the microphone, and if you have enough to work with the
2 delegation. Is this enough in this discussion?

3 DR. BUCHANAN: Well, as head of the U.S.
4 delegation and also in expressing the appreciation also
5 not of just the U.S. delegation but also all of our
6 drafting partners around the world, and there are a
7 number of countries that are involved in this, I want
8 to express my sincere thanks for a job well done. The
9 points you've brought out, the areas that you need for
10 further explanation or modification are going to be
11 very useful to us.

12 I think you're right on target in terms of
13 providing us the kinds of feedback that we need and the
14 kind of scientific credentialing that we need to fast
15 track this document and to get it forward, and I'd like
16 to thank you for a job well done.

17 We'll be working with your working group to
18 get the last of the information out from you and get it
19 in a formal transmittal form that we can then say that
20 we've been to the Advisory Committee, but I think that
21 you've done a marvelous job, particularly considering
22 the tight time constraints that we put on you in terms
23 of time to sit and cogitate on that, but it was exactly
24 what we needed, and so I again would like to end by

1 thanking you for a job well done on this.

2 DR. WACHSMUTH: I'll take my prerogative as
3 the chair. I've noticed this was a fairly energized
4 discussion. I don't know if you want to wait until
5 after the international document has run its course,
6 but it seems that there's a need for a domestic
7 document, and the willingness of this group to work on
8 it is pretty obvious.

9 Mike?

10 DR. JAHNCKE: Madam Chair, just as point of
11 procedure. What would -- what is the next step for the
12 subcommittee on this particular document? Bob
13 mentioned, you know, resubmission. I just want to get
14 a clarification of what is expected of our
15 subcommittee.

16 DR. WACHSMUTH: I'll let Bob do that, because
17 this was done at the request of the delegation. That's
18 why I asked if he had what he needed.

19 Go ahead.

20 DR. BUCHANAN: What I would like to see is
21 basically the two documents that you provided us, which
22 is the strike-out version with your recommended
23 changes, and then the accompanying text that provides
24 the rationale for those recommended changes and your

1 evaluation of the original four questions that we posed
2 to you in that text would be fine.

3 So, basically, I'd say that you -- other than
4 going possibly back and rethinking about some of the
5 discussion that took place around this room, you're 99
6 percent of the way there in terms of what we need, and
7 then I'd just like to see a cover letter from the
8 Committee transmitting it from the Committee to the
9 U.S. delegation, so that we can use this as part of our
10 documentation.

11 Mike, is there something that I've forgot?

12 DR. WEIR: No, not really. I just wanted to
13 mention that this will be going out to our drafting
14 partners after revision for review and then to country
15 comment considered by the Committee at its next
16 session, and we are at an early stage on this document.

17 I suspect that there will be continued and
18 probably significant effort, and it might be possible
19 or might be likely that we may want to come back and to
20 help clarify. So, we may wish to leave that door open,
21 if possible.

22 DR. WACHSMUTH: Okay. On a procedural note,
23 you'll have to help with this a little bit, I think.
24 It may be more appropriate to have a letter from the

1 subcommittee and then the whole Committee won't have to
2 endorse the letter and the document here. The
3 subcommittee could refer to the discussion that
4 occurred at Plenary. That might keep us from getting
5 into some red tape.

6 DR. BUCHANAN: Whichever is the way that you
7 would like to proceed. However, I would like to
8 request that considering that we have to get country
9 comment, this out to country comments shortly, we'd
10 like to get it within the next four weeks.

11 DR. WACHSMUTH: That's why I think we go with
12 the subcommittee. Okay. Okay.

13 Thank you.

14 That brings us to what would be a break, but
15 I think instead of a break at this moment, we now have
16 the document from the Subcommittee on Performance
17 Standards, and maybe Spencer could take some time to
18 introduce it at this point.

19 How would you like to proceed? It's up to
20 you.

21 Report of the Subcommittee on Microbiological
22 Performance Standards for Meat and Poultry

23 MR. GARRETT: Thank you, Madam Chair.

24 What I would like to do, and I can do this in

1 less than probably five minutes as opposed to last
2 time, and just merely indicate within the document, the
3 nature of the changes that we made essentially were
4 they occurred, and for some of them, what the reasons
5 were, if they're not intuitive. Okay.

6 DR. WACHSMUTH: One thing. When I referred
7 to break, I think what we want is a working break, so
8 that everybody has a chance to read. We won't talk
9 about this until we have a chance to read it.

10 MR. GARRETT: Yeah. Exactly right.

11 The document was passed out. I believe it
12 was during when we came back at 1:00. It's entitled
13 "Chairman's Interim Progress Report: Microbiological
14 Standards for Raw Meat and Poultry Subcommittee", dated
15 January 24th, 2002. It was either passed out or it was
16 on -- I know there are extra copies on the table in the
17 foyer.

18 One of the first changes is we changed the
19 title. So, we no longer -- we now have MPSRMPS. So, I
20 said that's probably the Royal Mounted Police Service,
21 but what we tried to do was to make the document just a
22 little bit more frankly easier to read. We made some
23 formatting changes at the request of the comments that
24 we had just so we could kind of lay out some general

1 principles and then go to some recommendations.

2 Let me say that in our formatting, we're not
3 -- and there will be some editorial -- there should be
4 some further editorial things here, but they're nothing
5 of substance at all, just formatting.

6 But I think we pointed out just some
7 editorial changes on the first page indicating some of
8 its on former standards to verify the adequacy of HACCP
9 systems and that was one of the comments we took from
10 the Committee.

11 We also, on the first page, we indicated we
12 put a heading called "Background", so all of this on
13 the first page, if you would, is background. You'll
14 notice that we did not change the absence of Page 2.
15 There's still no Page 2. Oh, I'm sorry. I better get
16 my glasses on. Just don't let her pick up that water
17 glass.

18 On the top of Page 2, we added an
19 introductory paragraph, if you would, before the four
20 key questions, showing the duality of what's actually
21 being asked us, and it says, "The subcommittee
22 recognized the dual nature of FSIS's charge, which
23 seeks advice from both the general scientific
24 principles for the establishment of performance

1 standards, and the application of those principles to
2 the possible modification to the current Salmonella
3 performance standards for ground meat and poultry. As
4 a means of addressing both needs, the agency
5 representatives and the subcommittee agreed to modify
6 and change the order of the questions" and so forth,
7 and it just goes on.

8 Right after the fourth question, we also put
9 another little transitional -- remember, we said we
10 would put in transitional bridge paragraphs. This is
11 one of those. "The scope of this document is limited
12 to the consideration of enteric pathogens that are
13 transmitted by direct or oral/fecal route. The
14 principles for the development of performance standards
15 for other pathogens may require consideration of
16 different factors and as such were not considered in
17 the current deliberations."

18 On Page 3, again at the top, we indicated
19 what prioritization was to address the issues as we
20 move forward. We also put in another sidebar statement
21 called "Findings". That would probably be changed to
22 something else, but we're trying to just -- it's a
23 formatting issue. We're just trying to -- we didn't
24 want to harshly just jump in to what we're doing.

1 Also, on Page 3, under Question 1, we just
2 put in general principles for developing risk
3 assessment that's been previously described and then
4 putting in the references which some of those still
5 have to be inserted, and we indicated that these should
6 be consulted prior to any evaluation of risk.

7 We also put in on Page 3 the next paragraph
8 and indicating that -- and again, this is taking into
9 consideration the deliberations that we had, and we had
10 some of this wording in there.

11 "The performance standards should be a means
12 of achieving public health goals. As such, the
13 stringency of the performance standards should be
14 proportional to the stated public health goal. This
15 implies that a possible link to the performance
16 standard and public health through a consideration of
17 risk. This consideration" -- and this is what I want
18 to highlight, the next sentence.

19 "This consideration of risk may not
20 necessitate in all situations an in-depth quantitative
21 risk assessment which requires extensive resources and
22 time, particularly if it would unnecessarily delay
23 timely protection of public health."

24 DR. WACHSMUTH: Spencer? Can I interrupt one

1 second?

2 MR. GARRETT: Hm-hmm.

3 DR. WACHSMUTH: I think because we didn't
4 have references for the documents up in the beginning,
5 it might be good to explain that those are more or less
6 risk management documents that talk about when you need
7 a full risk assessment and when an evaluation of risk
8 will do. You can't tell that, I guess, because we're a
9 little vague in references, but it's not how to do risk
10 assessment.

11 MR. GARRETT: Right.

12 DR. WACHSMUTH: It's the management part.

13 MR. GARRETT: Okay.

14 DR. WACHSMUTH: Sorry.

15 MR. GARRETT: With that understanding.

16 On Page 4, at the top of Page 4, in the
17 second line, we changed risk assessment to risk
18 evaluation, just to, if you would, still pick up on
19 that theme.

20 On Page 5, there was a change on Page 5 as it
21 deals with the information needed to complete exposure
22 assessments, and we talked about different kinetic
23 modeling and activation and so forth, but we added a
24 new one, which is, on the top of Page 5, the last

1 bullet, "Consumer preference for consuming undercooked
2 ground beef should be considered in the equation."

3 We understand that can be estimated, and
4 there have been some publications on that.

5 In terms of Question 2, Question 2 represents
6 -- on first appearance, it might represent a total
7 rewrite, but it's certainly not. What we did is we
8 moved paragraphs around, again trying to be faithful to
9 laying out what in fact are general principles and what
10 are considerations and then what are some
11 recommendations, and so everything that we have under
12 the General Principles, it appeared in the old
13 documents.

14 On Page 7, again here, we laid out
15 recommendations, and we did add some new sentences.
16 Under Recommendation Number 1, we put in an example for
17 what that data need was. For example, test for E.coli
18 and include the data in the existing Salmonella
19 Verification Program.

20 On the third recommendation that begins with
21 "Analytical Tools", we had to rewrite that a little bit
22 because getting regression analysis out was a little
23 bit more difficult than we realized, but we got
24 regression analysis out.

1 We also, if you recall, in our last document
2 that we reviewed, Question 2 had three parts, A, B and
3 C. After we took into consideration the comment to be
4 absolutely certain that you're not confounding the use
5 of an indicator organism with an index organism, we
6 found that we probably were. So, now we only have two
7 parts. This new document reflects that.

8 Also, on Page 7, the last paragraph, taking
9 into consideration the comment that was made that our
10 previous efforts seemed still to be focused rather
11 toward just bacteriological performance standards, that
12 there might be others, there might be dead cells, there
13 might be genetic material, we may want to use PCR and
14 so forth.

15 We added another -- that paragraph that says,
16 "It should be determined where a broader microbial
17 indicator can be used as a performance standard.
18 Examples of such broad microbial indicators would
19 include the class of microorganisms, microbial
20 metabolite, or a specific genetic sequence."

21 However, I would say personally, though,
22 since we have added that, I do think we need to add the
23 cautionary statement that Bob Buchanan indicated, that
24 when you're looking at something that has received a

1 bacteriocidal treatment, and you're doing a
2 quantitative standard, then you have to be very careful
3 how you use that type of technology because it would in
4 fact bias your standard.

5 We also added a new recommendation. This new
6 recommendation appears on the top of Page 5. Excuse
7 me. It's not -- now, I better get my glasses. That
8 new recommendation states, "The data from the
9 Salmonella Performance Standard Program from the year
10 2001 should be made public so as to provide guidance to
11 industry in order that commercial operations may assess
12 their process control relative to the industry."

13 In terms of Question 3, we did nothing
14 because we elected to -- since we're not quite through
15 with Question 3 yet, we didn't -- in the short time
16 that we had to address issues, we just let that stay as
17 it were.

18 On Page 10, under Definitions, the definition
19 of a quantifiable variable has been slightly changed at
20 the request of a member, and this definition now
21 states, "Quantifiable Variable. A variable that has a
22 numerical value, e.g., CFU per gram."

23 Again in terms of Page 11, there was just
24 some minor reformatting but nothing of particular

1 interest. All of this dealt with -- again, Page 4 --
2 rather, Question 4, rather, was reordered with some of
3 the paragraphs and so forth, but there was not
4 substantial changes made.

5 As a matter of fact, the goal in Paragraph 4
6 -- Question 4, rather, was to make it more readable.
7 That was our charge, and so we made it more readable,
8 if I've got my glasses.

9 But we did, on the penultimate next-to-last
10 paragraph on Page 12, we did change that just a little
11 bit to make it more readable, which says, "Once
12 selected, performance standard and acceptance criteria
13 will determine the sampling plans and corresponding
14 inherent probabilities in concluding that a process is
15 nonconforming when it actually is (Type 1 error), and
16 a process is conforming when it actually is not (Type 2
17 error)."

18 And Madam Chairman, that's pretty much it.
19 Now, it's my understanding that what you'd like to do
20 is take a working break and actually take sufficient
21 time to read the document, so we can discuss it.

22 DR. WACHSMUTH: That's correct. I think
23 there's absolutely no need to tell you how important
24 the document is to the agency. So, what I believe our

1 goal should be is to endorse as much of the document as
2 the Committee is comfortable with, so we can again get
3 that advice back to the agency. So, if it's one
4 question or two questions or three or four, we'll go
5 with -- I don't think we have to send the complete
6 document. I would hate to hold it up, particularly
7 since 3 looks like we're going to have to do some more
8 work.

9 I had something else, but it's gone. How
10 long do you think it will take everyone to read this?
11 More than a half an hour? Bruce?

12 DR. TOMPKIN: Go ahead and settle that first,
13 please. I have another question.

14 DR. WACHSMUTH: Okay. It's a half an hour,
15 unless someone would like more.

16 (No response)

17 DR. WACHSMUTH: Okay. Bruce?

18 DR. TOMPKIN: Okay. Specific to the goal, it
19 would be the intent then for us as a full Committee
20 during Plenary tomorrow, probably, to vote or reach a
21 consensus on this written document, is that correct?

22 DR. WACHSMUTH: That's correct. Or today, if
23 you can do it today.

24 DR. TOMPKIN: Okay.

1 DR. WACHSMUTH: That would be difficult but
2 maybe not.

3 DR. TOMPKIN: Okay.

4 DR. WACHSMUTH: Have to take a read and see
5 whether it is possible.

6 We do have the luxury of one more night, if
7 there's small things that we can change that would make
8 it acceptable to the Committee. That's one reason it
9 would be nice to get as far as we can today.

10 Spencer?

11 MR. GARRETT: I was just scratching my head.

12 DR. WACHSMUTH: Your flag's up.

13 MR. GARRETT: Oh.

14 DR. WACHSMUTH: Okay. About -- I've got 25
15 till 3. So, about five after.

16 (Whereupon, a recess was taken.)

17 DR. WACHSMUTH: Before I turn things over to
18 Spencer, we do have the revised comments on the hot-
19 holding. It's a one sheet, and I hope that maybe this
20 evening, you'll get something on the non-intact issue,
21 and we'll just discuss those at the very beginning of
22 the day tomorrow before we get back to performance
23 standards, assuming we'll be talking about performance
24 standards. That way, we just can concentrate on the

1 performance standards now.

2 The other thing, one of the members pointed
3 out to me that some people have had urgent business and
4 have had to leave, and I see quite a few empty chairs.

5 I know that applies to Swami, I think to Peggy and
6 Dave Theno.

7 What I need to know is will there be others
8 who will not be here tomorrow? Could you let me know?

9 Show of hands?

10 (Show of hands)

11 DR. WACHSMUTH: You'll be gone tomorrow? And
12 you'll be gone tomorrow?

13 DR. DOWNES: Frances Downes.

14 DR. WACHSMUTH: Yeah. I know. What we need
15 to do is count how many people we have here. 16. I
16 think that does it. I have to check with our exec sec.
17 I think it's over 50 percent, we have a quorum. So, I
18 didn't count. I just counted the seats. It looks like
19 16 to me. Did anybody else count?

20 DR. TOMPKIN: It looks good.

21 DR. WACHSMUTH: Okay. Well, we should have a
22 quorum tomorrow.

23 John?

24 DR. KVENBERG: Thank you, Madam Chair.

1 Just a point of order. We -- relative to the
2 blade-tenderized document that we've been feverishly
3 working on, we had an initial draft that went through
4 subcommittee review. It's currently being redrafted,
5 and the hope is that we will have a document this
6 evening before we close for comment.

7 I guess for those people who'd like to
8 provide written comments, even if they're leaving, we
9 can consider them tomorrow. It looks like we will have
10 a revised draft for completion tomorrow for you. We
11 may have to go through the edited text in full
12 Committee. So, it will take a little bit of time to do
13 it, but if people are leaving, I guess, I don't know
14 what your preference would be. We will have a draft
15 tonight for review.

16 Thank you.

17 DR. WACHSMUTH: Okay. Good. I saw someone
18 on the way to work on that document. So, make sure you
19 check the table, if you don't have anything in front of
20 you. Hopefully, it'll be in front of you before we
21 adjourn, and everybody can look at it tonight, and
22 we'll discuss that and the hot-hold in the morning.

23 I think those should both be pretty
24 straightforward.

1 Now, I'll turn it over to Spencer. Spencer,
2 I'm going to give you the chair and just keep an eye to
3 your right because these guys seem to be hard to see
4 once you get going.

5 MR. GARRETT: Thank you, Madam Chair.

6 Where we are is to review the document and
7 hopefully adopt it, but it would be my intent to, as I
8 did yesterday, go question-by-question-by question or
9 section-by-section.

10 The Background section appears on Page 1 and
11 2 and part of 3, down to Question 1, including
12 Findings.

13 DR. HABTEMARIAM: I have a question on Page
14 2.

15 MR. GARRETT: Okay. You'll have to -- I
16 didn't hear you. I'm sorry.

17 DR. HABTEMARIAM: On Page 2, --

18 MR. GARRETT: Okay.

19 DR. HABTEMARIAM: -- the questions. That
20 middle paragraph about the scope, that first sentence,
21 that reads "transmitted via direct oral/fecal route".
22 I think it would be useful to rewrite that better.

23 If I understand it, we're talking about
24 basically enteric pathogenic organisms that are

1 foodborne and lead to foodborne human illness. The
2 wording that we're using, "transmitted direct
3 oral/fecal route". I mean, there should be a better
4 way of doing that.

5 MR. GARRETT: Okay. So, as I understand it,
6 what you would suggest doing then in the first line
7 would be between enteric and pathogens, put foodborne?

8 DR. HABTEMARIAM: Yes, foodborne, because you
9 say that in the line.

10 MR. GARRETT: Surely.

11 DR. HABTEMARIAM: Enteric pathogens are
12 foodborne and lead to human illness. That basically is
13 what we're saying. I think it would be best if we say
14 it that way.

15 MR. GARRETT: Okay. Are there any other
16 comments on that? I see two others. Skip Seward and -
17 - Skip, first, I think, then Dr. Tompkin.

18 DR. TOMPKIN: There are many pathogens,
19 enteric pathogens that are fecal/oral. Viral, for
20 example. I think it really should be transmitted by
21 raw meat and poultry, by raw meat or poultry. That's
22 really the scope of this document.

23 DR. HABTEMARIAM: And lead to human illness.

24 DR. TOMPKIN: That's fine.

1 MR. GARRETT: Okay. So, as I understand the
2 way it would read then, it would be, "The scope of this
3 document is limited to the consideration of enteric
4 foodborne pathogens" -- excuse me -- "that are
5 transmitted by foodborne pathogens" -- excuse me -- no,
6 no. Do it again, Bruce.

7 "The scope of this document is limited to the
8 consideration of enteric foodborne pathogens that are
9 transmitted by raw meat or poultry and lead to human
10 illness."

11 DR. WACHSMUTH: I'll give you a hint from the
12 few years I've chaired. At this point, when we're
13 trying to get a document through, the most constructive
14 comment you could make is a suggested change. If
15 something's wrong, try to raise your flag with the
16 answer, and it'll move it a little faster.

17 MR. GARRETT: Bob?

18 DR. BUCHANAN: My apologies for being out of
19 the room for a minute, but could I hear the rationale
20 on why these general principles would be limited to
21 meat and poultry?

22 MR. GARRETT: Bruce?

23 DR. TOMPKIN: I think in this case, the title
24 of the document is, of course, Raw Meat and Poultry,

1 and these principles, while we're trying to make them
2 very broad in some cases, they are really directed
3 toward raw meat or poultry, and in responding to the
4 questions, that's what we're really dealing with. The
5 questions have to do with raw meat and poultry.

6 MR. GARRETT: And it is the title of the
7 document. Is there exception? Skip? Well, it's still
8 on -- is it on this or on another -- okay.

9 Okay. Without exception then, change is so
10 noted.

11 DR. BUCHANAN: I do have to respond. I think
12 you've restricted the use of some good general
13 principles when it's unnecessary to restrict them.

14 MR. GARRETT: It's not my intent or I don't
15 think -- well, we'll find out when we get there, but I
16 don't think the general principles are going to be
17 removed.

18 DR. WACHSMUTH: I think what the answer to
19 that would be, that the Committee can use these
20 principles in other ways. Right now, this group is
21 simply responding to the charge from FSIS.

22 There will be other -- if you've noticed on
23 your -- some of your paperwork, there are performance
24 standards that will be done for seafood, and there's a

1 C for Other, and it may be possible for the people who
2 chair those subcommittees to take as much as they can
3 from this document. In that way, you sort of
4 generalize them.

5 MR. GARRETT: And in addition to that, there
6 are other questions that need to be answered, even by
7 this Committee, that can still use these general
8 principles in their deliberations of those issues.

9 Bill Sperber?

10 DR. SPERBER: Yes. On this statement that
11 we're just considering on scope, wouldn't we have to
12 include in there indicator organisms? Scope of the
13 document? In close consideration of enteric foodborne
14 pathogens and indicator organisms? Because as it is
15 right now, you're only going to be considering
16 pathogens for your performance standards.

17 MR. GARRETT: Well, I presume then, you'd
18 have to say and indicator and index organisms. Without
19 exception? Katie?

20 DR. TOMPKIN: Spencer?

21 DR. SWANSON: How about --

22 MR. GARRETT: Wait a minute. Katie?

23 DR. SWANSON: -- "the scope of this document,
24 it is limited to consideration of performance standards

1 for raw meat and poultry products"? Would that fix it?

2 No? Okay. Never mind.

3 DR. TOMPKIN: Microbiological performance
4 standards.

5 MR. GARRETT: David?

6 DR. ACHESON: If we add Bill's suggestion,
7 some of those indicators don't lead to human illness.
8 So, that's not going to connect. So, we have to take
9 that out.

10 DR. TOMPKIN: We could strike the human
11 illness because that's redundant.

12 DR. ACHESON: Yeah. We could.

13 MR. GARRETT: Bob?

14 DR. BUCHANAN: Since you define indicator
15 organism later on, I don't think it's particularly
16 necessary to include it, but it doesn't hurt.

17 MR. GARRETT: Would you also have index? We
18 define that in the same place. But then, what do we do
19 about the -- you say, "The scope of this document is
20 limited to the consideration of foodborne pathogens and
21 indicator and index organisms."

22 DR. BUCHANAN: Could you repeat that again,
23 Spencer?

24 MR. GARRETT: "The scope of this document is

1 limited to the consideration of enteric foodborne
2 pathogens and indicator and index organisms that are
3 transmitted by raw meat and poultry." Okay?

4 "The scope of this document is limited to the
5 consideration of enteric foodborne pathogens, indicator
6 and index organisms transmitted by raw meat and
7 poultry."

8 Skip?

9 DR. SEWARD: Okay. Just two small points
10 here. On the very next sentence, "The principles for
11 the development of performance standards for other
12 pathogens", I would add in there after that, "or as
13 measures of process control may require consideration
14 of different factors and as such were not considered in
15 the current deliberation."

16 MR. GARRETT: Did you say "as measures for
17 process control or for measure"?

18 DR. SEWARD: As.

19 MR. GARRETT: Any comment on that?

20 DR. BUCHANAN: Spencer?

21 MR. GARRETT: Bob?

22 DR. BUCHANAN: I'm not sure that that's
23 necessary, but what is necessary is based on the
24 changes you've made to the first sentence, you must

1 indicate that the development of performance standards
2 for other pathogens or other commodities.

3 MR. GARRETT: Yeah. That's fine.

4 DR. SEWARD: So, for other pathogens or other
5 commodities as measures of performance controls.

6 MR. GARRETT: No. Just and other
7 commodities.

8 DR. SEWARD: And other commodities.

9 MR. GARRETT: Or other commodities. Anything
10 else on Page 2?

11 DR. SEWARD: Just in the next paragraph, the
12 third line from the bottom there, I think that question
13 should read, "what special considerations need to be
14 attended to in the development of quantitative baseline
15 data, and for the use of quantitative baseline data for
16 the development".

17 So, in other words, move "quantitative" down
18 to before "baseline data", and then replace the word
19 "development" after that with the word "use". That
20 correlates to the question that's actually in the
21 document, if you go to that question.

22 MR. GARRETT: Okay. So, --

23 DR. SEWARD: It's just not written quite as
24 it is later in the document.

1 MR. GARRETT: Yes, thank you. I see the
2 transposition of the word "quantitative" before
3 "baseline".

4 DR. SEWARD: Yeah. And then, after that,
5 where it says "and for the development", that
6 development should be the word "use", U-S-E.

7 MR. GARRETT: Okay. And that accurately
8 reflects the question.

9 DR. SEWARD: Right.

10 MR. GARRETT: Anything else on Page 2?

11 (No response)

12 MR. GARRETT: Anything on Page 3 relative to
13 the top of the page, including the Findings?

14 DR. SWANSON: Spencer?

15 MR. GARRETT: Katie?

16 DR. SWANSON: I believe we missed one that we
17 discussed last evening. That was the sentence
18 immediately after Findings. I believe we had inserted
19 "The subcommittee believes that performance standards
20 that meet the principles as outlined in this document
21 are valuable and useful tools."

22 MR. GARRETT: That is correct. "The
23 subcommittee believes that performance standards that
24 meet the principles as outlined in this document are

1 valuable and useful tools." That change would carry
2 over later on in the document, if I'm not mistaken.

3 Without exception? That brings us to
4 Question 1, and Question 1 is -- runs from 3 to 5. Are
5 there any changes or recommendations for Question 1
6 that appear on Page 3 of the document?

7 Bruce? Bruce, then Dane. Bruce first.

8 DR. TOMPKIN: In the paragraph beginning with
9 "Performance standards", I think it's helpful to
10 reinsert or to insert again the definition of a
11 performance standard at this point. This is where
12 we're really introducing the principles.

13 So, I'd suggest "Performance standards define
14 the expected level of control at one or more steps in
15 the process", just as we stated up above, and then
16 Performance continuing, "Performance standards can be
17 used as one means of achieving public health goals."

18 The next sentence, I don't quite understand.
19 Just reading it, I don't remember what it was to
20 convey, and I don't know that we need to retain it.

21 MR. GARRETT: Before you do that, let me make
22 absolutely certain that I've captured your first two
23 interventions because, quite frankly, I haven't.

24 DR. TOMPKIN: Okay.

1 MR. GARRETT: So, would you --

2 DR. TOMPKIN: In the process of capturing, I
3 don't know that it'll get captured.

4 MR. GARRETT: No.

5 DR. TOMPKIN: Uncaptured.

6 MR. GARRETT: No, no. I understand.

7 DR. TOMPKIN: "Performance standards define
8 the expected level of control at one or more steps in a
9 process."

10 MR. GARRETT: And that has already been
11 stated?

12 DR. TOMPKIN: Right under the Findings, that
13 sentence --

14 MR. GARRETT: Hm-hmm.

15 DR. TOMPKIN: -- that we just tinkered with,
16 --

17 MR. GARRETT: Right.

18 DR. TOMPKIN: -- we've made that statement
19 there, where we endorsed the use of performance
20 standards.

21 MR. GARRETT: Right.

22 DR. TOMPKIN: Now, we want to build it into
23 these principles.

24 MR. GARRETT: Okay. And where would you

1 insert that?

2 DR. TOMPKIN: It would be in the second
3 paragraph, where it says, "Performance standards should
4 be". I would suggest we change that to read,
5 "Performance standards define the expected level of
6 control at one or more steps in a process."

7 MR. GARRETT: Okay.

8 DR. TOMPKIN: And then, the next sentence
9 could be, "Performance standards can be used as" --

10 MR. GARRETT: Wait, wait. Slow down.
11 "Performance standards can be used as one" --

12 DR. TOMPKIN: "Means".

13 MR. GARRETT: -- "means".

14 DR. TOMPKIN: Just the rest of the sentence.
15 "One means of achieving public health goals."

16 MR. GARRETT: Okay. Dane, Skip.

17 MR. BERNARD: Thank you, Chairman.

18 I would agree with Bruce's questioning of the
19 next sentence. However, if it is to remain, and I
20 don't know exactly what it is intended to say, but if
21 it is to remain, I would suggest that we modify it by
22 adding after the words "public health goal", the
23 following insert, "and the impact of the standard on
24 meeting the goal."

1 By adding that insert, then it more or less
2 flows into the document by linking to our later
3 reference to estimates of risk.

4 MR. GARRETT: Dane, I'm sorry. Would you
5 repeat that again?

6 MR. BERNARD: I would be more than happy to.
7 Where it says, "The stated public health goal" add the
8 following "and the impact of the standard on meeting
9 the goal."

10 I think Bruce's question should really be
11 discussed. What does the sentence -- what was it
12 intended to mean and should it remain?

13 MR. GARRETT: So, then you're agreeing with
14 Bruce's insert, and you're just merely indicating after
15 "goal" and "the input of the standard in meeting the
16 goal"?

17 MR. BERNARD: And "the impact of the standard
18 in meeting the goal".

19 MR. GARRETT: Impact, rather.

20 MR. BERNARD: Right.

21 MR. GARRETT: Is there any exception to that?

22 DR. SEWARD: Yes.

23 MR. GARRETT: I'm coming down the table. The
24 next person down here.

1 DR. SEWARD: It's me. I don't have a comment
2 on this particular --

3 MR. GARRETT: Okay. Just stand by. Is your
4 comment -- the next comment relative to this? Okay.
5 If you'll just hold it? You have an exception to this?

6 DR. BUCHANAN: Yes, I do, and I'm open to
7 wording that changes the words but not the meaning of
8 the sentence. I'll give you an analogy. The goal may
9 be to send a rocket to the moon, but if the rocket you
10 design only gets as far as Chicago, your likelihood of
11 ever reaching your goal is not proportional to what
12 you've given in terms of the technology.

13 Conversely, if you have a goal, and you
14 develop a performance standard so that you meet that
15 goal, you better make sure that they're in some way
16 proportional, that the degree of stringency, how high
17 of a bar you set, gets you to that goal.

18 So, there has to be some kind of link between
19 the two and that's what that sentence is there to
20 imply. I find that, Dane, your addition, the wording
21 you have added when you take a look at what a public
22 health goal is, that is by definition an impact on
23 public health.

24 So, I don't see that -- your added phrase is

1 just repeating what a public health goal is.

2 MR. GARRETT: Let me make an observation from
3 the chair before I recognize Dane.

4 DR. WACHSMUTH: Yes, this is one of the cases
5 where members should address the chair.

6 MR. GARRETT: Well, no, please. Let me make
7 -- well, you know, one way or the other now.

8 The point simply is, I do want to make an
9 observation, that oftentimes, you know, words get
10 confusing, like what is a goal versus what is an
11 objective, and I tend to agree with Bob.

12 If you take a look at some of the goals that
13 are out there, goals often are, you know, not
14 achievable. You progress to a goal, but you may not
15 achieve the goal. However, you may achieve certain
16 objectives along the goal, in trying to reach the goal.

17 Some would argue that's what food safety objectives
18 are all about, but I'm not going there.

19 So, I was just thinking that one fix might
20 be, Dane, for you to consider, and I understand, I
21 think, what your issue is, I would just say should be
22 the means of achieving public health goals to reduce
23 foodborne illness. So, you at least indicate what the
24 public health goal is.

1 Rather, if you're trying to make a direct
2 link, quantitative linkage, between the performance
3 standard itself and a measurable quantitative reduction
4 of the goal, that's something else again.

5 MR. BERNARD: At the top of Page 4, we're
6 talking about estimating the likely impact of
7 performance standards for Salmonella in ground products
8 would have on public health. That to me is the key.

9 Does the standard itself move toward the
10 goal? Just by stating one has a goal doesn't
11 necessarily make the performance standard the
12 appropriate measure. So, I found the sentence
13 incomplete as written without something that links it
14 to what we're saying at the top of Page 4, and that's
15 what I was trying to accomplish with that.

16 MR. GARRETT: And that's why I indicated to
17 reduce foodborne illness as opposed to. So, clearly
18 the goal is to reduce foodborne illness without
19 attempting to quantitate that reduction.

20 Then I think that would -- I think, I may be
21 wrong certainly, that that would take care of your
22 concern and Bob's concern.

23 DR. BUCHANAN: I don't think we're in
24 fundamental disagreement, maybe just a little

1 misunderstanding, but I found the sentence just not to
2 link with what we said later on in the paragraph.

3 MR. GARRETT: Katie?

4 DR. SWANSON: I'm a little confused, and I'd
5 like to seek clarification.

6 Dane, are you talking about adding your
7 phrase at the end of the first sentence in the
8 paragraph as typed or the second sentence?

9 MR. BERNARD: The second.

10 DR. SWANSON: The second sentence. Okay.

11 And I think Spencer was looking at the first. Okay.

12 MR. GARRETT: You're absolutely correct. But
13 we still have a disagreement.

14 DR. SWANSON: But at least we're on the right
15 sentence, same sentence.

16 MR. GARRETT: I'll take that. I'll accept
17 that. But my insertion could work under either one.

18 DR. SWANSON: Exactly.

19 MR. BERNARD: May I address my colleague
20 directly?

21 MR. GARRETT: Sure, sure.

22 MR. BERNARD: What do we mean by "stringency
23 of the standard"?

24 DR. BUCHANAN: "Stringency" is a term that

1 describes how -- to what degree you need to do
2 something. "Stringency" is basically an accepted term
3 when you -- in describing performance standards, and
4 it's been defined and articulated in a number of
5 documents, both within Codex, within ICMS, etc., and
6 again, it describes the degree to which a process must
7 operate.

8 The more stringent that you have a process or
9 a standard, the higher level of control is needed. It
10 is just -- we've had discussions, for example, here in
11 the Committee. Passive is a -- passive as an example
12 is a concept. It's a process. It does not inherently
13 articulate the degree to which a hazard must be
14 controlled.

15 When you establish that through establishing
16 a critical limit, you establish the stringency of the
17 system. Likewise, when you're talking about a
18 performance standard for any process, which can have
19 any of a variety of degrees of control, there has to be
20 a decision about how -- to what degree you're going to
21 control the hazard. That defines the stringency, and
22 in turn, that should be proportional to the goal that
23 you're trying to achieve.

24 If you're trying to achieve -- again, if

1 you're trying to achieve such that you can only have
2 one carcass in a million has Salmonella, but you have a
3 performance standard that the end result of it is, is
4 that one in a hundred could have Salmonella, the
5 performance standard and your goal are not the same.
6 They don't lead you to the same conclusion. You will
7 never get there from here.

8 Conversely, and again using an image, you
9 don't swat a fly with an atomic bomb. So, you wouldn't
10 want to do it. There's some point -- the whole point
11 of this is to match up the degree of control with the
12 standard, so that you're reaching the endpoint that
13 you're trying to get to.

14 MR. GARRETT: Dane, with that understanding,
15 do you still wish to add that?

16 MR. BERNARD: I -- well, Bob and I can
17 wrestle this later, I guess, if the rest of the
18 Committee thinks I'm off base. We can take it up
19 later, but I still would like to see -- it seems we're
20 getting the cart before the horse without linking the
21 risk management tool, the performance standard, with
22 having some effect against the public health goal
23 before we make the statement regarding the stringency,
24 and we don't do that until the top of Page 4, unless we

1 modify that slightly to provide that linkage.

2 DR. BUCHANAN: And I think what you have to
3 do is look at the three top sentences of that paragraph
4 in line with the question in the section that's being
5 asked. Those three sentences in that paragraph say
6 that the purpose of performing a -- the purpose of
7 establishing a performance standard is to reach a
8 public health goal. That performance standard should
9 be related to the public health goal, and that,
10 finally, that implies you have some means of relating
11 the two.

12 If you have no means of relating the public
13 health goal to the performance standards, and we
14 presume in this question that you're going to do it
15 through some risk analysis process that is some
16 evaluation of the risk, that's how you do it. So, you
17 have -- here's where you want to get to, and in order
18 to do that, you're going to have to be able to
19 articulate in some way the risk that's associated with
20 this process, and it's really just a lead-in on why you
21 have to have some evaluation of risk.

22 MR. GARRETT: Well, again, I would ask the
23 two of you, my proposed fix, to reduce foodborne
24 illness, does that at least begin to make the bridge

1 over to the top of the next page?

2 MR. BERNARD: I agree.

3 MR. GARRETT: You agree? Good. Katie? On
4 this issue, we have agreement.

5 DR. SWANSON: On this -- okay. Maybe I'll
6 just -- I think I have a potential fix.

7 MR. GARRETT: I think we got one.

8 DR. SWANSON: Okay. Then I'll stop. Never
9 mind.

10 MR. GARRETT: But, hey, never let it be said
11 that I needed the power of the mike. I mean, I think
12 if we want to hear it, let's hear it.

13 DR. SWANSON: Want to try it? Okay. If we
14 delete the sentence that contains "stringency" and the
15 second one and say "consideration of risk is needed to
16 link the performance standard to the stated public
17 health goal", would that get us where we need to be?

18 MR. GARRETT: In my judgment, if you're just
19 merely to indicate what I'd indicated, to reduce
20 foodborne illness and leave those sentences and then
21 turn the page, you'll have it.

22 DR. SWANSON: Okay. Never mind.

23 MR. GARRETT: Provided Dane agrees.

24 DR. TOMPKIN: I'd like to see it fixed, but

1 keeping everyone here as we fix it, I think it might be
2 best to proceed through the rest of the document and
3 see whether or not we had -- what -- how this process
4 is going to go, whether there are going to be more of
5 these issues.

6 I don't have very many, and it may be
7 possible that we could just come up with a sentence or
8 two that addresses this thing. I have a proposal.
9 Katie's proposed one. Dane. I think it's possible to
10 retain the concept that stringency should be related to
11 risk. That's really all we're saying, isn't it? And
12 it can flow very well with some slight changes.

13 MR. GARRETT: Okay. We'll hold this in
14 abeyance then, this paragraph, and proceed --

15 DR. SWANSON: To Skip.

16 MR. GARRETT: You had your flag up down
17 there. I can't -- okay.

18 DR. HABTEMARIAM: It was just a small point
19 about consistency, you know. On Page 3, we refer to
20 risk assessment, to risk analysis, risk evaluation and
21 so on. Just for consistency, the question was risk
22 assessment. Otherwise, we have to define terms. That
23 was the point I wanted to make.

24 MR. GARRETT: Yeah. Well, I think that --

1 DR. WACHSMUTH: Is it a low battery?

2 MR. GARRETT: Doesn't appear to be. The
3 question that he was pointing out is that there seems
4 to be a use of different phrases or words. Risk
5 assessment, risk evaluation and so forth, and he's
6 wondering about the need for consistency, and I believe
7 as we go through the document, that was done by design.

8 As you go through the document, we talk about the
9 formal risk assessments taking a structured process and
10 a long period of time and consuming a lot of resources,
11 and oftentimes you don't need to do that but you can
12 just do a risk evaluation, and I think that is actually
13 -- the -- okay. Between -- yeah. Okay. Fine.

14 Any more on Page 3?

15 DR. SEWARD: Yes. I have two points. One,
16 the second-to-the-last paragraph, the last word, I
17 would recommend changing "performance" to "public
18 health risk", to tie it in to what's stated in the
19 first sentence. Unless there was a reason to use the
20 word "performance" there, I -- second-to-the-last
21 paragraph, last word. "Overall public health risk".

22 MR. GARRETT: Wondered about that myself.
23 Without exception. Your second one then.

24 DR. SEWARD: Last sentence on the page,

1 before -- and I would say, "and thus influence the
2 decision to", and I would insert, "adopt a performance
3 standard, or accept one performance standard over
4 another." Very last sentence. Seems to me we'd want
5 to have the option there to use the risk assessment to
6 decide if you wanted to adopt one in the first place as
7 well as accept one over the other.

8 MR. GARRETT: So, agreed then. "The key
9 factors that contribute to risk and thus influence the
10 decision to adopt a performance standard or accept one
11 performance standard over another."

12 Seeing no exceptions. Skip, you've got to
13 put your gizmo down. Thanks.

14 Moving on to Page 4 then. I didn't mean it.

15 DR. SEWARD: Just a point of clarification, I
16 think, on the -- let's see. 1-2-3 -- fourth paragraph
17 that starts off "FSIS", in the second-to-the-last line,
18 where it says, "Such information would have additional
19 value in determining initial loads of Salmonella in raw
20 meat and can be used in validation of thermal
21 processing."

22 I'm not quite sure if that raw meat, for
23 example, refers to trimmings, for example, or ground
24 beef, but if it refers to trimmings, then I would

1 suggest that we have a comma after "meat" and put in
2 the phrase "taking into account the temperature profile
3 during distribution, and can be used in validation of
4 thermal processing", because I think it ties in to what
5 we were trying to say before, but I'm not sure what
6 that's referring to in terms of raw meat.

7 DR. TOMPKIN: I have an idea why it says
8 that.

9 MR. GARRETT: Oh, good. Bruce?

10 DR. TOMPKIN: If I may, Mr. Chairman?

11 Basically, we are required to validate our
12 processes to eliminate Salmonella, Listeria and so on,
13 in beef and the other products that we cook and
14 process, and that's really what that was all about.

15 Knowing the initial level of pathogens, such
16 as Salmonella, in the raw material will enable
17 establishments to validate the thermal processes.
18 That's how it would be used.

19 MR. GARRETT: With that understanding, Skip,
20 is your insertion still relevant?

21 DR. SEWARD: No. If everyone else is okay
22 with it, then so am I with that.

23 MR. GARRETT: Any exception?

24 (No response)

1 MR. GARRETT: Okay. Dane?

2 MR. BERNARD: Thank you, Spencer.

3 On Page 4, the fourth paragraph, the one that
4 begins with "as the dose response appears to be
5 adequately addressed", 1-2-3-4, the fifth line, which
6 begins with "substituting", small edits here.

7 The word "the" is not correct there because
8 we said up in the previous paragraph there really is no
9 prevalence data, and we're recommending that it be
10 collected or estimated. So, I'm moving to strike the
11 word "the" from prevalence data, and there's the word
12 "and prevalence data" and insert the following "the" --

13 MR. GARRETT: Hold on. I just scratched out
14 the "the". Where's the "the"? Where's the next one?

15 MR. BERNARD: It's in the same sentence.

16 MR. GARRETT: Yeah. Okay.

17 MR. BERNARD: Read on. "Prevalence data and
18 the above-referenced dose response relationship", and
19 then in the next paragraph, "FSIS can develop
20 prevalence data" instead of "such data".

21 MR. GARRETT: Any more?

22 MR. BERNARD: Yes. The third bullet at the
23 bottom of the page, "method and degree of cooking", you
24 had added the bullet which to me those were pretty much

1 the same, "consumer preference". We could modify the
2 third bullet there by adding "including consideration
3 of consumer preference for undercooked ground beef" and
4 avoid some redundancy.

5 Thank you.

6 MR. GARRETT: Are there any objections to --
7 I take it there's none to the first two. How about
8 combining Bullet 3 and Bullet -- whatever it is, the
9 last one?

10 (No response)

11 MR. GARRETT: Seeing none. So agreed.
12 "Method and degree of cooking and consumer preference
13 for consuming" -- oh, "including consumer" -- yeah.

14 MR. BERNARD: Consideration of consumer
15 preference.

16 MR. GARRETT: Very well. Any more on Page --
17 this is obviously hot stuff we're talking about here.
18 Okay.

19 John?

20 DR. LUCHANSKY: It's okay if I'm on Page 5?
21 The first paragraph, the second sentence. "Specific
22 agencies must be determined by a risk assessment team",
23 and can only be determined by a risk assessment team or
24 can others look at a risk assessment determine what the

1 modifications are?

2 MR. GARRETT: Yeah. No, no. I don't think
3 it's the -- I'd like to hear some other --

4 DR. LUCHANSKY: I think it's sufficient to
5 say that if anyone can identify the date of it, that's
6 of value. So, I don't know if we just strike risk
7 assessment team. It doesn't change the meaning
8 necessarily.

9 MR. GARRETT: Well, I think the issue --
10 maybe it should be turned around just a little bit.
11 This relates to risk assessment. So, maybe it should
12 say, "A risk assessment team must consider the data."
13 I mean, this whole question relates to risk assessment,
14 does it not?

15 DR. LUCHANSKY: I was actually trying to make
16 a different point.

17 MR. GARRETT: Oh, okay.

18 DR. LUCHANSKY: I agree with what you just
19 said, but I think as long as anybody can critique the
20 data and identify the need, then that's of value. It
21 might not necessarily be somebody on a risk assessment
22 team that identified that void.

23 MR. GARRETT: Could you get by with --

24 DR. LUCHANSKY: If you'd like a team to then

1 assess whether or not that's a valid answer, that's
2 fine.

3 MR. GARRETT: Bob? Could you say it just by
4 a risk assessment team or others? Could it be that
5 simple?

6 DR. BUCHANAN: I'm trying to remember what
7 we're talking about here in terms of specific data
8 needs. I think this refers to specific data needs for
9 the risk assessment.

10 DR. LUCHANSKY: I took it to mean we were.

11 DR. BUCHANAN: I'm not sure that that's what
12 was implied. I think it was you need the input from
13 the risk assessment team in terms of what data they
14 need to do the risk assessment and that needs to be
15 communicated to the risk managers.

16 DR. LUCHANSKY: It doesn't read that way to
17 me. It would be nice to clarify it.

18 MR. GARRETT: Then, could you say -- let me
19 just suggest perhaps it to be determined by the risk
20 assessment team for the conduct of the risk assessment?
21 Would that help?

22 DR. LUCHANSKY: What's wrong with saying
23 basically any valid comments would be considered and
24 specific needs must be determined? It's saying must be

1 determined by a risk assessment team. It doesn't have
2 to be. If there are --

3 MR. GARRETT: No, no. That's fine. I just
4 want you to state what you want in there. I mean,
5 could it be just or others?

6 DR. LUCHANSKY: I guess I lost the question.
7 Whose job is it to identify the needs of a risk
8 assessment or likewise after one is done, whose job is
9 it to determine what research needs there might be to
10 interpret the question?

11 MR. GARRETT: I would think the risk
12 assessment team would determine their data needs, but I
13 think anybody can critique whatever output a risk
14 assessment team and the validity of how it was
15 conducted.

16 Okay. I see Tsegaye down there.

17 DR. HABTEMARIAM: Yeah. Tsegaye. I think
18 it's an important point. May I suggest a
19 multidisciplinary team? I know risk assessment issues
20 could be identified by the team, but the problem often
21 is that the biology lacks, and I think we need to make
22 that point. I don't know for sure what this refers to,
23 but generally, risk assessment in the absence of good
24 biology is empty and those defeat each other, but

1 that's what I suggest. You know, we believe very
2 strongly in multidisciplinary team.

3 MR. GARRETT: David?

4 DR. ACHESON: I was just going to suggest
5 that we put in specific dates and needs for the risk
6 assessment must be determined by a multi-disciplinary
7 team.

8 DR. LAMMERDING: That's pretty close to what
9 I was going to suggest, but the most important aspect
10 is we're not telling people how to do risk assessment.
11 We have guidance documents for that or the conduct,
12 but the most important concept here is in relation to
13 the specific risk management question posed. So,
14 whoever's posing the questions or whoever's determining
15 the data needs, it's not that.

16 MR. GARRETT: John, does that -- is that
17 better?

18 DR. LUCHANSKY: Would the suggestion of a
19 multidisciplinary team satiate the concerns that were
20 just expressed?

21 DR. LAMMERDING: We can just say specific
22 data needs will be further determined for the risk
23 assessment in relation to the specific risk management
24 question posed. So, we're not saying that.

1 DR. LUCHANSKY: Yeah. I guess that's -- I
2 like that.

3 MR. GARRETT: Okay. Would you repeat that?

4 DR. LAMMERDING: "Specific data needs will be
5 further determined for the risk assessment in relation
6 to the specific risk management questions posed." I'd
7 suggest ending there.

8 MR. GARRETT: So, you're getting rid of
9 "posed by the requester"? So, "specific data needs
10 will be further determined for the risk assessment team
11 in relation to the specific risk management questions"?

12 DR. LAMMERDING: I'd suggest delete "team"
13 and just for the risk assessment.

14 MR. GARRETT: For the risk assessment. I'm
15 sorry.

16 Is there any exception?

17 DR. BUCHANAN: I guess, are we losing the
18 concept? Again, I could live without this sentence all
19 together. But I think that the -- let's look at what
20 this sentence says. You have a bunch of risk managers.
21 They want a risk assessment done to answer certain
22 questions. Someone's got to tell them what data is
23 needed in order to answer the questions that they want
24 answered.

1 You could have as many people in the world
2 have their own opinion on what is needed, but
3 somebody's got to -- basically, the guy that's got to
4 do the risk assessment has got to come back and say if
5 you want the risk assessment done, here is the data and
6 this is the questions you want me to answer. Here's
7 the kind of information I have to have. If you don't
8 give me that information, I'm going to make it up.

9 MR. GARRETT: You know, a fix to that might
10 be --

11 MR. BERNARD: Is that how this works?

12 MR. GARRETT: Now, we know. Now, we know.
13 Rumors to that effect for years. But, you know, Anna,
14 you changed the word "must" to "will", but I think to
15 pick up on what Bob's saying, specific data needs must
16 be determined.

17 DR. LUCHANSKY: I guess my point originally
18 when I saw the word "must", that that's very
19 exclusionary to me, and I guess the most important part
20 is that it is done in relation to what was being asked
21 for. That's the most important, but as much as people
22 can get.

23 MR. GARRETT: Well, how about must be
24 determined by a multidisciplinary team?

1 DR. LUCHANSKY: I think the specific data
2 needs should be determined in relation to the what the
3 requester was asking for. So, it's just what's being
4 asked for.

5 DR. SWANSON: So, strike "by the risk
6 assessment team" and everybody's happy?

7 MR. GARRETT: Right. That's a Codex
8 solution.

9 Any more on Page 5?

10 (No response)

11 MR. GARRETT: Then I'll go to Page 6, which
12 brings us to Question 2, and since we've agreed with --
13 the Committee's agreed to Question 1, except for the
14 second paragraph on Page 3 that needs more to be said.

15 Any questions on Page 6 relative to Question
16 2? Dane, then Katie, then Bruce, then Bob.

17 MR. BERNARD: Thank you.

18 Anybody else? This is my time. Let me go
19 with the easy one first. Down at Number 5, under
20 General Principles, there's some redundancy there with
21 the list above. "Both pathogens must have similar
22 survival and growth characteristics and a shared common
23 source." Those are bullets in the above list, and the
24 first sentence, we said, has to be consistent with the

1 list. So, I would move to strike that.

2 MR. GARRETT: So, you're striking the --

3 MR. BERNARD: "Both pathogens must have
4 similar survival and growth characteristics and a
5 shared common source" because they're in the list, and
6 we referenced the list.

7 MR. GARRETT: Hm-hmm. Without exception?
8 Very well.

9 DR. BUCHANAN: Could you repeat that, the
10 intervention, please?

11 MR. BERNARD: The second sentence in Number
12 5, which reads, "Both pathogens must have similar
13 survival and growth characteristics and a shared common
14 source" is redundant because both of those points are
15 covered in the list, and the first sentence references
16 the list. Merely editorial.

17 I also question the last sentence in Number
18 5, which says, "The control measures for one pathogen
19 should be effective for the second pathogen", as to why
20 that needs to be -- although I can see that it depends
21 on where you take the sample. If you're using
22 interventions before you take the sample, I guess
23 that's appropriate. So, I withdraw my intervention.

24 MR. GARRETT: Any more?

1 MR. BERNARD: Point Number 1, under General
2 Principles, first sentence, I'm wondering in the
3 context of this document, if the sentence that reads,
4 "Micro Performance Standards are intended to effectuate
5 a decrease in the presence of enteric pathogens in food
6 commodities, herein meat and poultry products". I read
7 that as qualified sufficiently for this document, but I
8 just wanted to call everybody's attention to it and see
9 if that was -- if we had narrowed it enough for the
10 document by that parenthetical insert.

11 MR. GARRETT: Since you've brought that
12 particular one up, this is just an editorial thing,
13 would it not be better to get rid of the "herein" and
14 just say "in meat and poultry products", "meat and
15 poultry product commodities" or something like that?
16 Raw. Oh, yeah. My God. Raw. Right. And then we can
17 -- but it's just an old English thing with me.

18 Okay. Any comments specifically on what he's
19 recommended? It's without exception, we move the "both
20 pathogens". I didn't see any, and then "enteric
21 pathogens in raw meat and poultry product commodities"
22 or just "raw meat and poultry"? We have a different
23 lexicon in seafood than you do in products and
24 processed products and production is different for us

1 than what you folks say.

2 So, would it be correct to say "in raw meat
3 and poultry", include "raw meat and poultry
4 commodities"? I take it as a carcass is a commodity,
5 is it not? Raw meat and poultry's fine, period. Okay.

6 Thank you.

7 Any exception to that? Okay.

8 MR. BERNARD: I have one more.

9 MR. GARRETT: Oh, one more? Okay.

10 MR. BERNARD: Back to Number 5 again, and the
11 first sentence, "One pathogen can be used as an
12 indicator of the", should we insert state or, because
13 that is how we have defined indicator, or should we
14 remove -- we can go either way because we've defined it
15 above.

16 MR. GARRETT: I would think we should say
17 state or, yeah. It bears repeating. Is that it for
18 you?

19 Okay. Then I think that Katie was next.
20 Weren't you next on the Hit Parade?

21 DR. SWANSON: In Number 3, there are other
22 types of performance standards enumerating
23 microorganisms. So, I would suggest a fix that says
24 "Microbiological Performance Standards", insert "that

1 involve detection and enumeration of a microorganism",
2 then delete "that can be classified as an indicator or
3 an index organism."

4 MR. GARRETT: Any exception to that? I mean,
5 if there's an exception to it, you know, wave your
6 flags since everybody's got so many flags. Okay.
7 Honestly, I think I said Bruce next. I honestly forgot
8 the order. I'll start writing.

9 DR. TOMPKIN: Number 2.

10 MR. GARRETT: Number 2?

11 DR. TOMPKIN: Just insert "raw" before "meat
12 and poultry" at the end of the sentence.

13 MR. GARRETT: Without exception? Then, Bob?

14 DR. BUCHANAN: Just two comments, Spencer.

15 One, in the last two lines on Page 6, the --
16 should be changed to read "detection of the number of
17 E.coli in ground product may not be" delete "is", "as
18 direct a measure for the concentration of fecal
19 contamination", and then I'm not sure whether an "as"
20 should be inserted after that, "as on" or whether "on"
21 is the correct form. It seems to be awkward there.

22 And then, going back to one comment --

23 MR. GARRETT: I think "as" would be the
24 correct.

1 DR. BUCHANAN: "As".

2 MR. GARRETT: Hm-hmm.

3 DR. BUCHANAN: And then, going back to Number
4 5 above that, I tended to agree with Dane, that I'm not
5 sure that the last sentence in that Number 5 is
6 necessary nor even valid.

7 MR. GARRETT: I thought it was deleted.

8 Well, there's a tremendous difference between the two.

9 DR. BUCHANAN: One, I don't think it's
10 necessary because that concept is in the five criteria
11 previously stated, just as we eliminated this sentence
12 before.

13 Two, it may not be that it has to be
14 identical to all control measures. It is just the
15 critical control measure that you're using it to
16 indicate. So, for example, on-the-farm control
17 measures that would reduce E.coli may not impact
18 Campylobacter but in-plant control measures would
19 likely -- that you did to E.coli would control
20 Campylobacter. So, it can't be so universal in the way
21 it's worded.

22 MR. GARRETT: Yeah. Without exception, to
23 drop the sentence? Seeing none.

24 Any more comments on Page 6?

1 DR. TOMPKIN: Yeah. I want to go back to
2 Number 3. It's the change that Katie made. I don't
3 think that makes sense the way the change was made
4 because it indicates that the performance standard is
5 an indicator of an index, unless I've got it in the
6 wrong place.

7 Did you have Microbiological Performance
8 Standards that involve detection and enumeration of a
9 microorganism can be classified as an indicator?

10 DR. SWANSON: That's what I had.

11 DR. TOMPKIN: That doesn't make sense.

12 DR. LAMMERDING: Could you read what you
13 have, please?

14 DR. SWANSON: That's exactly what I had. The
15 issue that I had with this is it suggests as written
16 that Microbiological Performance Standards always
17 involve detection and/or enumeration of the
18 microorganism and that isn't necessarily true.

19 MR. GARRETT: Let me read this, Katie, and
20 just follow the -- "Microbiological Performance
21 Standards that involve the detection and/or enumeration
22 of a microorganism can be classified as an indicator or
23 index organism." I think there's some disconnect.

24 DR. SWANSON: There is some.

1 DR. LAMMERDING: Could you give us an
2 example, Katie?

3 DR. SWANSON: Well, I'm thinking of a five-
4 log reduction, that's not detection or enumeration.
5 You're looking at a temperature differential and that
6 is a performance standard. It is neither an index nor
7 an indicator.

8 MR. GARRETT: The five-log reduction of a
9 bacteria is an enumeration.

10 DR. SWANSON: It's not an index or an
11 indicator.

12 MR. GARRETT: Is a five-log reduction a state
13 or a condition?

14 DR. LUCHANSKY: Mr. Chairman, you may just
15 leave the sentence the way it is and just insert "may"
16 or "can" before "involve", so it's not all exclusive or
17 inclusive. Would that be a fix then?

18 MR. GARRETT: Katie, would that be
19 acceptable?

20 DR. SWANSON: Yeah.

21 MR. GARRETT: So, just say "may be
22 classified".

23 DR. LUCHANSKY: "Microbiological Performance
24 Standards may involve" --

1 MR. GARRETT: Oh, "may involve".

2 DR. SWANSON: Yes, that works.

3 DR. WACHSMUTH: Could I interrupt, Spencer,
4 for a second?

5 When the members speak, the raising the flag,
6 remember part of that was so that we could make sure on
7 record we knew who was talking. So, just sort of give
8 your name if it's appropriate, if you don't raise the
9 flag, so we can make sure we know who said what.

10 Thanks.

11 MR. GARRETT: Okay. If I could take the
12 chairperson's prerogative, Katie, would you now read
13 the sentence to us, please?

14 DR. SWANSON: This is Katie Swanson.

15 "Microbiological Performance Standards may
16 involve the detection and/or enumeration of a
17 microorganism that can be classified as an indicator or
18 an index organism."

19 MR. GARRETT: Thank you.

20 Any more comments on Page 6?

21 (No response)

22 MR. GARRETT: Moving to Page 7. Dan?

23 DR. ENGELJOHN: This is Engeljohn.

24 In the paragraph beginning "Salmonella

1 Performance Standards", I'm sorry, in the last
2 paragraph in that section, after "within the grinding
3 facility", I'd like to add a sentence that says,
4 "Purchase specifications" --

5 MR. GARRETT: No, no, no.

6 DR. ENGELJOHN: Sorry.

7 MR. GARRETT: Slow down first.

8 DR. ENGELJOHN: All right. In the last --
9 second paragraph under "Salmonella Performance
10 Standards", last line, I'd like to add a last sentence
11 after "grinding facility".

12 MR. GARRETT: Pencils are poised.

13 DR. ENGELJOHN: All right. "Purchase
14 specifications with microbiological limits" --

15 MR. GARRETT: Slow down. "Specifications
16 with microbiological limits" --

17 DR. ENGELJOHN: -- "for various
18 microorganisms" --

19 MR. GARRETT: -- "for various microorganisms"
20 --

21 DR. ENGELJOHN: -- "are one measure which
22 grinding" --

23 MR. GARRETT: Wait a minute, wait a minute.
24 "Are one measure which" --

1 DR. ENGELJOHN: "Grinding operations can use
2 to control, i.e. limit," --

3 MR. GARRETT: To control what?

4 DR. ENGELJOHN: "Control (i.e. limit)
5 contamination".

6 MR. GARRETT: So, that sentence would read --

7 DR. BUCHANAN: Repeat where it's going.

8 MR. GARRETT: I just want to read the
9 sentence.

10 DR. BUCHANAN: Please repeat where it's
11 going.

12 MR. GARRETT: Yeah. This sentence would be
13 in the -- at the top of Page 7, under the side header,
14 "Salmonella Performance Standards", the second
15 paragraph, the last line of the second paragraph,
16 begins with "Acquired". It would be inserted after the
17 period.

18 "Purchasing specifications with
19 microbiological" -- can't read my own writing -- "with
20 microbiological limits for various microorganisms are
21 one measure which grinding operations can use to
22 control (i.e. limit) contamination."

23 DR. BUCHANAN: One more time with feeling.

24 MR. GARRETT: Sure, Bob. "Purchasing

1 specifications with microbiological limits for various
2 microorganisms are one measure which grinding
3 operations can use to control (i.e. limit)
4 contamination."

5 Did I faithfully do that?

6 DR. ENGELJOHN: And then, I have one more.

7 MR. GARRETT: Let's -- okay. Let's deal with
8 this one first. Any objection?

9 John?

10 DR. LUCHANSKY: Just for point of
11 clarification. Is "various" a little bit too vague?
12 Do you want to say something like "targeted",
13 "specific" or "select microorganisms" or do you want to
14 leave it "various"?

15 Dan, what was your intent? I'm John
16 Luchansky.

17 DR. ENGELJOHN: "Select" is fine. I don't
18 see that as a problem.

19 MR. GARRETT: Okay. Dan, you're going to
20 have to speak in the microphone. They can't hear you
21 down there.

22 DR. ENGELJOHN: Engeljohn.

23 "Select" is fine. I'm okay with that.

24 MR. GARRETT: So, before "selective

1 microorganisms"? Okay.

2 Bob Buchanan, then Bruce Tompkin.

3 DR. BUCHANAN: Bob Buchanan.

4 Two points in terms of this sentence. I
5 think one, that we need to articulate overtly in this
6 sentence that purchase specifications are a form of
7 performance standards to make sure that this in some
8 way ties back to the general discussion at hand, and
9 two, I think I would modify this sentence to
10 specifically, since this is a paragraph on Salmonella
11 Performance Standards, I think we need to be a little
12 bit more specific in the sentence, saying Salmonella,
13 and then if you want in parenthesis (and other
14 pathogenic microorganisms), but I think in terms of the
15 continuity of the paragraph, it should be focused on
16 Salmonella.

17 So, again, modify this sentence to indicate
18 that this is a form of performance standard or
19 performance criterion and then target it to Salmonella.

20 MR. GARRETT: Bruce?

21 DR. TOMPKIN: I like it the way it was.

22 MR. GARRETT: Dane?

23 DR. TOMPKIN: Because it's not truly --
24 performance specifications, standards, however you want

1 to state them, are intended to meet a performance
2 standard. They are not specific -- they are not
3 performance standards unto themselves.

4 MR. GARRETT: There are purchasing
5 performance standards. I mean, there's government
6 purchasing standards as well. Just want to make sure
7 everything gets on the table here, folks.

8 Dane, then Dan, then that's it.

9 MR. BERNARD: Thank you, Chairman.

10 I have other things on this page, but I'd
11 like to get from Dan what the addition of this sentence
12 does for us.

13 DR. ENGELJOHN: This is Engeljohn.

14 I felt it was important to add some context
15 to a statement that's made later in the document back
16 on Page 10, where we're identifying -- at the bottom of
17 Page 9 and top of Page 10, where we're identifying that
18 we need to identify studies that discriminate between
19 controllable and non-controllable factors affecting
20 frequency and concentration of contamination, and I
21 believe it provides context later on for what would be
22 controllable and not controllable.

23 MR. GARRETT: Dane, with that understanding,
24 do you now understand the reason for the sentence?

1 MR. BERNARD: I appreciate that. I'm
2 wondering if we should not do something more overt to
3 link with that, because it's still -- I mean, it's -- I
4 don't disagree with it. I'm just trying to see if we
5 need to clarify it a little bit more, but I won't hold
6 up the proceedings for that.

7 MR. GARRETT: Okay. Now, I would ask, do you
8 agree with Bruce? I mean, Bruce liked the way it was
9 the first time. I mean, the issue -- I'm trying to
10 address now Bob's insertion, which essentially would
11 read, "Performance standards" -- excuse me. Being
12 performance criteria.

13 Dane?

14 MR. BERNARD: You're asking my opinion, Mr.
15 Chair?

16 MR. GARRETT: Yes.

17 MR. BERNARD: I'm in agreement with Bruce. I
18 think Bruce was talking about Bob's intervention
19 specifically in terms of purchase specs being a form of
20 performance standards.

21 I don't want to get into a rather protracted
22 debate over that one. So, I would just as soon leave
23 it as it was.

24 MR. GARRETT: Is that fine with you, Bob, or

1 would you like to engage in a protracted debate? I'm
2 not being cynical.

3 DR. BUCHANAN: I guess my comment is a rose
4 by any other name. Purchase specification is a
5 standard imposed by the company.

6 MR. GARRETT: Or by the purchaser. Could be
7 a government. There are school lunch purchasing
8 specifications.

9 Skip?

10 DR. SEWARD: Well, just to that point, I'm
11 not sure that all purchasing specifications are
12 standards, unless a lot of companies are perhaps using
13 those incorrectly, but there are some purchasing
14 specifications that have microbiological guidelines,
15 for example, that are not purchasing laws. They are --
16 give guidance for purchasing, and yet they're in the
17 broadest scope, a purchasing specification.

18 So, it's not a standard from the standpoint
19 that you're going to accept or reject product based on
20 that purchasing specification. So, I don't think in
21 general sense that all purchasing specifications are
22 performance standards. So, I tend to agree that that's
23 not --

24 DR. BUCHANAN: Then I have to reflect back.

1 If we're going to allow a purchase specification to be
2 a general guideline that does not have to be adhered to
3 or would not be adhered to, then I don't agree with the
4 rest of Dan's sentence because it will not have an
5 impact and nor is it an effective tool.

6 If it's just there to have something on paper
7 and is neither enforceable nor followed, then I don't
8 agree with the rest of the statement because it will
9 have no impact because if it's ignored, how is it going
10 to be a useful tool for in some way influencing the
11 level of Salmonella on the ground beef?

12 MR. GARRETT: Maybe I could suggest a fix
13 from the chair. If we were to say "purchasing
14 specifications with microbiological limits for
15 microorganisms may be one measure", so that they're in
16 fact adhered to, they work. If they're not adhered to,
17 they don't and don't go moving on whether they're
18 performance standards or not.

19 Catherine?

20 DR. DONNELLY: I agree with Bruce and strike
21 that whole sentence because I think it's a stronger
22 scientific document without any reference to purchasing
23 specifications. I think now we're straying into
24 economic and other considerations, not sticking to the

1 science that we were required to do.

2 MR. GARRETT: Bruce?

3 DR. TOMPKIN: Clarification. This is Bruce
4 Tompkin.

5 Which sentence did you mean? The one that
6 was added by Bob?

7 DR. DONNELLY: That starts with "purchase
8 specifications".

9 DR. TOMPKIN: Oh. Well, actually, if I may,
10 the proposed sentence that Dan offered, "purchasing
11 specifications" and so on, really can be referenced by
12 an article published by Dane Bernard and others in
13 Environmental Sanitarian and so on, and we do endorse
14 and support this as a good management approach to
15 controlling contamination. So, I think the concept is
16 sound, and we do use it.

17 MR. GARRETT: From the chair, as I understand
18 it, you're supporting the sentence as it was first --

19 DR. TOMPKIN: Yes.

20 MR. GARRETT: -- proposed?

21 DR. TOMPKIN: As proposed by --

22 MR. GARRETT: Bob has proposed be modified.

23 DR. TOMPKIN: Sure.

24 MR. GARRETT: Would you also support changing

1 the word "are" as to "may"?

2 DR. TOMPKIN: That's fine. Yes, I do support
3 that.

4 MR. GARRETT: And then, would that solve
5 everybody's problem? Dan, would you agree with that as
6 well? Any objections to that?

7 So noted. So, it would be may be. So,
8 "performance specifications with microbiological limits
9 for various microorganisms may be one measure which
10 grinding operators can use to control (i.e. limit)
11 contamination."

12 Any more on Page 3 -- 7? Where are we? Dan?

13 DR. ENGELJOHN: Engeljohn again.

14 On the same Page 7, I'm sorry, on the
15 paragraph before that, where it references the PR HACCP
16 rule and the Philadelphia report, I think that we
17 should make those attachments, so that there is some
18 context to what those statements are, and I'd be glad
19 to write up a summary of the two, rather than have the
20 entire documents. I can put the pertinent summaries as
21 an attachment and reference them.

22 MR. GARRETT: Well, they're actually
23 referenced as attachments. We have 27 references. Do
24 you want to just attach those, too, to the document?

1 Is that your intent?

2 DR. ENGELJOHN: I guess I would ask the
3 question. Do you want the entire documents referenced
4 or just the sections?

5 MR. GARRETT: Generally, I've been taught
6 when you -- if you're going to -- you don't attach an
7 incomplete reference. So, I would suggest that if
8 people are interested, they can just go to the
9 reference or it's as you wish, but it's difficult to
10 address an incomplete reference.

11 John?

12 DR. LUCHANSKY: Just because I brought it up,
13 I wonder. When you reread the sentence that Dan
14 proposed, you said "various". Did we take Bob's
15 suggestion for Salmonella or mine to say "selected"?

16 MR. GARRETT: Yes. I misread it. I'm sorry.

17 DR. LUCHANSKY: Okay. So, it would read then
18 for "Salmonella and/or selected other microorganisms".
19 Is that what's on the table?

20 MR. GARRETT: "Purchasing specifications with
21 microbiological limits for selected microorganisms are
22 one measure which may".

23 DR. LUCHANSKY: For Salmonella and/or
24 selected microorganisms? Bob indicated he wants

1 Salmonella in there.

2 MR. GARRETT: No.

3 DR. LUCHANSKY: No?

4 MR. GARRETT: No. It's not just restricted
5 to Salmonella.

6 On this sentence, are we done with this
7 sentence? Bruce?

8 DR. TOMPKIN: I have one brief comment on the
9 sentence before.

10 MR. GARRETT: Well, I take it we're done with
11 this sentence? Very well.

12 "Purchasing specifications with
13 microbiological limits for selected microorganisms may
14 be one measure which grinding operations can use to
15 control (i.e. limit) contamination." One measure. One
16 measure.

17 Okay. The sentence above that? Bruce?

18 DR. TOMPKIN: Yes, thank you.

19 It's very simple. Over in the far right-hand
20 side of that sentence above, where it says, "raw
21 products", I would suggest we use "raw ingredients"
22 just for clarity.

23 MR. GARRETT: Got acceptance? I'm not that
24 familiar with your -- I mean, can you have more

1 ingredients than that, than just meat or poultry or
2 meat and --

3 DR. TOMPKIN: It's really beef trimmings, but
4 that's the same thing, but you leave it ingredients,
5 then it's -- you're safe.

6 MR. GARRETT: Bill Sperber?

7 DR. SPERBER: This is Bill Sperber.

8 Would you accept raw materials?

9 DR. TOMPKIN: That's fine.

10 MR. GARRETT: Dane Bernard?

11 MR. BERNARD: Thank you, Chairman.

12 Right under the major heading "Salmonella
13 Performance Standards", I would move that we begin the
14 paragraph with the "Salmonella Performance Standards
15 were designed to reflect process control and slaughter
16 and ground beef operations", strike --

17 MR. GARRETT: Dane, Dane. Wait. Slow down.
18 You're going to have to talk slower or louder or
19 something.

20 MR. BERNARD: Sorry about that. I move to
21 strike the first part of that sentence, all the way up
22 to the comma.

23 DR. BUCHANAN: Where are you, Dane?

24 MR. BERNARD: "Salmonella Performance

1 Standards", the major heading. It doesn't read well.
2 We'd probably need to add a good deal to it, and I'm
3 not sure that it's worth the effort.

4 We went through a long discussion that this
5 is the major source of Salmonella. I think we all
6 agreed, but there are other potential sources of
7 Salmonella when one looks at the picture and just to be
8 brief about it, let's just start with the "The
9 Salmonella".

10 DR. WACHSMUTH: Point of clarification.

11 MR. GARRETT: So, what you're saying --

12 DR. WACHSMUTH: When Spencer started, he
13 mentioned that we're reformatting to address questions
14 that came up yesterday and last night, the duality of
15 the paper and that's why in the beginning, it's the
16 General Principles. Then it goes to exactly what the
17 agency asked and what the agency is implying.

18 That's why the headings are there. So, be
19 careful. I mean, even though it looks -- may not look
20 like it belongs in a sentence, it's a bridge. It's one
21 of those bridges that we're trying to build.

22 MR. BERNARD: I'm sorry, Madam Chair.

23 The heading I'm not talking about, but you
24 say the first part of that sentence is necessary

1 because of a bridge that needs to be there?

2 DR. WACHSMUTH: I thought you were suggesting
3 eliminating the heading.

4 MR. BERNARD: No, no, ma'am.

5 DR. WACHSMUTH: Okay.

6 MR. BERNARD: No, ma'am.

7 DR. WACHSMUTH: Fine.

8 MR. GARRETT: So, as I understand it, you're
9 recommending deleting the first line and the second
10 line up to the comma after "occurs", is that correct?

11 MR. BERNARD: That's correct.

12 MR. GARRETT: And you would then start the
13 sentence, "The Salmonella Performance Standards were
14 designed to reflect the process control"?

15 MR. BERNARD: Correct.

16 MR. GARRETT: Bob?

17 DR. BUCHANAN: Just a small point. I don't
18 think they were designed to reflect process control.
19 They were designed to verify process control.

20 MR. GARRETT: Could we agree that they were
21 designed to verify process control? Could we agree
22 then to Dane's suggestion to delete the first line and
23 the second line up to the comma? Without exception.

24 Are there any more -- Dane?

1 MR. BERNARD: Thank you.

2 In the next paragraph in that same section,
3 Mr. Chairman, "The subcommittee points out that when"
4 and it now reads "HACCP systems", I would like to
5 substitute for HACCP systems the following, and I'll
6 read it, and then we can talk about it.

7 Instead of HACCP systems, "conditions
8 contributing to cross-contamination or growth are
9 effectively controlled". There are several things that
10 may contribute to Salmonella that may be outside
11 somebody's specific HACCP plan, but basically we're
12 talking about opportunities for contamination and
13 growth.

14 MR. GARRETT: Can you read that slowly?
15 "Conditions contributing"?

16 MR. BERNARD: "When conditions contributing
17 to cross-contamination or growth are" --

18 MR. GARRETT: Wait, wait, wait, wait.

19 MR. BERNARD: Sorry.

20 MR. GARRETT: "To cross-contamination" --

21 MR. BERNARD: "Or growth" --

22 MR. GARRETT: -- "or growth" --

23 MR. BERNARD: -- "are effectively controlled
24 in ground beef operations", and then we would strike

1 "are adequate and verified".

2 MR. GARRETT: So, it would read, "The
3 subcommittee points out that when", are you keeping the
4 "when"? I don't think you are.

5 MR. BERNARD: Yes.

6 MR. GARRETT: You are? Okay. "Conditions
7 contributing to cross-contamination or growth are
8 effectively controlled in ground beef operations", and
9 then it's just period?

10 MR. BERNARD: "In ground beef operations",
11 then strike "are adequate and verified", and then it
12 reads, "as proposed."

13 MR. GARRETT: Oh, okay. So, after
14 "controlled", there's a comma, then it says, "the
15 measure of Salmonella". Now, here's the "reflects"
16 again. Would you rather have the word "verifies the
17 microbiological" -- well, I don't know. It's beyond
18 me.

19 Bob?

20 DR. BUCHANAN: I don't want to particularly
21 object to the wording or the substitution, but I would
22 point out conceptually that if such -- if growth and
23 cross-contamination were uncontrolled but not part of a
24 HACCP plan, that you have an inadequate HACCP plan or

1 the implementation of the HACCP plan is inadequate.

2 To have missed those two in a HACCP plan, if
3 those are the major sources of the problem, and I do
4 have a concern that somebody wasn't implementing HACCP
5 very well.

6 MR. GARRETT: Katie?

7 DR. SWANSON: This is Swanson.

8 I think the intent of this section was
9 supposed to be reflecting on how current performance
10 standards are working, and the sentence as it reads, I
11 think, is better suited for that purpose.

12 If the standard is there to measure or to
13 verify process control, what this sentence is pointing
14 out is that it doesn't really work because if HACCP is
15 in place, the Salmonella that you find is just a
16 reflection of what came in on your incoming ingredients
17 and stating it as it is currently drafted, I think, is
18 more effective in making that point.

19 DR. BUCHANAN: I'd also, in that regard, like
20 to point out that if you go back to this Committee's
21 HACCP documents, the inclusion of incoming material for
22 consideration in your hazard analysis and hazard plan
23 was an integral part of all of our recommendations, and
24 I have some concerns about this section in terms of if

1 you are not controlling your incoming material, then
2 you're not following our own guidance.

3 MR. GARRETT: Dane, then Bill Sperber. Dane
4 first.

5 MR. BERNARD: I will, because I'm in such a
6 darn good mood and still jet lagged, I'll withdraw my
7 intervention.

8 MR. GARRETT: Bill?

9 DR. SPERBER: Yes. This is Bill Sperber.

10 I hate to do this to you, but I think it's
11 got to be done. The first sentence that's been changed
12 to the "Salmonella Performance Standards were designed
13 to verify process control", I think we need to strike
14 the word "verify" because it's a heavily-loaded term
15 from our HACCP procedures, and verification activities
16 are activities that occur quite regularly, daily,
17 weekly, monthly.

18 Salmonella Performance Standards are enforced
19 or evaluated very infrequently, like maybe once a year.

20 So, I think at best, we could say the "Salmonella
21 Performance Standards were designed to indicate process
22 control" and even process control is very loose because
23 all you're doing is indicating that you're within a
24 national baseline that was determined in 1995, and some

1 products might have 10-percent contamination and others
2 might have 50-percent contamination and that's all that
3 you're indicating by collecting the performance
4 standard data.

5 So, I would move that we replace the word
6 "verify" with "indicate".

7 MR. GARRETT: Any support for that insertion?
8 Dan Engeljohn?

9 DR. ENGELJOHN: This is Engeljohn.

10 Bill, I don't agree with that. I think
11 "verify" is the proper term. To make it more accurate,
12 if it's designed for the agency or for FSIS to verify
13 process control, it gets at it a little more clearly,
14 but we do use "Salmonella Performance Standards to
15 verify process control".

16 MR. GARRETT: Would you accept that
17 explanation, Bill?

18 DR. SPERBER: Yes, I'll go along with that.
19 I'd just like to make one further point in the second
20 paragraph, is that when you're talking about HACCP
21 systems in a grinding operation, I assume that's what's
22 meant by a ground beef operation, that there really is
23 no reduction step or CCP in a grinding operation, and
24 so without a CCP, you don't have a HACCP system.

1 So, perhaps we should rethink the use of
2 HACCP systems in that first sentence of the second
3 paragraph there.

4 MR. GARRETT: Yeah. I think it's a duality-
5 type issue. The way I read it, if you have your
6 effective HACCP systems in a ground beef operation, I
7 guess that's where you're getting your cuts and your
8 primals and all that kind of stuff. I don't know your
9 lingo.

10 But then when it goes to a grinding
11 operation, in other words, if it's adequate, where the
12 raw material's being prepared, and then it goes as raw
13 material to the grinding operation, the grinding
14 operation should theoretically be okay. Is that what
15 we're saying? Provided the subsequent transport and so
16 forth was appropriate. Is that what this sentence is
17 saying? I thought that's what Katie was inferring.
18 Did I do that about half right?

19 DR. SWANSON: I think so.

20 MR. GARRETT: I could be half wrong. But,
21 see, I think that that's what they're saying. They're
22 going from a ground beef operation to, as I understand
23 it, to -- and I see where you're coming from because it
24 says ground beef operation, to grinding. Maybe just

1 ought to say in beef operations are adequate and
2 verifiable.

3 DR. SPERBER: You mean, --

4 MR. GARRETT: Bruce?

5 DR. SPERBER: -- if it was coming from a beef
6 slaughter operation --

7 MR. GARRETT: Yeah. Or something like that.

8 DR. SPERBER: -- to a grinder.

9 MR. GARRETT: Bruce?

10 DR. TOMPKIN: This is Bruce Tompkin.

11 The current discussion over whether it's
12 controlled through HACCP prerequisite programs is a
13 longstanding debate that has been underway between the
14 agency and the industry, and I don't think we're going
15 to resolve it in this particular document,
16 unfortunately, and so, and my sympathies are with Bill
17 in the sense that it's really a matter of where you
18 place these prerequisite programs, such as checking
19 incoming raw materials.

20 It's where you're going to upgrade -- if
21 you're going to upgrade them to a CCP and hold
22 ingredients before they're used, that, you could kind
23 of fit that in to the CCP, but otherwise, I would
24 generally agree that this is controlled through

1 prerequisites rather than through HACCP.

2 MR. GARRETT: Bob Buchanan, then Dan
3 Engeljohn, then Skip.

4 DR. BUCHANAN: I'll just reflect again on
5 past work of the Committee, that identified for beef
6 slaughter and the production of beef products, that
7 maintenance of the cold chain was of such importance
8 that we identified it as a CCP unto itself, and so
9 again, at some point, it was the recommendation of this
10 Committee that we consider the cold chain HACCP.

11 MR. GARRETT: That took care of it? What I
12 sense is a consensus, if you would, to keep this
13 sentence as written. Is that essentially true? All
14 right.

15 Moving on, any more on Page 7?

16 DR. BEUCHAT: Spencer?

17 MR. GARRETT: Yes?

18 DR. BEUCHAT: A minor point. Number 2, about
19 two-thirds of the way down the page, the word "shows"
20 should be "show".

21 DR. WACHSMUTH: I think we could finish
22 Question 2, and then we need to open for Public
23 Comments.

24 MR. GARRETT: That's fine.

1 What I was suggesting doing was perhaps
2 taking a break when we finish Question 2. Could we do
3 that or should we have the Public Comment first? If
4 the Public Comment was indicated for a certain time,
5 then I --

6 DR. WACHSMUTH: Public Comment is scheduled
7 for 4:45.

8 MR. GARRETT: Okay. Fine.

9 DR. WACHSMUTH: I don't think we had anyone
10 sign up. Let's just ask if we have --

11 MR. GARRETT: Is there anyone from the public
12 that's going to speak? Would like to -- oh, there is.

13 DR. WACHSMUTH: We do have one.

14 MR. GARRETT: Sure we do. We have people
15 from the public here.

16 DR. WACHSMUTH: We did have someone sign up.

17 DR. SMITH: Can I just -- a procedural issue.
18 After Public Comment, you'll be continuing for how
19 long?

20 DR. WACHSMUTH: It's a good question. I was
21 just asking the exec sec. It depends on many things,
22 like the mikes, the recorder. Hang on one second.
23 We're going to find out how long we can stay.

24 MR. GARRETT: Well, can I continue while

1 we're finding out?

2 DR. WACHSMUTH: Sure.

3 MR. GARRETT: On the top of Page 8 then, we
4 did add a new bullet, which indicates "The data from
5 the Salmonella Performance Standard Program in the year
6 2001 should be made public, so as to provide guidance
7 to the industry in order that their commercial
8 operations may access their process control relative to
9 the industry."

10 DR. TOMPKIN: Spencer?

11 MR. GARRETT: Bruce?

12 DR. TOMPKIN: That really doesn't fit in that
13 section anymore, the way it is. You know, this
14 material has been revised, and as much as I would like
15 to see that retained, I don't have a good place to put
16 it, but it should be pulled out of there. It really
17 doesn't have to do with the indicators, the use of
18 indicators and so on.

19 MR. GARRETT: Well, should it go under Risk
20 Assessment? Would that be a better place to put it?

21 DR. BUCHANAN: Spencer, can I recommend that
22 that may belong in Question 3?

23 MR. GARRETT: Say again?

24 DR. BUCHANAN: You're talking about Number 5

1 in that list right now?

2 MR. GARRETT: Yes, under Question 2. I'd
3 like to try to get a closure to Question 2.

4 DR. BUCHANAN: Right. And --

5 MR. GARRETT: Could we agree that we're going
6 to keep the statement and then just find a home for it?
7 Is that the --

8 DR. BUCHANAN: And my suggestion was that
9 that may be best considered under Question 3.

10 MR. GARRETT: 3?

11 DR. BUCHANAN: Yeah.

12 MR. GARRETT: Because it deals with data?

13 DR. BUCHANAN: Yes.

14 MR. GARRETT: That would be your
15 understanding?

16 DR. BUCHANAN: Yep.

17 MR. GARRETT: So, that then will move to
18 Question 3 and then that brings us to completion of
19 Question 2, and do we now have information pertaining
20 to about how long we can be expected to go on?

21 DR. WACHSMUTH: You can have another hour.

22 MR. GARRETT: Very well. Well, let me point
23 out several -- a couple points here. One is that I
24 would like to discuss at least the General Principles

1 that we have for Question 3, the text that we have for
2 Question 3, realizing that the data issues, the data
3 issues are -- we're going to have to consider later,
4 and I have another comment before we close on that and
5 then go to Question 4.

6 Now, what we can do, if you would like, it
7 would be this evening, to go to Question 4 first while
8 we all seem to be into this thing and then take
9 Question 3 up in the morning, but I do think, even
10 though we're deferring parts of Question 3 dealing with
11 the data, we should, though, reach agreement in our
12 Plenary Session here on the text just as we are the
13 other three questions.

14 Mike Jahncke?

15 DR. JAHNCKE: Mike Jahncke.

16 I don't want to jump back, but I want -- as
17 we're going through this, I want to make a general
18 comment on Question 1 and this is just very general.

19 When I first read through the information on
20 Question 1, I found it difficult to follow, and I
21 realized after looking at Question 2 why. Question 2
22 has nice subheadings. I think on Question 1,
23 everything sort of runs together, and if the
24 subcommittee can get together and just find some

1 appropriate subheadings to break that up, I would -- I
2 think it would make it much easier to follow because
3 that's really the -- makes the rest of the document
4 confusing if that part is tough to follow.

5 MR. GARRETT: I think we certainly could do
6 that. As I indicated at the beginning, there's still
7 some formatting issues with which we have to deal, and
8 I was hoping that we could probably go ahead and
9 approve this since it just deals with formatting or
10 subheadings and let's do that perhaps even after we
11 leave. Staff do it or somebody will do it.

12 Bob?

13 DR. BUCHANAN: I just -- I'm trying to think
14 of a practical limit on whether or not we're going to
15 survive another hour today and still be addressing this
16 in a manner that we're not going to have to go back and
17 fix it again tomorrow morning.

18 So, I'm not sure. I'm getting to the point
19 of diminishing returns personally.

20 MR. GARRETT: Okay. Well, I tend to agree.
21 My major professor said that the person with the
22 fullest bladder is the most alert person in the room,
23 and I can tell you folks, I'm pretty alert right now.
24 You see how quick I am off the dime on some of these

1 things?

2 But would we like to go ahead and have our
3 Public Comment period now or would you like to wait
4 five minutes and then have our Public Comment period?
5 I'd kind of like to wait five minutes, if we could.

6 DR. WACHSMUTH: Spencer, I'll take the chair
7 from you.

8 Let's ask Caroline, who signed up, her
9 preference. Would you like to speak or would you like
10 to wait five minutes?

11 DR. SMITH: If you don't mind, I would be
12 very brief.

13 MR. GARRETT: Fine.

14 DR. WACHSMUTH: Okay.

15 MR. GARRETT: Go ahead. You know, being a
16 Brubeck fan, I understand take five.

17 Public Comment

18 MS. SMITH-DEWAAL: So, I'll be very brief.

19 This is clearly a work-in-progress, and I
20 think you have made some progress since yesterday, but
21 I have significant concerns after listening to the
22 debate.

23 I hear very solid strong advocacy and
24 representation on behalf of Keystone Industries,

1 ConAgra, Farmland, Cargill, but I'm not hearing an
2 urgency about getting the performance standards in
3 place for ground beef to protect the public. I'm not
4 hearing the same level of urgency or advocacy, but
5 seeing the Committee now take out any reference of
6 fecal contamination in the meat supply, and I saw a
7 very effective filibuster of the issue of connecting
8 the clear connection between performance standards and
9 public health goals, and I know the subcommittee's
10 going to go back tonight and iron that out.

11 But I'm not confident in how it's going to
12 come out. So, I have -- and I also see that the
13 subcommittee and the Committee may now have adopted the
14 concept of a full-blown risk assessment, maybe not a
15 qualitative risk assessment, yet the suggestion is that
16 you take the model, this five- or six-year model, used
17 for E.coli 0157:H7 in ground beef and now just use that
18 model to address it in Salmonella.

19 I can guarantee you that's going to be
20 another two- to three-year process at best. I mean, I
21 haven't seen one of these that went quickly. So, I
22 don't think -- you know, maybe there is some urgency,
23 maybe I'll hear it tomorrow, but this paper continues
24 to look like it's being dominated by the food industry

1 and that it's going to delay a needed public health
2 measure for ground beef.

3 Just one last comment. This last sentence
4 you've been talking about, where, you know, if the
5 HACCP systems are in place with ground beef operations,
6 and they are adequate and verified, you shouldn't have
7 the microbial condition of the meat coming out of the
8 grinder affecting incoming product.

9 That's exactly the issue in Supreme Beef, and
10 the Committee's now come out and said, well, it's all
11 incoming product. Where's your critical control point?

12 This Committee couldn't tell us, and I can tell you
13 that consumers want to know what the critical control
14 point or measure is for meat coming out of that
15 grinder.

16 The Salmonella Performance Standards told us
17 more than what the incoming product was. It told us
18 what the conditions were inside the grinder. If the
19 meat coming out is contaminated, it means the grinder
20 itself is contaminated. Tell me where the critical
21 control point is. Tell me how it's going to be
22 verified short of testing the meat coming out of the
23 grinder.

24 So, you know, I know the Committee decided to

1 keep this sentence. I think that sentence is very
2 dangerous. I'm not sure it does what you meant it to
3 do, and I think it deserves being struck.

4 Thank you.

5 DR. WACHSMUTH: Caroline, before you sit
6 down, could you be specific about the sentence?

7 DR. SMITH: It is under Salmonella
8 Performance Standards, Page 7. It says, "The
9 subcommittee points out when HACCP systems in ground
10 beef operations are adequate and verified, the
11 measurement of Salmonella reflects the microbial
12 condition of the raw products acquired from grinding
13 and not the process control within the grinding
14 facility."

15 That was a critical point in the lawsuit. We
16 are -- we were amicus to the lawsuit, and I reviewed
17 all the pleadings. That's a very critical point, and
18 this Committee's now said, oh, it's all incoming
19 product. Well, where are your critical control points,
20 and how do you ensure -- what's the one on the grinder?

21 What's the critical control point that ensures that
22 that cross-contamination isn't happening in the
23 grinder?

24 Bill Sperber doesn't know. I don't know who

1 knows in this -- on this Committee, and that sentence,
2 I think, is very problematic. It says this Committee
3 says it's all incoming product. Well, where's your
4 critical control point?

5 Bob Buchanan has said that this Committee has
6 previously said that incoming product is a critical
7 control point. I believe that, Supreme Beef did not.
8 They didn't believe that their incoming -- they were
9 responsible for their incoming product, and the meat
10 they were putting out was highly contaminated. About
11 50 percent of the first round of samples were
12 contaminated.

13 So, I mean, this really -- I think this
14 sentence deserves to be struck. I think Dan
15 Engeljohn's intervention is helpful because purchasing
16 specifications are a control element, but I think that
17 this really falls in directly to the Supreme Beef
18 argument, and I'm surprised to see the Committee
19 commenting on it.

20 Did you have any other questions?

21 DR. WACHSMUTH: Nope. That was fine. Oh, I
22 did have one comment. I know the attempt was made last
23 night to avoid the recommendation that there has to be
24 a five-year risk assessment. The references that were

1 added were references to risk management which stated a
2 different kind of evaluation, not a risk assessment.

3 So, the impression -- if you got that
4 impression, then the subcommittee did not do what it
5 thought it was doing.

6 DR. SMITH: Can I just -- and maybe Under
7 Secretary Murano wouldn't want to answer this, but I
8 think a conservative reading of the sentence on Page 4
9 that says, in parenthesis, I believe -- oh, no.

10 "The subcommittee notes that appropriately-
11 substituting prevalence data and dose response
12 relationship for Salmonella in the FSIS Risk Assessment
13 for E.coli 0157:H7 in ground beef may provide a means
14 for developing a risk assessment model for Salmonella
15 in ground beef."

16 A conservative regulator reading that may
17 interpret that sentence to say they told us to do a
18 risk assessment, and this is how we have to do it.
19 Unless you also have a sentence that says do it soon
20 and do baseline data while you're waiting for this risk
21 assessment, this risk assessment model, I mean, Kaye,
22 tell me, how many years have you been working on it?

23 DR. WACHSMUTH: Too long.

24 DR. SMITH: A very long time, and just

1 substituting a few little numbers, I tell you, it's
2 going to take years. I can't imagine that we'd see any
3 risk assessment in less than two to three years.

4 DR. WACHSMUTH: I think my point is that the
5 subcommittee agrees with you, and so if you have that
6 impression, then we probably -- the subcommittee needs
7 to work with the text because I don't -- that was not
8 the intent.

9 DR. SMITH: Okay. Well, thank you.

10 MR. GARRETT: Madam Chair, before you leave,
11 let me just point out a factual statement that appears
12 in the report on Page 3 in the 1-2-3- -- under Question
13 1, the second paragraph, the fifth line, if I'm right -
14 - fourth line, begins with "consideration of risk".

15 "The consideration of risk may not
16 necessitate in all situations an in-depth quantitative
17 risk assessment which requires extensive resources and
18 time, particularly if it would unnecessarily delay
19 timely protection of public health."

20 DR. SMITH: And that, I appreciate the intent
21 of the subcommittee and the Committee including that
22 in. I think that that is a very important sentence in
23 this document.

24 MR. GARRETT: Well, let me continue to read

1 then, if I could, Caroline.

2 "The decision to undertake a formal
3 quantitative risk assessment versus a qualitative
4 evaluation of risk requires consideration of multiple
5 factors, such as the availability of quality of data,
6 the degree of consensus", get this, "the degree of
7 consensus of scientific opinion, time constraints and
8 the potential consequences for the decisions reached."

9 DR. SMITH: And that -- those as general
10 principles, I think, are absolutely on target, and I
11 actually like the beginning of the paragraph without
12 some of the recommendations made by the esteemed Dr.
13 Tompkin, but where the straight connection between the
14 performance standard and public health, those are very
15 important principles.

16 The problem is that you also give general
17 principles, but you also have specific principles going
18 to the E.coli -- the Salmonella Performance Standard in
19 ground beef, and I'm concerned that this sentence on
20 Page 4 would be interpreted as your specific
21 recommendation, not the general principle. That would
22 be my concern.

23 MR. GARRETT: Okay. Thank you.

24 I believe Dr. Buchanan wants to make a

1 comment.

2 DR. BUCHANAN: Just to provide a bit of
3 explanation to make sure that we're talking about the
4 same thing, is that, the sentence in question was
5 inserted because FSIS thought it important for the
6 Committee to point out our discussion about the fact
7 that you could shortcut a full risk assessment if it
8 was deemed that that was necessary by not rediscovering
9 the wheel but basically by using the framework that had
10 already been established for E.coli and in its place
11 cutting off a year's worth of time by using that
12 framework but then using the data that we have
13 available on Salmonella.

14 So, while you may have interpreted it and
15 while it may in fact give that impression to, when
16 taken out of context, the desire of the Committee was
17 to actually point out a method to FSIS on how they
18 could basically knock a year's worth of time off the
19 clock if they felt that a risk assessment was needed.

20 MR. GARRETT: Okay. With that understanding
21 then, could we take a -- well, gee, if we're going to
22 come back in the morning, we don't have to take a five-
23 minute break.

24 DR. WACHSMUTH: No. I think Bob brought up a

1 good point about the quality of the discussion. We
2 went strong this morning. We got through all the
3 documents, and I think you've made good progress this
4 afternoon.

5 I believe we may do better to refine the text
6 that we have right now, the suggestions that we have,
7 and then tomorrow morning, we'll start with -- we'll
8 finish off the hot-holding and the blade-tenderized,
9 and then we'll have the rest of the morning to do this,
10 and those should be short discussions.

11 MR. GARRETT: Fine. Well, what I would
12 suggest is that our subcommittee get together very
13 quickly. I want to remind us that we have to go back
14 to this other paragraph on Page -- where was it? Page
15 3.

16 DR. WACHSMUTH: That's exactly what I'm
17 suggesting.

18 MR. GARRETT: Yes, and if we could just go
19 ahead and resolve that.

20 DR. WACHSMUTH: Resolve those --

21 MR. GARRETT: Yeah.

22 DR. WACHSMUTH: -- before morning.

23 MR. GARRETT: Okay.

24 DR. WACHSMUTH: And review anything in the

1 context of --

2 MR. GARRETT: But I would still be willing to
3 take a five-minute break before we all get together
4 again or you all can go on. Well, I'll tell you what,
5 why don't you go ahead without me? You'd probably do
6 it anyway.

7 DR. WACHSMUTH: Okay. So, we will officially
8 end the proceedings.

9 MR. GARRETT: But let's stay in this room.

10 DR. WACHSMUTH: And the microphones and the
11 reporter will now go, but we have the room, I was told,
12 even all night, if you want it.

13 So, the official public meeting is now over.

14 (Whereupon, at 5:07 p.m., the meeting was
15 adjourned, to reconvene tomorrow morning, Friday,
16 January 25th, 2002, at 9:00 a.m.)

17

18

19

20

21

22

23