

UNITED STATES OF AMERICA  
DEPARTMENT OF AGRICULTURE  
FOOD SAFETY AND INSPECTION SERVICE  
NATIONAL ADVISORY COMMITTEE  
on  
MICROBIOLOGICAL CRITERIA FOR FOODS

Plenary Session

Jurys Doyle Hotel  
1500 New Hampshire Ave., N.W.  
Washington, D.C. 20036

Wednesday, August 28, 2002

8-28-02 NACMCF Meeting Participants

Attendees: Chair: Dr. Merle Pierson, USDA

Vice-Chair: Dr. Robert Brackett, FDA

NACMCF Members:

Dr. David Acheson  
 Mr. Dane Bernard  
 Dr. Larry Beuchat  
 Dr. Bob Buchanan  
 Dr. Catherine Donnelly  
 Dr. Stephanie Doores  
 Dr. Frances Downes  
 Dr. Dan Engeljohn  
 Dr. Jeff Farrar  
 Mr. Spencer Garrett  
 Dr. Tsegaye Hahtemariam  
 Dr. Michael Jahncke  
 Dr. Mahipal Kunduru  
 Dr. Anna Lammerding  
 Dr. John Luchansky  
 Dr. Roberta Morales  
 Dr. Marguerite Neill  
 Dr. Alison O'Brien  
 Dr. Skip Seward  
 Dr. Bill Sperber  
 Dr. Balasubramanian Swaminathan  
 Dr. Katie Swanson  
 Dr. Dave Theno  
 Dr. R. Bruce Tompkin

Executive Committee: Dr. Art Liang, CDC  
 Dr. Carol Maczka, FSIS  
 Maj. Erik Tarring, VSA

FSIS Staff: Mr. Victor Cook  
 Ms. Brenda Halbrook  
 Ms. Karen Thomas

FDA Staff: Dr. LeeAnne Jackson

NMFS Staff: Ms. Emille Cole  
 Ms. Barbara Comstock  
 Dr. Al Rainosek

Outside Participants: Mr. Tony Corbo, Public Citizen  
Ms. Nancy Donley, STOP  
Ms. Barbara Kowalcyk, consumer  
Dr. Robert Mandrell, ARS  
Ms. Felicia Nestor, GAP  
Dr. Norman Stern, ARS  
Ms. Caroline Smith DeWaal, CSPI

## 1 P R O C E E D I N G S

2 9:17 a.m.

3 DR. PIERSON: Good morning. We'll go ahead  
4 and get started with our meeting.

5 I'd like to welcome you to the Second Plenary  
6 Session for 2002 of the National Advisory Committee for  
7 Microbiological Criteria for Foods.

8 My name is Merle Pierson, and I'm Deputy  
9 Under Secretary for Food Safety with USDA, and next to  
10 me is Bob Brackett, who is with the Food and Drug  
11 Administration.

12 I will be chairing this Committee. This is  
13 my first time to chair the Committee. I believe Kay  
14 Wachsmuth was my predecessor. I'm not new to the  
15 Committee. Let's put it that way. I served seven  
16 years on this Committee, and I see some, I was going to  
17 say old faces but I mean that, you know, figuratively.

18 I have had the opportunity to serve with many of you  
19 on this Committee and have very fond memories. I know  
20 there's a tremendous learning experience being on the  
21 Committee and I very much appreciated at that time  
22 being able to contribute to documents that then were  
23 used by agencies as guidance material for their  
24 decision processes and policy and regulations and the

1 like. So, you know, I thank you for being on the  
2 Committee and again well realize the importance of your  
3 service.

4 This session actually brings to a close the  
5 current two-year cycle for the Committee. The  
6 Committee -- the cycle for the Committee started, the  
7 charter started September 6th of the year 2000. Now,  
8 does that take care of it for the Committee? No. We  
9 are going through the rechartering process again.  
10 Brenda knows how to do that quite well, and we will  
11 also at the same time be reconstituting the membership  
12 of the Committee. The Committee will be rechartered on  
13 or before September 6th, and we hope to have a final  
14 nominations packet signed by Secretary Veneman within  
15 the month or so of the rechartering.

16 As with any cycle on this Committee, some  
17 members rotate off and there are others who do not seek  
18 re-appointment to the Committee, and so this time is no  
19 exception. We have some people that are leaving the  
20 Committee. I very, very much appreciate these people's  
21 service on the Committee and the work that they have  
22 done. I know it's quite a bite out of your time and,  
23 you know, the pay also is not overwhelming. You get  
24 free coffee, muffins. That's about it.

1           Now, leaving us on this cycle is Dr. Mike  
2 Jahncke of Virginia Tech. Mike, where are you? Thank  
3 you. Margaret Neill of Memorial Hospital of Rhode  
4 Island. She's not here? Okay. I know I remember  
5 serving on Subcommittees with her. So, she's been on  
6 this Committee for quite some time. And Bill Sperber,  
7 Cargill. Bill, I saw you. There you are. He's one of  
8 the familiar faces from years back, too, on this  
9 Committee. And Dr. Swaminathan of Centers for Disease  
10 Control and Prevention. There you are across the way.

11           So, again thank you very, very much for your  
12 dedicated service on the Committee and we certainly  
13 appreciate all your good work. I know it's been a lot  
14 of time and again a lot of time and effort.

15           On behalf of the full Committee and the  
16 federal agencies, again I'd like to thank you for your  
17 time, for volunteering to be on this Committee and  
18 participating in its various activities. We look  
19 forward to those of you who will continue to serve,  
20 your continued vested interest in the activities of  
21 this Committee and, serving on various Subcommittees.

22           There are some changes that have been made on  
23 the Executive Committee. Major Eric Tarring from the  
24 Department of Defense Veterinary Service has joined the

1 Executive Committee in place of Lt. Col. Robert Webb.  
2 Eric? There's Eric. Okay.

3 Dr. Carol Maczka of USDA/FSIS. She's Acting  
4 Director of the Risk Assessment Division of the Office  
5 of Public Health and Science. Carol? Right here. And  
6 we welcome you both to the Executive Committee.

7 As we bring this two-year cycle to a close, I  
8 want to very briefly review the accomplishments of the  
9 Committee to date. It's certainly been a very busy two  
10 years. Before I became Deputy Under Secretary, I  
11 continued to follow the activities of this Committee,  
12 also, with great interest. The Committee has provided  
13 guidance to FDA on hot-holding temperatures in the Food  
14 Code, reviewed the practice of blade-tenderizing steaks  
15 and roasts for its relation to contamination with  
16 *E.coli* O157:H7, and with this meeting reviewed the  
17 Codex Discussion Paper on Proposed Draft Guidelines for  
18 Validation of Food Hygiene Control Measures.

19 Finally, the Committee has undertaken the  
20 monumental task of evaluating the existing performance  
21 standards for *Salmonella* in ground beef and defining  
22 the general principles that are the underpinnings of  
23 all future discussions of performance standards that  
24 will serve the needs of FSIS and all other federal

1 agencies that are concerned with performance standards.

2 Their work is not done, however, as they  
3 consider performance standards for other products and  
4 evaluate the fundamental issues surrounding performance  
5 standards themselves. All of these activities help to  
6 answer scientific questions so that sound science-based  
7 policies can be made by the respective federal  
8 government agencies.

9 With that, I would like to turn the meeting  
10 over to Dr. Bob Brackett who's co-chair.

11 DR. BRACKETT: Thanks, Merle.

12 Good morning, and I would also like to  
13 welcome both the Committee members as well as the  
14 guests in the room and attendees to this Plenary  
15 Session.

16 For those of you who don't know me and I know  
17 many of you, I know quite well, I am Bob Brackett, and  
18 I'm the Director of Food Safety at the Center for Food  
19 Safety and Quality Enhancement at FDA, and I was  
20 recently -- excuse me -- Center for Food Safety and  
21 Applied Nutrition. Old job.

22 I was recently asked to serve as co-chair of  
23 this Committee with Dr. Pierson, and many of you know  
24 that the previous person in this position was Janice



1 Oliver who is the Deputy Director at CFSAN, and it was  
2 really was with mixed feelings that she decided to ask  
3 me to do this because she was a tremendous supporter of  
4 NACMCF and she greatly appreciates the hard work that  
5 all of you have put in to previous deliberations, and I  
6 would bet that you will see her on occasion at these  
7 meetings just because she does like to come to them.

8 We have a number of different issues that  
9 we're going to discuss during today's meeting. This  
10 morning, we will discuss comments on the document  
11 entitled "Codex Draft Guidelines for Validation of Food  
12 Hygiene Control Measures", followed by the introduction  
13 of a new issue for the Food and Drug Administration and  
14 that is redefining pasteurization in accordance with  
15 the language in the new 2002 Farm Bill. We'll then  
16 take a short break and then reconvene and introduce  
17 another new issue on *Campylobacter*.

18 This afternoon, we'll discuss the current  
19 status of the Shelf Life Subcommittee, which was  
20 included in yesterday's discussion, on criteria for  
21 shelf life based on safety and then we'll wrap up  
22 things this afternoon with a discussion on *Salmonella*  
23 Performance Standards in Meat and Poultry Products  
24 followed by a period for public comment.

1           At this point, I'd like to go around the room  
2 and have the Committee members introduce themselves and  
3 also state their affiliations and to start this, I  
4 think we'll start over on the far side with Katie  
5 Swanson.

6           DR. SWANSON: I'm Katie Swanson with General  
7 Mills.

8           DR. JAHNCKE: Mike Jahncke with Virginia  
9 Tech.

10          DR. DOORES: Stephanie Doores with Penn State  
11 University.

12          DR. THENO: David Theno, Jack-In-The-Box.

13          DR. LAMMERDING: Anna Lammerding, Health  
14 Canada.

15          DR. LUCHANSKY: Good morning. John  
16 Luchansky, USDA/ARS.

17          DR. O'BRIEN: Allison O'Brien, Uniform  
18 Services University Health Sciences.

19          DR. SWAMINATHAN: Bala Swaminathan, CDC.

20          DR. ENGELJOHN: Dan Engeljohn, USDA/FSIS.

21          DR. SEWARD: Skip Seward, the American Meat  
22 Institute.

23          MR. BERNARD: Dane Bernard, Keystone Foods.

24          DR. TOMPKIN: Bruce Tompkin, recently retired

1 from ConAgra Refrigerated Foods.

2 DR. MORALES: Roberta Morales, RTI  
3 International.

4 MR. GARRETT: Spencer Garrett with NOAA  
5 Fisheries, and I'm joined at the table by Emille Cole,  
6 who's my special assistant that assists me in trying to  
7 take the notes at this meeting. Thank you.

8 DR. ACHESON: David Acheson, University of  
9 Maryland.

10 DR. HABTEMARIAM: Habtemariam, Tuskegee  
11 University.

12 DR. DONNELLY: Cathy Donnelly, University of  
13 Vermont.

14 DR. BEUCHAT: Larry Beuchat, University of  
15 Georgia.

16 DR. FARRAR: Jeff Farrar, California  
17 Department of Health Services.

18 DR. SPERBER: Bill Sperber with Cargill.

19 DR. BUCHANAN: Bob Buchanan, FDA.

20 DR. KUNDURU: Mahipal Kunduru with Dole Fresh  
21 Vegetables.

22 DR. TORRING: Eric Topping, DOD Veterinary  
23 Services.

24 DR. LIANG: Art Liang, CDC.

1 DR. JACKSON: LeeAnne Jackson, FDA, Center  
2 for Food Safety and Applied Nutrition.

3 MS. HALBROOK: Brenda Halbrook, FSIS,  
4 Executive Secretary to this Committee.

5 DR. MACZKA: Carol Maczka, FSIS.

6 MS. THOMAS: Karen Thomas, FSIS, advisory  
7 Committee specialist.

8 DR. BRACKETT: Okay. Thank you all, and  
9 Merle?

10 DR. PIERSON: What we'd like to do now is to  
11 consider the minutes of -- from the January 23rd to  
12 25th, 2002, meeting. I believe all of you have the --  
13 this one page with the minutes on it.

14 MS. THOMAS: It should have been sitting at  
15 your desk, at your table.

16 DR. PIERSON: I'll give you a couple minutes  
17 to find the minutes and scan over them, if you would.

18 (Pause to review document)

19 DR. PIERSON: What we'll be doing is making  
20 comments on the summary minutes and then, you know, the  
21 full transcript from the three days of meetings will be  
22 posted on the Web. I'm not sure. Do you want to go  
23 through three days of minutes? Dave says no, we're not  
24 going to do that.

1           So, are there any comments on the summary  
2 minutes?

3           MR. GARRETT: Mr. Chairman, I'd move  
4 adoption.

5           DR. PIERSON: Okay. We're with it. Okay.  
6 Brenda tells me they're adopted. Okay. If there's no  
7 further comment, then we'll declare the minutes as  
8 adopted. Thank you.

9           With that, I will turn the meeting over to  
10 Brenda who has some further items to discuss.

11           MS. HALBROOK: I just have a few housekeeping  
12 announcements. Committee members, if you could please  
13 make sure you've completed your calendars and we will  
14 collect them during this meeting some time today.  
15 Either give them to me or to Karen Thomas, so that we  
16 can plan the future meetings, both Subcommittee and  
17 full Committee meetings. They're of great help to us.

18           I see you all have figured out the  
19 microphones. They need to be turned off and turned on.

20           When you wish to speak, turn them on. When you've  
21 finished speaking, please turn them off. That prevents  
22 a lot of background noise going to the court reporter.

23           Then for the members of the audience, we  
24 would like you to register, if you plan to make any

1 public comments at the end of the day. We have a sign-  
2 up sheet out at the registration desk. So, if you'd  
3 please put your name down, then we can budget the time  
4 better for that half hour segment at the end of the  
5 day, and if we have many speakers, we'll have to limit  
6 the amount of time we allocate to each speaker. So,  
7 please take care of that, and as well, when you get up  
8 to speak, you'll need to turn the microphone on and off  
9 to speak and to finish speaking.

10 That's all I had to say.

11 DR. PIERSON: Okay. Before we start with  
12 the next item on the agenda, which would be  
13 Subcommittee Report by Mike Jahncke, there is one very  
14 important announcement I want to make in case you don't  
15 already know.

16 Brenda will be taking another job within USDA  
17 and this is her last meeting with the National Advisory  
18 Committee on Microbiological Criteria for Foods, and I  
19 want you to know that I personally am very, very -- I  
20 have great reservations about her leaving, but that's  
21 her choice, unfortunately. But she sure does have a  
22 great opportunity relative to career advancement and  
23 it's one of those mixed emotion situations. You wish  
24 the individual the very best and very happy for them to

1 have these opportunities, yet when someone has done  
2 such an excellent job with a Committee leaves, you say  
3 oh, my gosh. So I just wanted to publicly thank Brenda  
4 for her tremendous work steering this Committee in the  
5 right direction and being the glue that holds it  
6 together.

7 Thank you very much, Brenda.

8 (Applause)

9 MS. HALBROOK: Thank you very much, Merle,  
10 for those very kind words, and I want to say to all of  
11 you, it's been a pleasure working with you, and I hope  
12 to continue my relationship with each of you as I move  
13 on into my new capacity at the Food Nutrition Service.

14 Thank you all for being such a wonderful  
15 Committee and for being so much fun to work with.

16 DR. PIERSON: Okay. Now, is there anything  
17 that I've missed? Are we all right so far? Okay.

18 With that, then, we'll move to Committee  
19 Deliberation on the Codex Discussion Paper on Proposed  
20 Draft Guidelines for Validation of Food Hygiene Control  
21 Measures and Mike, if you want to lead that discussion?

22 DR. JAHNCKE: Thank you, Merle.

23 This document has -- it's almost like the  
24 Codex process itself. It's taking geological times to

1 get to this point. But we are here, I believe.

2           You all should have -- there's two documents  
3 you need to have. One is the original Codex document  
4 that was in your packet, "Discussion Paper on Proposed  
5 Draft Guidelines for the Validation of Food Hygiene  
6 Control Measures", and the next one is, the title, "A  
7 Review of the Codex Discussion Paper on Proposed Draft  
8 Guidelines for the Validation of Food Hygiene Control  
9 Measures", and in it, our Subcommittee has addressed  
10 and answered the original five questions, plus we  
11 addressed three additional questions that were posed to  
12 the Subcommittee.

13           If you remember back in January, we went over  
14 the document and we asked those questions and the  
15 Subcommittee also took it upon themselves to provide  
16 some additional guidance on this document. Our hands  
17 were a little tied in that although in this Codex  
18 document is a lot of HACCP terminology and concepts  
19 with it, we were instructed not to in our discussion  
20 and response to the questions not include HACCP-type  
21 things. So, it made it a little difficult, but I  
22 believe we successfully did that.

23           Just in a quick review, we provided some  
24 guidance on this Codex document, some editorial and



1 some more substantial comments, things such as  
2 suggesting that a scope session be placed into the  
3 document, also some rewording of some of the text in  
4 the Codex document and all of that is identified in our  
5 paper which is the review of this, and back in January,  
6 we went through all of those.

7           If we turn to the fourth page, it starts on  
8 the questions that we were specifically asked to  
9 address, and the question was: are the stated  
10 prerequisites all necessary? Are there prerequisites  
11 that are critical that have not been adequately  
12 identified? Do all the prerequisites have the same  
13 degree of importance? That question refers to the  
14 original Codex document on Page 2 and the subtitle of  
15 that was "Prerequisites of Validation", and there were  
16 three of those.

17           Our Subcommittee deliberated and just to  
18 reiterate, again to bring everybody back up to speed  
19 from last January, we identified that the three stated  
20 prerequisites were necessary with modifications. We  
21 couldn't identify any other prerequisites. We did  
22 decide that all the prerequisites did not have the same  
23 degree of importance. Number 1 was the most important  
24 since, if there were no identified specific hazards to

1 be controlled, Prerequisites Numbers 2 and 3 would not  
2 apply, although the General Principles of Food Hygienic  
3 Practices still would apply, even if no specific hazard  
4 was identified. That required verification rather than  
5 validation, and if there are specific hazards, control  
6 measures must be validated.

7           The second question that was posed to the  
8 Subcommittee was stated as: has a scientific basis for  
9 the approaches to validation been adequately justified?  
10 Are the approaches sufficient to permit the validation  
11 of food hygiene control measures? Are there alternate  
12 approaches to validation that should be considered?  
13 That question applied in the original Codex document on  
14 Page 3, the subheading that says, "Approaches to  
15 Validation", and under that, there were three  
16 discussions on approaches, were placed under those. We  
17 reviewed those, and the opinion of the Subcommittee was  
18 that of those three that were proposed, Number 1 was --  
19 only Approach Number 1 is a scientifically-based  
20 validation activity. We came to the conclusion that  
21 Approaches Number 2 and 3 are important but are more --  
22 as written, were more verification rather than  
23 validation procedures, although we did indicate that  
24 Approaches 2 and 3 may provide useful data for

1 validation purposes.

2 We suggested that Numbers 2 and 3 remain part  
3 of the document but be reworded to reflect their role  
4 in validation. We were not able to identify any other  
5 alternative approaches, but in going forward with that,  
6 we also concluded that data for validation can include  
7 sources beyond experimental trials, such as the  
8 literature, regulations, equipment manufacturer  
9 validations, etc., and to help clarify that, we  
10 suggested that Approach Number 1 needs to have a  
11 statement that indicates that control measures are  
12 plant-specific and must be validated on a plant-by-  
13 plant basis and that perhaps plant-scale trials may  
14 become necessary using indicator organisms, and we also  
15 concluded that there are alternative approaches for  
16 validation that should be considered, that alternative  
17 Approaches Number 1 should be considered with  
18 appropriate scientific review to ensure that the  
19 attributes of performance evaluated is indicative of  
20 the status on the control measures of interest, and  
21 also we said consideration of alternative approaches to  
22 Numbers 2 and 3 in the document should be considered  
23 with caution since they relate to verification and not  
24 validation as they're currently written.

1           The third question was with respect to the  
2 individual approaches to validation, what elements  
3 should be further elaborated, and the Subcommittee felt  
4 that we answered this question in our response to  
5 Question Number 2.

6           The fourth question of the original five are  
7 factors to be considered in validation complete? Are  
8 there additional factors that should be considered? Do  
9 all the factors have the same degree of importance?  
10 Does the information presented on when validation or  
11 revalidation is needed sufficient and reasonable in  
12 relation to the simultaneous goals of being protective  
13 of public health, fostering scientifically-based food  
14 safety programs, developing practical advice on  
15 validation of control measures?

16           That fourth question relates -- starts in the  
17 original Codex document on Page 4 under the heading  
18 "Factors to Consider in Validation". There were  
19 several of those that ran to the bottom of Page 5 in  
20 the original Codex document. Our Subcommittee's  
21 response to this, the factors as written in the  
22 document, are not complete, and we recommended that the  
23 information in this section be revised, not eliminated  
24 but simply revised and expanded upon. We couldn't

1 identify, we were unable to identify any additional  
2 factors. All -- what complicated this was that all  
3 factors are interlinked and we weren't able to rank the  
4 factors by degree of importance. We felt that all the  
5 factors are important and our Subcommittee could not  
6 separate those and rank those in any way.

7           The fifth question of the original: is the  
8 information presented on when validation or  
9 revalidation is needed sufficient and reasonable in  
10 relation to the simultaneous goals of being protective  
11 of public health, fostering scientifically-based food  
12 safety systems, and developing practical advice on  
13 validation of control measures? And our answer to that  
14 question was yes.

15           So, those were our answers to the original  
16 five questions. This past summer, we were given three  
17 additional questions that the Subcommittee addressed.  
18 The first one was: what role does verification and  
19 monitoring have in the revalidation? We struggled with  
20 this in that in current HACCP Principles Number 6,  
21 validation and implicitly revalidation is really  
22 defined as one process and verification.

23           Coming out of this document and reading  
24 through the document, it was evident that the authors

1 of this original document had the same problem that the  
2 Subcommittee members have, and a lot of people have,  
3 there's confusion on the use of the terms  
4 "verification" and "validation" and "revalidation".  
5 Sometimes they're intermixed, intermingled. That  
6 happened in the Codex document and that's why we  
7 recommended earlier that those Numbers 2 and 3 that  
8 were written in verification terms be rewritten so they  
9 would be more reflective of validation. We felt that  
10 those terms, whenever they're used, really require a  
11 lot of consideration, deliberation on the use and  
12 definition of these terms.

13 Question Number 2 was: how many failures  
14 need to occur before the system needs to be  
15 revalidated? We looked at and discussed this question  
16 and assuming that failure means a deviation of a  
17 critical control point that requires a corrective  
18 action, repeated deviations require redesign of the  
19 product or process. We're unsure of how to quantify  
20 repeated. In addition, HACCP plans must be  
21 revalidated, even if no process or product changes are  
22 made and even if no deviations have occurred. Thus  
23 revalidation assures auditors that the HACCP plan is  
24 current and accurate.

1           Again, I think the crux of this is that,  
2           those terms many times are intermingled, used and  
3           confused, and we just recommend that those terms,  
4           whenever they're used, really a lot of thought and care  
5           goes into it, especially in the Codex document, to  
6           ensure that if this is the document on validation, that  
7           as it's being written, that it's written in the terms  
8           of validation and not so much in verification.

9           The third question was: if the process is  
10          verified, does verification provide the baseline for  
11          validation? Our Subcommittee felt that this was an  
12          extremely interesting question, and we came to the  
13          conclusion that in many commercial operations, it's  
14          difficult to validate some of these because of the size  
15          and perhaps hazardous nature of the process, and  
16          therefore it's difficult to mimic these processes on a  
17          pilot plan or laboratory scale, and we also recognized  
18          that it's not advisable or permitted to inoculate raw  
19          material with pathogens and then run them through a  
20          commercial operation to collect data.

21          Thus, in situations like that, there may be  
22          some on-going verification activities, collection of  
23          data on an on-going daily basis, that can be used to  
24          revalidate a HACCP plan, and this also goes back to

1 reflect some of the comments that we had originally  
2 addressed in the Codex document, and I don't know if  
3 other members of the Subcommittee have some additional  
4 comments.

5 DR. SPERBER: Yes, this is Bill Sperber.

6 On that very last point, I think it needs to  
7 be revised a little. This on-going verification is not  
8 for the purpose of revalidating the HACCP plan. It's  
9 for the purpose of validating that process, the initial  
10 validation, which you can't do because the process is  
11 very big and hazardous.

12 DR. JAHNCKE: Any other comments from the  
13 Subcommittee?

14 MR. GARRETT: Thank you, Mike.

15 I would agree with Bill that it's a process.

16 DR. JAHNCKE: So noted. That, Mr. Chair, is  
17 the report of the Subcommittee.

18 DR. PIERSON: Okay. Would the change that  
19 was suggested, would you reiterate that?

20 DR. JAHNCKE: Okay. I'll reread that, our  
21 response with the suggested change. As I said, this  
22 was a question that the Subcommittee felt was a very  
23 interesting and important question, and again, due to  
24 the size and the hazardous nature of many processes,



1 it's difficult to mimic these on a pilot plan or  
2 laboratory scale, and we recognize that it's not  
3 advisable or permitted to inoculate raw materials with  
4 pathogens and then place them in a commercial setting  
5 to collect data. Thus, in situations like these, on-  
6 going verification activities can be used to help  
7 revalidate the process. Validate, excuse me, validate  
8 the process.

9 Bob?

10 DR. BUCHANAN: Thank you, Mike.

11 While this may seem a little arcane in terms  
12 of its language, it would be appreciated if you  
13 could use the phrase "system of control measures"  
14 instead of "process".

15 DR. JAHNCKE: System of control measures?

16 DR. BUCHANAN: Yes, please. That's more in  
17 keeping with the language of Codex.

18 DR. JAHNCKE: So then, the last sentence  
19 would read: "Thus, in these situations, on-going  
20 verification activities can be used to help validate  
21 the system of control measures?"

22 Any other comments?

23 DR. BUCHANAN: In plain language, that means  
24 process.

1 DR. JAHNCKE: Yes. Yes, the process.

2 DR. SWAMINATHAN: Mr. Chairman, I have a  
3 question. Right here.

4 DR. PIERSON: Okay. There you are.

5 DR. SWAMINATHAN: Mike, in your answer to the  
6 supplementary questions, I'm looking at the answer to  
7 Question 2, you're talking about HACCP plans being  
8 revalidated even if no process or product changes are  
9 made and even if no deviations have occurred.

10 Would it be possible to provide some kind of  
11 a time line for revalidation of HACCP processes? Would  
12 people interpret this as 10 years, 20 years, 5 years?

13 DR. JAHNCKE: I think that part of the  
14 difficulty on this again is the use in the Codex world  
15 and the time lines on this.

16 Bill, do you have something?

17 DR. SPERBER: I'm not sure the Codex  
18 document. This is Bill Sperber. But knowing the  
19 original HACCP document of this Committee, we suggested  
20 revalidation at least annually and that is commonly  
21 recommended practice in the industry.

22 DR. PIERSON: Good. I remind you or ask you  
23 to identify yourself and affiliation before you speak  
24 for assistance in recording the proceedings. So, can

1 you do that, please?

2 Cathy? Katie?

3 DR. SWANSON: Katie Swanson, General Mills.

4 Continuing on the discussion of revalidation,  
5 I would like to point out that processes, such as  
6 thermal process for lower-acid canned foods, have been  
7 in place for many, many years, and those are not  
8 revalidated on an annual basis. Some of those  
9 historically have gone on for many, many years, you  
10 know, five years, sometimes 10 years, when no changes  
11 have occurred. They will check to make sure that it's  
12 the same kind of valve, the same viscosity, etc., but  
13 they will not go through the extensive experimental  
14 effort on an annual basis to revalidate those systems  
15 and we have a lot of history to suggest that that is  
16 entirely appropriate and effective in the U.S. canning  
17 industry.

18 So, I would like to make sure that setting a  
19 time criteria depends on whether or not there are  
20 substantive changes and put that out for discussion for  
21 the Committee.

22 DR. PIERSON: Spencer?

23 MR. GARRETT: Spencer Garrett, NOAA  
24 Fisheries.

1           I certainly agree with Katie and there are a  
2 wide variety of systems that control here, running from  
3 low-acid canned foods to pasteurization to other  
4 things, and so probably given the breadth of different  
5 systems of controls, it would seem to me that you  
6 couldn't go much beyond just giving some rather general  
7 guidance that should be revalidated at any frequency to  
8 ensure sufficient efficacy of the system of controls or  
9 something like that. I don't see that because you  
10 certainly don't need to reinvent the wheel for low-acid  
11 canned foods. So, I'm not sure that given the breadth  
12 of the different processes we're talking about here,  
13 how you can really come up with an ironclad example,  
14 other than just saying that it should be done relative  
15 to the nature of the hazard and the efficacy of the  
16 system of controls.

17           DR. PIERSON: Okay. Bill?

18           DR. SPERBER: Bill Sperber with Cargill.

19           I don't want to muddy the waters here, and we  
20 probably don't want to go really deep into this, but  
21 there are reasons for validation within HACCP and  
22 presumably two years is for revalidation. One is the  
23 first year would be to validate the critical limits at  
24 a critical control point. The second would be to

1 validate the accuracy of the HACCP plan and generally  
2 in our HACCP documents and the intent of our response  
3 to Question 2 here was revalidation of the entire HACCP  
4 plan or the system of control measures, not  
5 revalidation of critical limits at individual control  
6 points.

7           Further, I would suggest that canned food  
8 regulation in the United States is so rigidly spelled  
9 out in the low-acid canned food regulations, unlike the  
10 rest of the world, that canned food production in the  
11 U.S. is really a separate consideration. But in  
12 response to Katie's first comment, which is certainly  
13 legitimate for canned food production in the United  
14 States, I think our Subcommittee was thinking in terms  
15 of revalidation of the HACCP plan or the total system  
16 of control.

17           DR. PIERSON: Okay. Bob?

18           DR. BUCHANAN: To help you a little bit in  
19 the deliberation of this discussion item, in the Codex  
20 parlance, a control measure or measures can be as  
21 simple as one process, for example, the heating of the  
22 food, or it can be as complicated as an entire  
23 country's regulatory system for evaluating food safety.

24           So, you're going to run into some

1 difficulties if it not restricted to HACCP, it's not  
2 necessarily restricted to GMPS. It could be focused on  
3 a single step in a process or it could be as big as an  
4 entire government. So, as you look at these, you're  
5 going to have to be able to keep in the back of your  
6 mind that it could be something as specific as a low-  
7 acid canned food process or it could be as complicated  
8 as a farm-to-fork HACCP plan, and so I would -- the  
9 reality is, is that, HACCP plans, we may recommend that  
10 they be evaluated on an annual basis but we also don't  
11 re-evaluate thermal processes except once every couple  
12 of decades. So, we would appreciate if you can keep  
13 the language as general as possible.

14 DR. PIERSON: Bob, you would interpret then  
15 the Codex Discussion Paper as going beyond  
16 consideration of a very specific HACCP system? You're  
17 saying --

18 DR. BUCHANAN: Correct.

19 DR. PIERSON: -- it also includes the  
20 evaluation of the regulatory capabilities within that  
21 country?

22 DR. BUCHANAN: It could include the  
23 regulatory capabilities within that country. It could  
24 include the system of import and export inspections or

1 it could include just something as simple as checking  
2 the pH of an incoming ingredient. All of them would  
3 have to be covered by this validation document.

4 DR. PIERSON: Okay. Spencer?

5 MR. GARRETT: Spencer Garrett, NOAA  
6 Fisheries. Thank you.

7 Then perhaps that re-emphasizes the need for  
8 a scope of the document and that might be one way to  
9 address it, Bob.

10 DR. PIERSON: Okay. Katie?

11 DR. SWANSON: Katie Swanson, General Mills.

12 Along those same lines, the earlier  
13 discussion regarding validation as plant-specific, I  
14 think, may be appropriate if you're talking about HACCP  
15 plans, but since this is much broader than that, there  
16 are certain things like, for example, water activity pH  
17 combination to control growth isn't necessarily plant-  
18 specific. That could be done in a laboratory and then  
19 the plant would have to verify that they achieved those  
20 AW pHs. So, I would ask the Subcommittee to reconsider  
21 the statements about it must be done on a plant-by-  
22 plant basis because some things are more broad.

23 Second consideration is related to the  
24 validation criteria, I forget which page it was on,

1 where there was three examples, and the current  
2 response suggests that only is a true validation  
3 activity but Number 2 and 3 are not. Well, for  
4 example, if you're doing a hazard analysis and you make  
5 the determination that the hazard is not reasonably  
6 likely to occur in the absence of control, frequently  
7 that is based on epi data and those epi data are what  
8 are there to validate that the assumption you're making  
9 that it's not reasonably likely to occur is the epi  
10 data. So, I would submit that that could be used as  
11 validation data as well. The same as if you're trying  
12 to establish initial populations, you might need to do  
13 some experiments in the Number 2 category to determine  
14 what those are.

15 DR. PIERSON: Yes, Mike?

16 DR. JAHNCKE: Mike Jahncke, Virginia Tech.

17 Yeah. I think our response to that was not that  
18 they weren't important or -- it was basically on the  
19 wording. We wanted them to look at that and put those  
20 words, more reflected validation activities. We felt  
21 when we reviewed that, it just -- the way it was  
22 written, it seemed all to be verification and the title  
23 of the Codex document talked about validation. So,  
24 it's just a wording thing is how we looked at that



1 particular issue.

2 DR. PIERSON: Okay. Thank you.

3 The -- we're taking an interesting turn here  
4 and, of course, we're -- you can see we're scurrying  
5 around, hmm, how should we deal with this, and so, Bob  
6 Buchanan is going to make a suggestion, I believe, as  
7 to how we should deal with this.

8 DR. BUCHANAN: Thank you, and I know the  
9 Committee's desire to make our documents long range and  
10 perfect, but we also have an issue here, is that we are  
11 now responding to country comments on this document.  
12 You have till today to get the document in. After  
13 that, we're sending country comments out. So, I would  
14 -- we hear the comments. We think that you've done a  
15 tremendous job in responding to this.

16 I would caution you about getting so involved  
17 in fine-tuning this document that it is not finished  
18 today because if it's not finished today, it will not  
19 be considered as part of the country comments that will  
20 be going out to all Codex members as the U.S. drafting  
21 team evaluates the input that we've gotten from not  
22 only this Committee but also a number of different  
23 nations and advisory bodies in other nations. It's an  
24 instance where we have a real time limit. It's today

1 or it's probably never.

2 DR. PIERSON: With that, I'd suggest, Mike,  
3 could you, you know, summarize where we're at on the  
4 document and we can see what we can do about bringing  
5 this to closure?

6 DR. JAHNCKE: Okay. Thank you, Merle. I  
7 believe where we're at right now is the Subcommittee's  
8 response to Question Number 2, and I think the crux of  
9 that, where there are some comments saying we indicated  
10 that HACCP plans must be revalidated. We left it  
11 general because, as Bob and others have indicated, this  
12 document or our response can deal with a wide variety  
13 of different processes and rather than get specific and  
14 say that it has to be revalidated on an annual basis or  
15 more frequently or longer than -- longer period than  
16 that, we left it more general to take into account that  
17 there are -- this is a very broad-ranged Codex  
18 document, and where we're at right now is the  
19 discussion, unless I've missed some of the others, that  
20 second question of the new three is where we're at now,  
21 determining to either add some additional words, saying  
22 how often the plan has to be revalidated or leave it as  
23 such, which is more of a general approach.

24 We did discuss in the Question 3 of changing

1 -- validating the HACCP plan to change it to validate  
2 the system of control measures, but I believe right  
3 now, we're on Question 2.

4 DR. PIERSON: So, I suspect we could just  
5 boil this down as to the issue being in Question 2.

6 Do we have any specific wording, if we need  
7 to change the answer to 2, or should we leave it as is?  
8 What's the feeling of the group?

9 DR. SWAMINATHAN: I'm sorry. I didn't plan  
10 to cause trouble for our document. All I was -- this  
11 is Bala Swaminathan with CDC. All I was trying to  
12 suggest was this is too open-ended and perhaps just add  
13 as appropriate for the process or system of control or  
14 whatever Bob said was the right Codex magic word.  
15 That's all I intended to say.

16 DR. JAHNCKE: So, the appropriate wording  
17 would be -- what is that again?

18 DR. SWAMINATHAN: As appropriate for the  
19 process.

20 DR. JAHNCKE: As appropriate for the process?  
21 So, then the document would read: HACCP plans must be  
22 revalidated as appropriate for the process? For the  
23 system of control measures? How about that? Must be  
24 revalidated as appropriate for the system of control

1 measures, even if no process or product changes are  
2 made, and the rest would stay the same. That's what we  
3 have. So, that will be the wording.

4 DR. PIERSON: Okay. That definitely sounds  
5 like Codex jargon. There's no question about it. You  
6 have it down well, Bala.

7 With that, Dane, you had a comment?

8 DR. BERNARD: Thank you, Chairman. Dane  
9 Bernard, Keystone Foods.

10 I could certainly live with the wording that  
11 was proposed. I'd just ask the Committee if it is even  
12 necessary for us or would it be accepted within the  
13 Food and Hygiene Committee to go that way because what  
14 we're talking about is a document that modifies the  
15 already-adopted HACCP document, and therein the  
16 definition of validation and the recommendations that  
17 are in the basic HACCP document are really the key to  
18 how that's going to be reacted to.

19 So, we could go with this wording, but I  
20 wonder if we aren't going far beyond the scope of this  
21 document by doing so because the basic HACCP document  
22 lays out the guidance for when.

23 DR. PIERSON: Well, let me give my take on  
24 this, is that, this is advice to, a recommendation to

1 the U.S. Government. The U.S. Government, of course,  
2 will form their, you know, response to the Codex  
3 document, and so, you know, such wording shouldn't be a  
4 problem.

5 Bob, you have any comment on that?

6 DR. BUCHANAN: No. In fact, our original  
7 comments back to the Subcommittee was probably not to  
8 focus on HACCP as much as you did as was done because  
9 substantial amounts of control measures have nothing to  
10 do with HACCP on an international basis. In fact, most  
11 of Codex activities are focused more on GMPs.

12 However, the wording, we appreciate what is  
13 the underlying thought process that went into  
14 developing this document, and it is going to be  
15 considered in addition to comments we've gotten from  
16 several of our drafting partners around the world. So,  
17 I again understand the thought process. I appreciate  
18 the fact that we have taken a -- made it a point to say  
19 that HACCP plans should be reviewed annually, and my  
20 fix on this is if this is a matter of concern, is to  
21 remove the term "HACCP plans" and put in a more general  
22 term for reviewing your food safety system.

23 DR. PIERSON: Spencer?

24 MR. GARRETT: Thank you.

1           I certainly agree with what Bob's said. I  
2 just want to give the Committee a note of information  
3 on this document. The Interstate Shellfish Sanitation  
4 Conference, which is the state-federal cooperative  
5 program to develop model ordinances and rules and  
6 procedures for the safety of  
7 -- for the consumption of molluscan shellfish, has a  
8 Subcommittee on Validation of Postharvest Treatment and  
9 Pasteurization, a subject we're going to get into in a  
10 little bit later on today.

11           I happen to chair that Subcommittee, and this  
12 document is being used extensively in determining how  
13 one goes about validating postharvest treatment for  
14 molluscan shellfish. So, it has more than just an  
15 international flavor.

16           Thank you.

17           DR. PIERSON: Thank you, Spencer. Do you  
18 have any further comment, Mike?

19           DR. JAHNCKE: I have a question. What have  
20 we decided on Question 2 as far as the wording or the  
21 removal of HACCP terms? Now, I'm -- there have been  
22 comments by Bob and others. I'd just like to know what  
23 the final -- what the Committee has decided on the  
24 final wording.

1 DR. PIERSON: Do any others have an opinion  
2 on that? Should we leave it as is or put broader  
3 aspect to it? Opinions? Spencer?

4 MR. GARRETT: Spencer Garrett, NOAA  
5 Fisheries.

6 I would agree with Bob that it is broader  
7 than just HACCP for an international document.

8 DR. PIERSON: Okay. So, you agree we talk  
9 in terms of food safety systems rather than HACCP.  
10 Okay. I see no objection to that.

11 With that, are there any further comments on  
12 the document?

13 DR. TOMPKIN: I'd like to make a motion that  
14 we accept the document with those amendments.

15 DR. PIERSON: Okay.

16 DR. SWANSON: Second.

17 DR. PIERSON: Okay. We have two seconds  
18 here. Bruce Tompkin and Katie Swanson. I looked over  
19 at the card in front of you, Bruce. It says Robert  
20 Tompkin.

21 DR. TOMPKIN: We have to be flexible.

22 DR. PIERSON: Change in your name, huh?  
23 Okay. Well, with that, I will declare this as a done  
24 deal. We've adopted this document. Thank you very

1 much for your good work.

2 Okay. Here's Bob Buchanan.

3 DR. BUCHANAN: Now that the document has been  
4 accepted, I would like to take my hat off as a  
5 Committee member and put my hat on as the head of  
6 delegation for the United States for CCFH and thank the  
7 Subcommittee and the full Committee for all the hard  
8 work they put in to examining this document, in many  
9 cases learning a whole new vocabulary and being able to  
10 read the documents, and I think it's always nice when  
11 the Committee gets some feedback from the people that  
12 have to use their recommendations.

13 We have received draft copies of this all  
14 along. Your comments, including your final changes in  
15 wording, are having a very significant impact on the  
16 thinking of the drafting, the international drafting  
17 panel that's putting this document together, and the  
18 recommendations and the thought process as you have  
19 followed during the last year are already making an  
20 impact and will continue to make an impact as this,  
21 what is going to turn out to be a very important  
22 guideline for international trade, is put into practice  
23 or finalized in the Codex practice.

24 So, with that, I just want to, you know, end



1 by again saying thank you very much for all the hard  
2 work that went into this work. Thank you.

3 DR. PIERSON: Thank you very much.

4 Are you ready? Okay.

5 DR. BRACKETT: What I'd like to briefly do  
6 this morning is just introduce a topic, as I mentioned  
7 earlier, that's new to FDA, and this is really not  
8 meant for discussion at this meeting but to sort of  
9 give you a heads-up of something that we'll be dealing  
10 with in the future, and that is, as it says here,  
11 what's called "redefining pasteurization", and to give  
12 you a little bit of background of where this came from,  
13 this is out of our newly-enacted 2002 Farm Bill in  
14 which the bill's intent actually is to provide for a  
15 common definition of the word "pasteurization", and  
16 this is the language out of the bill itself.

17 Really, there are two main provisions that we  
18 might want to be considering here, one of which is that  
19 pasteurization as pasteurization would be defined as it  
20 is in regulations right now, and there are some  
21 examples of that that I'll get to in a moment, but the  
22 second part of it is also any other technologies that  
23 would provide the same level of consumer protection in  
24 microorganism control.

1                   Specifically, the most resistant  
2 microorganisms of public health significance that are  
3 likely to occur in particular food. So, it does give a  
4 little bit of definition to it as to what the  
5 parameters are, and as I said, the goal here is so that  
6 when one makes the claim that something is pasteurized,  
7 that it is in fact defined what that means.

8                   There are some other provisions in the bill  
9 as well, such as the period of time that the food is  
10 supposed to be on the shelves and remain safe and also  
11 notification provisions as well for these products.  
12 There are a number of examples of products that now  
13 either in regulation or in practice are being done as  
14 pasteurized. These are the most notable examples,  
15 milk, juice, seafood and eggs and egg products.  
16 Probably the best known and the oldest, of course, is  
17 pasteurized milk, and in this case, in the Code of  
18 Federal Regulations 131, the times and temperatures are  
19 actually designated in code, and these are well-known  
20 to people in the dairy industry. They know them all by  
21 heart because they are so specific.

22                   There is also a provision in there for  
23 another term which is ultrapasteurized, which has just  
24 a higher temperature and time, higher lethality, so

1 that in many cases that it's shelf stable. But many of  
2 the other cases where pasteurized is used is not  
3 necessarily prescribed in that way, an example of which  
4 is pasteurized orange juice. In this case, the  
5 pasteurization is actually based on enzymatic activity  
6 and the number of viable microorganisms, but there is  
7 no time-temperature provision for this particular  
8 product.

9 In the case of eggs, it depends on what form  
10 the eggs are in. There are guidance documents provided  
11 by USDA that designate the pasteurization procedures  
12 that are acceptable, both for liquid eggs and egg  
13 products, and in the case of pasteurized in-shell eggs,  
14 it being a 5-log reduction in *Salmonella* species, one  
15 of which has to be a *Salmonella* Enteritidis. So, they  
16 differ even from within one product.

17 There are other examples, some of which are  
18 seafood. Blue crab meat is one. Surimi-based  
19 products, reduced oxygen packaged food and oysters and  
20 in this case, there is a mild heat treatment that is  
21 specifically designed to eliminate vibrio vulnificus.  
22 So, as you can see, these are not all the same or have  
23 the same intent.

24 Now, all of the previous pasteurization

1 examples I showed you were thermal in nature, but there  
2 are a number of other technologies that could  
3 accomplish the same sort of thing; that is, to  
4 eliminate pathogens and make for safer food, and these  
5 include those that are listed here and actually many of  
6 these have been reviewed by a task order that FDA had  
7 with IFT and are included within a review document, and  
8 there are others as well which is why we have etc., and  
9 the intent here is to allow us to look at any kind of  
10 treatment that would reduce pathogens in a food.

11           There are other considerations as well that  
12 we thought about as we have to address this issue, one  
13 of which is if one renders a product that is ready to  
14 eat, that is, if you cook it which is going to  
15 eliminate pathogens, is that considered pasteurization?

16       So, that's a definition issue. There are other  
17 treatments that have been used in our traditional  
18 processes that either have been used or potentially  
19 could be used, especially if the right organisms or  
20 additives are included, that could accomplish the same  
21 thing, including fermentation, drying, various  
22 antimicrobials, either those that are in existence now  
23 or those that could be developed in the future, and so  
24 the definition, could one call that legitimately

1 pasteurized.

2           So, what I am going to introduce today is  
3 really to tell you what the issue is, which I've done,  
4 and also just give you a heads-up on what the future  
5 plans will be for the Committee, one of which is that  
6 in the future, we will develop a specific charge for a  
7 Subcommittee and that is how to address this issue and  
8 how to define pasteurization within the scope of the  
9 Farm Bill. We'll establish a Subcommittee in the  
10 future and then probably convene in the Fall of this  
11 year, 2002, to start initiating discussions on how  
12 we're going to go about discussing issues, and really  
13 that's all I had to say and hopefully now, unless there  
14 are any very quick questions, hopefully we'll get back  
15 a little bit closer to schedule here.

16           DR. PIERSON: Okay. Do we have any  
17 questions or comments from the Committee?

18           DR. THENO: Mr. Chairman, Dave Theno.

19           DR. PIERSON: Dave?

20           DR. THENO: Bob, do you have a time line when  
21 this needs to be done for the Farm Bill or is this  
22 open?

23           DR. BRACKETT: No. Actually, there were two  
24 different things in the Farm Bill, one of which had a

1 time line, and that was educational efforts by USDA,  
2 that they actually do have a defined time limit. This  
3 does not.

4 DR. PIERSON: Okay. Do we have any other  
5 comments?

6 (No response)

7 DR. PIERSON: If not, thank you very much,  
8 Bob, and we look forward to developing a Subcommittee  
9 to address this issue.

10 Okay. It's 10:21. So, we'll go ahead and  
11 take a break and reconvene at 10:30.

12 (Whereupon, a recess was taken.)

13 DR. PIERSON: The next item on the agenda  
14 relates to a new charge given to the National Advisory  
15 Committee. This new charge relates to *Campylobacter*,  
16 its identification and quantification methodologies.

17 In your packet, you have the specific charge  
18 to the Committee. This charge comes from the Food  
19 Safety Inspection Service, and let me outline that  
20 charge to the Committee. It is to review and compare  
21 the methodologies used for *Campylobacter* detection.  
22 This would be in USDA/FSIS's 1994-95 and 1999-2000  
23 Baseline Studies in Young Chickens, and to evaluate  
24 them for accuracy and precision in providing -- in

1 assessing the prevalence and quantity of *Campylobacter*  
2 on chicken carcasses and to compare the methodologies  
3 used in the two studies with recent methodological  
4 advances for their ability to provide data on the  
5 presence and quantity of *Campylobacter* for application  
6 in risk assessment and the establishment of baselines.

7           So, we have this three-part charge to the  
8 Committee. We will have three presentations to discuss  
9 baseline methods. First, we'll talk about discussing  
10 FSIS's baseline methodology that they used, and then  
11 Dr. Norman Stern of ARS will talk about *Campylobacter*  
12 methodology, and then Robert Mandrell of ARS will talk  
13 about *Campylobacter* aggregation. This charge will be  
14 taken by Spencer's Subcommittee and it's an add-on to  
15 your already full platter.

16           With that, I'd like to introduce Victor Cook  
17 of USDA/FSIS. He works in the Biosciences Division of  
18 the Office of Public Health and Science. He joined  
19 FSIS, the Headquarters, in 1998, where he's been  
20 involved in a variety of laboratory methodology issues,  
21 including *Campylobacter*. Throughout his career, Victor  
22 has worked in three FSIS labs, the Eastern Lab, the  
23 Beltsville Lab, and the Microbiological Outbreaks and  
24 Special Projects Laboratory in Athens, Georgia.

1 Victor?

2 MR. COOK: Thank you, Dr. Pierson.

3 Okay. You should have updated handouts in  
4 front of you. I'm going to go through these slides  
5 pretty quickly to try to get us back on track here.

6 We're going to cover the 1999-2000 Young  
7 Chicken Baseline Study, I'll skim over that, and the  
8 relationship to the '94-95 Young Chicken Baseline  
9 Study. Also, I'm going to talk about the *Campylobacter*  
10 Methods Comparative Study that was kind of an addendum  
11 to the baseline study that used the same samples to  
12 compare an ARS method, an ARS-proposed direct plating  
13 method to the FSIS-MPN method, and I'm also going to  
14 talk about an ancillary study, I'll briefly touch on  
15 that, on Nalidixic acid resistant isolates obtained  
16 from the above studies.

17 Okay. The Young Chicken Baseline, what we  
18 were trying to accomplish, we wanted to update the  
19 data, determine any changes in prevalence and compare  
20 the results to the '94-95 baseline. We employed about  
21 1,200 post-chiller/post-drip carcasses over a one-year  
22 time frame. Each carcass was rinsed with 400 mls of  
23 buffered peptone water. Rinsate was shipped by FedEx  
24 overnight and analysis was initiated the next day. All



1 three field labs participated.

2 Okay. When we compare the '94-95 and the  
3 '99-2000 baseline studies, you'll notice that there  
4 were roughly the same number of samples. There was the  
5 same MPN method described in Microbiology Laboratory  
6 Guidebook Chapter 6 was employed in both studies. Both  
7 studies used a 400 ml rinse for the chickens, but there  
8 was one difference between the two studies. Whole  
9 carcass was shipped for the '94-95 study and rinsed in  
10 the lab whereas in the '99-2000 study, the carcass was  
11 rinsed at the plant and the rinsate was shipped.

12 Okay. And this slide shows some data that  
13 was used to justify the decision to ship rinsates  
14 rather than carcasses. I'll skip on here.

15 For the *Campylobacter* Methods Comparative  
16 Study, as you all may well know, MPN methods are very  
17 resource-intensive. They're not amenable to high  
18 throughput testing. So, Eric Line of ARS in Athens,  
19 Georgia, proposed the use of a direct plating method.  
20 We had performed a preliminary study in conjunction  
21 with ARS in our Athens SPOSL Lab, and the initial  
22 results were promising. So, we made the decision to  
23 use baseline -- the '99-2000 baseline samples to -- in  
24 an attempt to validate the new methodology.

1           The ARS method consists of plating one ml  
2           over four Campy-Line agar plates. This was a  
3           proprietary media developed by Eric Line. The  
4           quantitation range it provided was 1 to 3,000 CFU per  
5           ml. In order to increase the sensitivity of the assay  
6           to make it comparable to the MPN method, it also  
7           employed a back-up enrichment of testing 30 ml of  
8           rinsate in Bolton Broth with subsequent plating on  
9           Campy-Line agar. So, we were able to achieve a  
10          theoretical sensitivity of .03 CFU per ml. FSIS MLG  
11          method was used for confirmation for what was  
12          described, up to 3 to 6 colonies.

13           Okay. For both baselines, as I said before,  
14          the same method was used. It's the MLG Third Edition,  
15          Chapter 6 Method that's posted on the FSIS website. It  
16          consists of a two-stage Hunt Broth Enrichment and  
17          subsequent isolation on MCCDA agar plates, and we  
18          implemented that as 3-tube MPN covering 6 dilutions.  
19          So, the quantitation range provided there was .03 to  
20          11,000 MPN per ml, and I'm going to skip through these.

21          There's some detail about the FSIS method here, 2-  
22          stage enrichment, the gassing and then subsequent  
23          plating on the MCCDA plates.

24           Okay. The MLG confirmation method was used

1 for isolates derived from both the FSIS and ARS  
2 isolation methods. That confirmation method consists  
3 of wet-mount direct microscopy examination for typical  
4 morphology and darting motility, and there were also  
5 catalase tests, oxidase, glucose, and antibiotic  
6 profiles using two different antibiotics, resistance to  
7 cephalothin and sensitivity to nalidixic acid, which  
8 was one of the traditional criteria for speciating the  
9 *Campylobacter jejuni/coli*, differentiating them from  
10 other campylobacters. So, therefore, nalidixic acid-  
11 resistant isolates and therefore most of the  
12 fluoroquinolone-resistant isolates were not confirmed  
13 as *Campylobacter jejuni/coli* using this test method.

14 When comparing the FSIS and ARS methods,  
15 there was significant disagreement for paired samples.

16 Seventeen percent of the FSIS method positive samples  
17 were ARS negative and a similar percentage of ARS  
18 method positive samples were FSIS negative. One result  
19 could not be used to predict the other. Overall, the  
20 FSIS method found that approximately 7 percent more  
21 positive samples than ARS method.

22 Potential sources of variability. Certainly  
23 one significant contributing factor was mixed  
24 populations of nalidixic acid sensitive and nalidixic

1 acid resistant *Campylobacter jejuni/coli*. Typically,  
2 no more than three colonies were selected because this  
3 is a very resource-intensive project and that's all  
4 that the methods prescribed, was a minimum of three  
5 colonies, and typically if the lab confirmed the first,  
6 they would not pursue confirmation of the next two  
7 isolates.

8           So, a significantly-contaminated sample could  
9 be negative without exhaustive colony selection, and a  
10 subset of our data suggested that about three-quarters  
11 of samples containing nalidixic acid-resistant isolates  
12 were negative by the MPN and there was a similar  
13 percentage for the ARS method as well.

14           We also have had some concerns about the  
15 issue of inconsistent aggregation and co-aggregation of  
16 cells and how that might affect the levels and the  
17 precision of the levels.

18           I'm going to defer to Dr. Mandrell on what is  
19 aggregation and co-aggregation. That's going to be the  
20 topic of his discussion, but again obviously this has a  
21 potential -- an obvious effect on the accuracy but  
22 perhaps more significantly it has an effect on the  
23 precision of the assay and reproducibility and  
24 repeatability.

1           As an addendum to this study, we had Paula  
2 Cray of Athens test nalidixic acid-resistant strains  
3 that were selected from the study. She used PCR to  
4 speciate them, AB Biodisk E-Test to do antibiotic  
5 profiling. She found that virtually all of them were  
6 jejuni/coli, and she also found that interestingly  
7 about 10 percent of the isolates that were found to be  
8 nalidixic acid-resistant by our laboratories were  
9 nalidixic acid-sensitive in her hands, and I think that  
10 Dr. Mandrell will also be addressing that issue. That  
11 appears to be due to co-aggregation primarily.

12           So, in summary, variable sampling and  
13 laboratory methodology for *C.jejuni/coli* appears to  
14 provide questionable results, but we're not quite clear  
15 on to what extent this problem goes. So, the question  
16 to be posed to the advisory Committee: Is it  
17 scientifically justified to base any conclusions on the  
18 data obtained from these studies, and is additional  
19 methods research needed? We see that -- my last slide  
20 here I'll leave you on, these are some of our possible  
21 methodology development research needs as we see them.

22           A new confirmation protocol to address the  
23 nalidixic acid-resistant population of *C.jejuni/coli*,  
24 means to mitigate the effects of aggregation/co-

1 aggregation, simplification of our methodology and also  
2 attempt to make it more robust so it would lend itself  
3 to high throughput, and perhaps there are sample-  
4 handling issues, carcass versus rinse issues, and  
5 perhaps we should be exploring non-traditional emerging  
6 technologies as alternatives to cultural enumeration,  
7 such as quantitative PCR.

8 Thank you.

9 DR. PIERSON: Thank you.

10 What we will do is to have all three speakers  
11 and then at the end of that time, we'll have a general  
12 discussion and we can ask the speakers questions, also.

13 So, with that, our next speaker is Dr. Norman  
14 Stern. Norman is a microbiologist with the  
15 Agricultural Research Service at the Russell Research  
16 Center in Athens, Georgia. He's been working with  
17 *Campylobacter* and the area of *Campylobacter* research  
18 since the early '80s. During this time, he's focused  
19 research on characterizing *Campylobacter* distribution  
20 in foods of animal origin, developing methods for  
21 detection and enumeration of *Campylobacter*, conducting  
22 epidemiological studies to describe the transmission of  
23 *Campylobacter* from the environment to poultry, and  
24 conducting studies of interventions during poultry

1 production.

2 Norman?

3 DR. STERN: Thank you, Merle.

4 Good morning, ladies and gentlemen.

5 Technology. Distinguished Committee, good morning.

6 Ladies and gentlemen. When I get my slides, I'll know  
7 what I'm doing.

8 (Pause)

9 DR. STERN: My first comment probably is  
10 something having to do with the methodology that exists  
11 in the MLG today, and I don't know if it's diplomatic  
12 or otherwise, but I don't think I could have a worse  
13 nightmare from a microbiologist point of view to have  
14 to do 6-dilution of MPNs to -- I think everybody in  
15 here has had the introductory classes to microbiology  
16 and those of you who have done MPNs or have been  
17 fortunate enough to be a TA in a college course have a  
18 hard time explaining these 00001 MPN reports and we've  
19 all come into that and I think it's a way to confuse  
20 things very quickly. So, what I'd like to do is to  
21 talk a little bit about methods that I'm familiar with  
22 and just present that to you. I can do this, I know  
23 it.

24 (Pause)

1 DR. STERN: All the methods that might be  
2 used within the industry or within regulatory have  
3 limitations with regard to time and labor. Cultural  
4 bacteriology, immunologic probes or DNA probe methods  
5 can only provide an estimate of the actual number of  
6 bacteria that are present in any sample, and I think  
7 the expression of God only knows is appropriate,  
8 although we can't say that here.

9 It's an estimate and let's remember that. I  
10 think what's most important is that within a given  
11 process lot and here I'm talking about process lots of  
12 25,000 chickens going through a poultry processing  
13 plant, you need to have adequate number of samples so  
14 that you have a potential to talk about the mean and  
15 the standard deviations that are surrounding that mean,  
16 and I will share some data with you to show you what  
17 kind of variability does exist within selected process  
18 lots.

19 Somewhere along the way, people who have  
20 worked with the organism have made it more complicated  
21 than need be. For those of you who know me personally,  
22 you realize I'm not a very deep person. I would like  
23 to suggest that this is a plausible approach and we can  
24 use simpler methods to gain an estimate on the numbers,



1 and I think it's more important to have an estimate  
2 than it is to have a lot of specificity for each one.  
3 Yeah. We can do PCRs for detection of *Campylobacter*,  
4 also, but I don't know that that is necessary.

5           What we've been doing in my lab probably the  
6 last 20 years has been to rinse carcasses the old-  
7 fashioned way, put the carcass aseptically in a sterile  
8 bag, add your diluent, and we use tap water. Tap water  
9 gains a tremendous amount of nutrients in a very short  
10 time and *Campylobacter* is not hurt by these tap waters  
11 that then pick up all the diluent, all the components  
12 that are part of the processed poultry.

13           We'll then shake that carcass for one minute  
14 and transport it to the lab on chipped ice, make  
15 dilutions of the rinse and plate it directly on to  
16 Campy-Cefex agar, incubate the agar for 24 or 48 hours  
17 at 42 Centigrade under a microaerobic atmosphere. We  
18 then take suspect colonies, and this is easily learned  
19 after a few hundred plates, you get to know what is a  
20 *Campylobacter* and what is not. We'll then do a latex  
21 agglutination which costs maybe a dollar or 50 cents  
22 per colony, and if we have confirmatory evidence that  
23 it looks like a *Campylobacter* on the plate and it looks  
24 like a *Campylobacter* under phased contrast microscopy

1 and we obtain agglutination by specific latex  
2 agglutination tests, we call that a *Campylobacter*.

3 We then make appropriate multiplication  
4 having to do with dilution factor and number of colony-  
5 forming units that we are estimating and we can come up  
6 with an estimate of the number. The good thing about  
7 this method, also, is at the end of the day, you do  
8 have a colony. That colony is certainly eligible for  
9 be it pulsed-field gel electrophoresis or be it for  
10 microscopic exam-- you can use the same colony for  
11 sequencing so you can be sure that you have -- if you  
12 need to do epidemiology on the organism, you certainly  
13 can do that.

14 Okay. Some time ago, we reported on this  
15 method that I just described, direct plating versus two  
16 then available most probable number techniques, and  
17 basically we had equivocal results. It turns out if  
18 you run any of these tests multiple times, you'll get  
19 more positives, but I don't know that that's the  
20 question. The question really has to do with getting  
21 an estimate of the numbers. We can get the estimate of  
22 the numbers and the colony in less than 30 hours, and I  
23 think anyone in this room who's done microbiology could  
24 do the same.

1           The good news, also, is that a given  
2 technician who's provided petri plates and who can have  
3 somebody do the autoclaving subsequently can easily do  
4 200 carcasses per week and can provide the data on  
5 that.

6           What I wanted to do here was to provide a  
7 little sense of what exists in the industry today and  
8 these are just -- what we did was to take 50 carcasses  
9 and a couple of points need to be made here. These are  
10 the levels that we saw in flock X, ranging from about  
11 10 to the 4.5 down to about 10 to the 2.7. This was  
12 our limit of detection. If you take one colony on two  
13 plates and take the log of that, you'll get 10 to the  
14 2.7. That's our limit. Otherwise, it's non-  
15 detectable. So, we assume that that's the level.

16           So, to get a sense of this flock versus the  
17 next flock, here we have clearly a flock that you can  
18 tell, again we have a 2-log range, but this particular  
19 flock was considerably higher. This had a mean of  
20 about 10 to the 4.8 using the methods I've just  
21 described. As gross a method as it is, we can  
22 distinguish that this flock has statistically greater  
23 numbers of *Campylobacter* as compared to the first flock  
24 that I shared with you.

1 Right. Dr. Line reported on Campy-Cefex  
2 direct plating equivalent to the FSIS method or the  
3 Rosef MPN method and that Rosef is a scientist from  
4 Norway, and it's widely used in Europe. The FSIS  
5 method uses a charcoal CCDA agar which is opaque and  
6 therefore precludes you being able to enumerate in the  
7 manner that most of us have become familiar with.

8 The Campy-Line agar we just heard about and  
9 this is a nice agar in terms of differentiating  
10 *Campylobacter* as well as selecting for *Campylobacter*.  
11 The problem really is, in our hands, that it is quite a  
12 selective medium and you can get lower numbers  
13 estimated and just compared to a less selective agar,  
14 such as Campy-Cefex.

15 I go back to my history at Virginia Tech and  
16 *jejuni* was always characterized as nalidixic acid  
17 susceptible and *coli* as resistant. For me, it's not a  
18 reason to call bacteria by a specific epithet. Today,  
19 we certainly have the ability to use molecular methods  
20 to distinguish *jejuni* and *coli* and they do look like  
21 they're different species, although they're both human  
22 pathogens, and distinguishing between *jejuni* and *coli*  
23 probably does not give you enough epidemiologic  
24 information to track an organism. So, as I said, I

1 don't think that resistance or susceptibility is that  
2 critical here.

3           Here's a slide just to give you something  
4 else to think about and we took six carcasses in Trial  
5 1, six carcasses in Trial 2, and we obtained numbers  
6 and this was a little more complicated. We did  
7 centrifugation and resuspension, etc. But if you can  
8 believe the numbers, they are here for you, and we even  
9 went out as far as 40 consecutive rinses and we were  
10 still pulling *Campylobacter* back out. So my suggestion  
11 here is that we use this first rinse to get an estimate  
12 of the level of *Campylobacter* on that carcass, and if  
13 you were to sum number 2, 3, 4, 5, 6, 7, 8, 9, 10,  
14 we'll probably be able to come up with an equation  
15 saying that if we obtain 10 to the 3.65, it's really  
16 equal to 10 to the 5. something. I think the numbers  
17 are less important than getting an estimate of the  
18 level that's on that carcass. I know that this set of  
19 carcasses were higher than the second trial and yet  
20 this is relevant to the deliberation in front of this  
21 Committee.

22           So, clearly we need accurate enumeration to  
23 estimate *Campylobacter* on carcasses. Clearly, we need  
24 to sample enough numbers of raw poultry samples so that

1 we can get a sense of the level of *Campylobacter* within  
2 a processing lot, and in my estimation, increased  
3 public exposure on raw poultry will provide increased  
4 public health concerns. The level that is acceptable  
5 still remains to be determined. The level that the  
6 industry can provide still remains to be determined.  
7 However, with increased exposure, we do see increased  
8 disease.

9 Thank you for your attention.

10 DR. PIERSON: Thank you very much, Norman.

11 Our next speaker is Robert Mandrell. He's  
12 the Research Leader of the Produce Safety and  
13 Microbiology Research Unit at the ARS facility in  
14 Albany, California. In the early years of his career,  
15 he worked at the Walter Reed Army Institute of Research  
16 in Washington, D.C. He continued his research at the  
17 UC San Francisco Medical Center after moving to the VA  
18 Medical Center in San Francisco, California.

19 Since joining ARS in 1996, he has been  
20 working on microbiological food safety issues. He and  
21 his group in Albany work primarily on the molecular  
22 biology and ecology of bacterial food pathogens related  
23 to produce. Today, however, he's going to talk about  
24 some work related to *Campylobacter* in poultry,

1 specifically the problem of mixed strain cultures that  
2 may be caused by the propensity of *Campylobacter* cells  
3 to aggregate.

4 So, as soon as his presentation is loaded,  
5 we'll --

6 DR. MANDRELL: It has a lot of images in it  
7 and they always take awhile, but I can get started  
8 here.

9 I'm not a poultry expert, like Norman is.  
10 Our work is really focused mostly on produce now. We  
11 use poultry as a model for looking at *Campylobacter*  
12 because we're very interested in *Campylobacter* as an  
13 organism, and a lot of our work is really getting more  
14 into the genomics and proteomics of *Campylobacter*. So,  
15 we use poultry as a model.

16 So, what we've done initially was to make  
17 some good reagents that would allow us to look at  
18 *Campylobacter* on surfaces and biofilms and so forth,  
19 and in doing this work, we've run across a couple of  
20 little problems, and I didn't mean to make them as much  
21 of a problem as I think some might think they are, but  
22 I'll present the data here on some issues I think are  
23 important for this group.

24 I've labeled my talk here "Aggregation and

1 Mixed Strain Cultures". I'll talk a little bit about  
2 the aggregation phenomena, which I think anybody that  
3 works with *Campylobacter* certainly knows about its  
4 ability to aggregate. So, that's not really a surprise  
5 to people, and I won't spend too much time on that, but  
6 I will talk a little bit about this mixed strain  
7 culture issue because that is a problem and I think it  
8 is required for certain kinds of surveys, for example,  
9 and I think it does require some good care in being  
10 able to characterize these strains when doing those  
11 kinds of surveys.

12 So, what we did in our laboratory, Bill  
13 Miller is a scientist in our laboratory, and he  
14 constructed some plasmids that had fluorescent proteins  
15 in them that we put into *Campylobacter*, and we did this  
16 because we wanted to be able to look at *Campylobacter*  
17 on surfaces and allowed us to look in cell lines,  
18 poultry skin and other surfaces, and also would allow  
19 us to do co-inoculation studies where we could take  
20 different strains, maybe a strain that comes from  
21 poultry or a strain that comes from a human patient, be  
22 able to mix them together and do very simple studies  
23 where we're looking at the fitness of those strains  
24 comparing them to be able to identify those strains in



1 complex systems.

2           If you could see it, it would be a really  
3 pretty image of *Campylobacter* on a chicken skin, but  
4 I'm not going to wait for it to come up. It's probably  
5 a MAC PC problem here. But you could see these  
6 *Campylobacter* cells on chicken skin very nicely. We've  
7 been able to see them in cell lines and so on. I'll  
8 just go past this in the interest of time.

9           Also, SEMs of *Campylobacter* show some  
10 interesting interactions between the organisms that one  
11 might associate with aggregation. We often see these  
12 with especially coccoid cells of *Campylobacter*, these  
13 fibrils that might be -- they might be flagella, I  
14 don't really know what they are, but are certainly  
15 something that in certain strains especially seem to be  
16 part of an interaction between the organism. In this  
17 case, it's from a pure culture.

18           But what we did then when we did these simple  
19 studies with *Campylobacter*, we could take Strain 1 that  
20 was one color and Strain 2 that was another color of a  
21 fluorescent protein, mix them together, grow them in  
22 broth cultures with the very simple goal of trying to  
23 see if there were any fitness differences between these  
24 organisms. In this case, it was a poultry isolate and

1 a human isolate, and what we noticed right away is what  
2 people have seen before in terms of aggregation, even  
3 after vigorous mixing of the strains out of the broth  
4 culture or -- and also sonication in this case. The  
5 organisms were really tenaciously aggregating and very  
6 difficult to get apart. You can see this here where  
7 you see the spiral and coccoid forms of two different  
8 strains of *Campylobacter* when they're plated and also  
9 in just under the microscope.

10 Now, what we noticed after we plated the  
11 organisms was more interesting actually, and in these  
12 experiments where we used broth cultures and then  
13 plated them out, we noticed that 6 percent of the total  
14 colonies on the plate were actually like this and like  
15 this. They were a mixture of the two strains. So,  
16 therefore, perfectly round single colony-forming units  
17 which is the sort of gold standard for pure culture in  
18 microbiology were actually mixtures of two strains, and  
19 this seemed to be very high, 6 percent seemed to be  
20 very high.

21 We also did experiments where we took the two  
22 strains and exposed them to poultry skin, poultry  
23 carcass, and then plated those organisms and found that  
24 there was even more interaction between the organisms

1 and they were very hard to separate, but this brought  
2 up -- we made this point in the paper but was actually  
3 very interesting because of some other work that we  
4 were doing in the laboratory with strains that we were  
5 sent from outside sources from around the world and  
6 also strains sent to us that were part of the NARMs,  
7 the Antibiotic Resistance Monitoring Project, from  
8 Paula Cray and Mark Englen.

9           Before I get to that, I just want to mention  
10 that we also looked at these organisms that we  
11 colonized chickens with and then isolated them from the  
12 enteric tissue or from the feces and we didn't see this  
13 as much. We didn't see the mixed colony-forming unit,  
14 mixed strain colony-forming units quite as much as we  
15 did from things like broth cultures or from the poultry  
16 skin. Generally, from those samples, the colonies were  
17 really clean and pretty pure. Occasionally, we would  
18 see problems like this, but if someone were selecting  
19 those, you probably wouldn't select one of those  
20 colonies anyway.

21           We did see this occasionally, though, where a  
22 colony-forming unit, these are samples that come out  
23 of, I think out of feces in this case, it might be from  
24 the enteric tissue, we would see colonies that were

1 obviously a mixture of the two strains. More  
2 problematic was that we actually did an experiment  
3 where we saved strains that were -- we could isolate --  
4 obviously because of being able to see the two colors,  
5 we could isolate a purer colony-forming unit with a  
6 single strain and we saved some of these and got them  
7 back out months later for additional experiments. They  
8 had been stored at minus 80. When we got them back out  
9 and replated them, they were actually a mixture of two  
10 strains. So, even with measures to be able to identify  
11 colonies that were probably not a mixture, we even then  
12 ended up with a mixture, indicating there was some  
13 apparent contamination even in those colonies.

14 So, what we have found just in summary is  
15 that certain samples were more likely to get these  
16 mixed strains, if you're dealing with mixed strains,  
17 these colony-forming units that are a combination of  
18 the two strains. I think in terms of human isolates,  
19 we seldom see this mixed strain problem, and I'm going  
20 to describe this in just a moment. With environmental  
21 isolates, animal isolates, we tend to see this much  
22 more as one would expect because the animals probably  
23 have many strains present.

24 The way that we were able to now see mixed

1 strains in samples that we get in is we developed a  
2 variety of reagents, including monoclonal antibodies,  
3 and I won't get into the mass spectrometry, but we can  
4 use mass spectrometry to be able to look at single  
5 colonies and identify their species based on biomarker  
6 ions. Using these kinds of methods in the laboratory,  
7 when we get strains in from outside sources, we always  
8 confirm that they are what they've been stated to be,  
9 and what we have found using these kinds of methods,  
10 that some strains that we get in have just been  
11 speciated incorrectly or they're a mixture of strains,  
12 and this is much more of a problem with *Campylobacter*  
13 than things like *E.coli* or *Salmonella* that we get in.

14 We would be able to observe this by  
15 monoclonal antibodies, for example, that are specific  
16 for *Campylobacter jejuni* that we have, that we would  
17 see with an authentic *Campylobacter coli*, a pure  
18 strain, would be baseline binding, but we'd  
19 occasionally see with the strains that we get in that  
20 they would have a little bit of binding of this  
21 antibody. Also, by mass spectrometry, where we can  
22 look for biomarker ions, where we can take single  
23 colonies and then analyze them by mass spectrometry, we  
24 get a profile of ions, and this is a PC problem that

1 they come out sideways, but here's the profile here.  
2 These are just proteins. These are molecular ions of  
3 these proteins that we can use by identifying biomarker  
4 ions that are specific for that species. We've been  
5 able to do this for coli and for jejuni, *Campylobacter*  
6 *lari* and other *Campylobacter*, and these are very good  
7 for being able to identify a strain as that species.

8           However, what we would see occasionally is a  
9 mixture of those biomarkers and this is an example here  
10 of a strain that we received from an outside source and  
11 you can see a biomarker for *Campylobacter jejuni* here  
12 and here and biomarkers for *Campylobacter coli*, telling  
13 us that this is probably a mixture.

14           Now, we received some strains that were part  
15 of the NARMS project from Paula Cray and Mark Englen,  
16 and these are, as those in the FSIS and others know,  
17 are strains that are from survey studies looking for  
18 antibiotic resistance and then they are sent to Athens,  
19 Georgia, and they do drug-resistance, antibiotic-  
20 resistance profiles. At some point in this process,  
21 these have been selected as single colonies to attempt  
22 to get a pure strain. When we get these in, though, we  
23 were sent 20 strains of *Campylobacter jejuni* from Paula  
24 and Mark, and these were sent to us because they were

1 multidrug resistant. We wanted strains that were very  
2 multidrug resistant because we just wanted to look for  
3 plasmids that we could use for engineering plasmids for  
4 *Campylobacter* work. So, they were selected in that way  
5 only.

6 We tested them by various procedures, the  
7 biochemical assays, monoclonal antibodies and mass  
8 spectrometry, and we were able to confirm that seven of  
9 those 20 were actually not *Campylobacter jejuni* strains  
10 but *Campylobacter coli* strains. So, 35 percent of  
11 those particular 20 of the subsets sent to us were not  
12 that, the species that was stated.

13 Now, we told Paula and Mark about this, and  
14 they went back and they had seen some ambiguous results  
15 themselves with the strains they were getting and they  
16 basically went back and retested all 192 isolates that  
17 they had and so they recultured them and tested them by  
18 a *Campylobacter coli* and *Campylobacter jejuni* specific  
19 PCR that they described. Out of that 192, they got 17  
20 strains that were suspicious, and I guess the ones we  
21 got were part of that. They actually went to the stock  
22 beads that they preserved and did a PCR on that and  
23 were able to see in fact that they saw different  
24 species than they originally saw and also a mixture as

1 we were seeing. So, they retested the beads by PCR and  
2 they also did passage of the strains, these 17 on media  
3 five times, and then retested by PCR each time, and  
4 what they found is shown here and they were kind enough  
5 to give me their data. The paper's going to be coming  
6 out, I think, in a couple of months in Letters of  
7 Applied Microbiology on this work.

8           They compared two types of PCR. I won't get  
9 into that. The PCRs that they compared were a  
10 commercial PCR and their PCR. I would just state that  
11 they gave different results, but what they found is  
12 clearly, if you look at this band up here, that's  
13 specific for *Campylobacter coli*. So, a coli strain  
14 should only give that band. A *Campylobacter jejuni*  
15 strain should only give this band and they clearly saw  
16 that they were not only seeing differences in what they  
17 originally saw in the strains, they also were seeing  
18 the mixture and you can see that evident here by where  
19 you see an asterisk and also these arrows show, I  
20 think, dramatically the change not only in the mixture  
21 but also the change after passage in these. So, you  
22 can see here where it was mostly a jejuni strain  
23 represented there by PCR. You can see now it's a coli  
24 and now it's back to a jejuni.



1           So, here, you see a mixture, almost like an  
2 even mixture, based on the PCR products, you see now  
3 only a coli and here the mixture back again. So, this  
4 is very problematic. These clearly were mixed  
5 cultures. That has implications obviously. Now, they  
6 reported these 14 strains and the points that are  
7 important here, conclusions are that in no case did  
8 retesting resolve the inconsistencies. Different PCR  
9 systems gave different results, and it appeared that  
10 the detection of *Campylobacter coli* strains increased  
11 by retesting. So, the jejuni seemed to go away, the  
12 colis came up. This may be something about fitness of  
13 the strains on passage or something but clearly you're  
14 seeing a difference in the ratio of these mixed  
15 cultures.

16           So, mixed strain isolates all had been picked  
17 from a single colony at least once. So, what is a  
18 *Campylobacter* colony-forming unit? I don't think it's  
19 a problem in certain kinds of systems and samples, but  
20 it is in others apparently. So, a careful lab  
21 technique will usually yield a single strain but not  
22 always. So, I want to make the point that certainly  
23 this is a problem, I think, in certain kinds of  
24 samples.

1           Now, we clearly can find a mixed species  
2 using these kinds of reagents, monoclonal, PCR, etc.,  
3 but what if you have a mixture of *Campylobacter jejuni*  
4 strains, the same species, with different antibiotic-  
5 resistance profiles? How do you discriminate that you  
6 have a mixture of those two strains, if in fact a  
7 colony-forming unit doesn't give you two strains?  
8 We're developing methods to be able to do this and I'll  
9 just state that using a variety of genetic loci that  
10 have lots of variability in them, we will be able to  
11 discriminate and identify mixed strains of the same  
12 species. I won't get into that here.

13           So, just the conclusions are that aggregation  
14 can lead, probably aggregation, some kind of  
15 interaction can lead to these mixed strain isolates.  
16 The frequency dependent upon source, perhaps even  
17 technical skill and possibly the strains. There may be  
18 specific interactions or something. I suspect that  
19 certainly when one runs *Campylobacter* from a poultry  
20 sample, for example, that you often get swarmy growth.

21       Everybody has seen that, and if you don't have single  
22 colonies, someone probably decides to take their sample  
23 from something that isn't a single colony and perhaps  
24 this is why some cases you can get these mixtures of

1 strains, but I don't know that for sure.

2           Ineffective disaggregation will affect  
3 quantitation but it's possible that colony-forming  
4 units per ml that Norman gets or anybody else gets when  
5 they do surveys of poultry carcasses may actually be  
6 associated with the actual number of cells that are  
7 there and that maybe in those kinds of surveys, you  
8 don't care whether it's a coli or jejuni *Campylobacter*,  
9 just that it's *Campylobacter*. Selective enrichment, I  
10 think, probably minimizes this problem but quantitation  
11 wouldn't be as easy. So, the implications for survey  
12 studies are, as I said, I don't think if you're doing  
13 qualitative assessments that, you know, the percent  
14 carcasses contaminated, this data isn't going to matter  
15 to that.

16           Aggregation could affect the quantitation, as  
17 I've said, but even there, it may be that the numbers  
18 that you get are associated with the actual numbers  
19 that are on the carcass. One would have to find that  
20 out. But I do think it's important for any kind of  
21 survey studies and obviously for biology, those  
22 interested in the molecular biology and biology, it  
23 certainly has some implications for that. In the NARMS  
24 studies, one has to wonder, could the multidrug-

1 resistance profiles be an additive effect from multiple  
2 strains that have different antibiotic-resistance  
3 profiles. So, there, I think, you know, certainly  
4 Paula and Mark and those in the NARMS project are aware  
5 of this.

6 So, I'll end there. Thank you.

7 DR. PIERSON: Thank you very much.

8 Let me point out a correction. I might have  
9 misstated where the position of this new charge is.  
10 Spencer does chair a Subcommittee that's dealing with  
11 performance standards and that that's a very specific  
12 charge and they're addressing that and coming as close  
13 to conclusion. The charge on *Campylobacter* is a  
14 separate charge. It's not something that we're  
15 intermingling with the performance standards, the  
16 current performance standards charge. This is a  
17 separate charge. We're just simply using that same  
18 Subcommittee to address the new charge, and with that,  
19 I'll briefly turn it over to Spencer so he can give you  
20 an outline of where they intend to head with this.

21 MR. GARRETT: Well, thank you, Mr. Chairman.

22 I think as we can see from the three  
23 presentations relative to the charge and determining  
24 the methodologies and the differences and the

1 utilities, the differences and possible concerns for  
2 quantification, qualification, application, dah-dah-  
3 dah, our work's cut out for us.

4 We met August 8th and we had the pleasure of  
5 having the presentations by Dr. Walt Hill from FSIS and  
6 also from ARS of Dr. Stern, and so one of the things we  
7 wanted to do here was to give the full Committee and  
8 now we have an additional presentation that we  
9 certainly appreciate, Bob, and so now we have to begin  
10 to, if you would, collect our thoughts, synthesize this  
11 information, perhaps get additional information and so  
12 forth.

13 On August 8th, after we had our two  
14 presentations by FSIS and ARS, we kind of went into a  
15 brainstorming session and had not only for our  
16 Subcommittee members but also for Norman and Dr. Hill  
17 as well, and we came up with some thoughts, ideas and  
18 suggestions that we might need and some additional  
19 expertise and information needs that we felt that we  
20 were going to need to begin to address this charge, and  
21 I'm just going to very briefly read these out to you.

22 First of all, determine if additional  
23 membership is needed on the *Campylobacter* Subcommittee  
24 in order to address the charge. Determine the best

1 practical method for assessing prevalence and  
2 quantification and understanding the difference, if you  
3 would, between -- to use prevalence and incidence, we  
4 did bring that up again. Review the methods to confirm  
5 that they can be used for baseline studies. Determine  
6 the variability of the various assays. Determine how  
7 the two baselines were designed. Conduct a literature  
8 review on additional methods for *Campylobacter* and  
9 utilize the utility of the results or how best to  
10 utilize the utility of the results. Redistribute the  
11 previous NACMCF *Campylobacter* papers to the Committee  
12 and Subcommittee and I believe that's been done.

13 We need to know the variability of the  
14 different types and kinds of lab results and why that  
15 variability exists. Determine the adequacy of picking  
16 only three colonies per plate which is one of the  
17 methodology procedures and we can see some of the  
18 difficulties perhaps in doing that. Look at the nuts  
19 and bolts of the methods, including antimicrobials, as  
20 has just been indicated, and sample transport time and  
21 strain selectivity in terms of the antimicrobials.  
22 Evaluate the relevance of clinical isolations to  
23 carcass sampling methodologies.

24 We need to be provided the '93 and '94

1 baseline report statistical design and analysis and  
2 that's in the process of being done. Determine the  
3 reasons for rinse variability and there are  
4 differences, so there are variations in the rinse  
5 variabilities and what the suitability of how many  
6 times do you rinse something. Evaluate the rinse  
7 versus purge methods for the purposes primarily for  
8 risk assessment. Determine the standardization  
9 procedures of plants when samples are actually taken  
10 themselves. Review the findings of the May 2000  
11 Chicago meeting on the NACMCF Meat and Poultry  
12 Subcommittee and that's being provided to us. Receive  
13 additional information from Dr. Hill and Dr. Stern  
14 regarding any further suggestions that they may have  
15 for our *Campylobacter* Subcommittee and also we would  
16 certainly welcome Bob to provide us with any additional  
17 information that he thinks that we need to look at, and  
18 also, again as Norman brought up, there's -- we also  
19 got into some discussions that I certainly don't want  
20 to go into today about the difference between precision  
21 and accuracy and how that's used in microbiological  
22 quantification as well as how do you deal with, as Norm  
23 indicated, with MPNs where you're at the lower  
24 sensitivity of the test, less than something? How do

1 you do that? Some people take a log value, other  
2 people take the issue of value of one, but it does make  
3 a difference in terms of your standard errors and  
4 sampling plans and things of that nature.

5 So, that's pretty much where we are and what  
6 we wanted to do here was to give everybody the flavor,  
7 if you would, of not only the degree of difficulty  
8 relative to the tasks that we're soon embarking upon  
9 but also how we're trying to address that task, and I  
10 would open it up for any other thoughts, ideas or  
11 suggestions or comments.

12 Thank you.

13 DR. PIERSON: Okay. Thank you, Spencer.  
14 Allison?

15 DR. O'BRIEN: Allison O'Brien, USUHS.

16 I'd actually like to ask a question of the  
17 last speaker because it's related to some of the  
18 questions you posed. We did not have that opportunity  
19 to ask questions of the speakers.

20 DR. PIERSON: Right. That's what we're  
21 going to do now, is ask questions of the speakers as  
22 well as comment overall on the charge. So.

23 DR. O'BRIEN: So, it was for Dr. Mandrell.

24 DR. PIERSON: Yes.



1 DR. O'BRIEN: Where is he?

2 DR. PIERSON: Right there.

3 DR. O'BRIEN: Oh.

4 DR. MANDRELL: I don't hear it. Oh, okay.

5 Good.

6 DR. O'BRIEN: Okay. My question has to do  
7 with methodology, and it has to do with the issue of  
8 mixed colonies. Did you ever do a quantitative assay  
9 comparing, say, real-time PCR data versus colony counts  
10 given mixture culture results? Because what we're  
11 hearing, of course, from Dr. Stern and from you is that  
12 if we do do colony counts, we're not going to be sure  
13 that we're dealing with a single colony at any one  
14 time. So, I'm just wondering if you've ever done that  
15 comparison.

16 DR. MANDRELL: No. Actually, what we're  
17 trying to do is develop the ability to see  
18 *Campylobacter jejuni* strains, and we actually have that  
19 capability now and we're going to be going back to  
20 samples and look to see if we have mixed cultures, not  
21 only of coli and jejuni but also mixed strains of  
22 jejuni.

23 As far as quantitation by real-time PCR,  
24 there are others in the laboratory that are doing that,

1 but we -- and that can be adapted to these mixed  
2 cultures, but we haven't done that yet.

3 DR. O'BRIEN: Thank you.

4 DR. MANDRELL: I think that's something that  
5 maybe Paula Cray and Mark Englen may be doing because  
6 they've got a lot of the samples that are relevant  
7 there.

8 DR. PIERSON: Cathy?

9 DR. DONNELLY: I had a question, Cathy  
10 Donnelly, University of Vermont.

11 I had a question for Dr. Mandrell. The  
12 entangled flagella that you showed us in micrographs  
13 of, to what extent do you think those play a role in  
14 this co-isolation of the species?

15 DR. MANDRELL: I don't know. I showed them  
16 because we see them often in SEMs but, I mean, as far  
17 as aggregation, we know Campy aggregates, and it's  
18 possible that that's part of it, but --

19 DR. DONNELLY: And I wonder if you're looking  
20 at exploring cultural conditions which either promote  
21 or --

22 DR. MANDRELL: No, we're not. We could, but  
23 that's -- I mean, it's something that's not our primary  
24 objective, but no, we're not. I mean, the way that I

1 would address this, if I were going to try and get  
2 better quantitation, is I would try some -- and we  
3 actually are trying this in some of the produce studies  
4 we're doing because we're looking at *Campylobacter* on  
5 leaf surfaces and in the root structure of plants, and  
6 we're using detergents, non-ionic detergents to try and  
7 increase the colony counts, and we are seeing some  
8 increases, not twofold, but we are seeing some  
9 increases using non-ionic detergents to try and just  
10 disaggregate the organisms because they are in  
11 aggregates on leaf surfaces and in the root structure.

12 DR. DONNELLY: Thank you.

13 DR. PIERSON: Bob?

14 DR. BUCHANAN: This is more as a comment than  
15 a question of any of the speakers. I have some concern  
16 that we're rediscovering microbiology. The reason why  
17 we use the term "colony-forming unit" is because we  
18 have a long history of aggregation and it used to be a  
19 typical protocol that before you started doing any kind  
20 of quantitative study, someone got a microscope out and  
21 looked at the cells to find out if they were  
22 aggregating, so that you had some idea of whether you  
23 were working with single cells or aggregates.

24 I'd like to make another comment, is that,

1 PCR and the whole DNA technologies offer some  
2 tremendously important tools, but DNA is not a cell,  
3 and at some point, whatever the procedure is that's  
4 being employed in a baseline study, somebody's got to  
5 look at the individual preparations, you know. A  
6 microscope is a very powerful tool, and so I have some  
7 concerns that we're not using in all of these assays  
8 some of the simple things that have been around for a  
9 hundred years that really would have answered some of  
10 these questions.

11 DR. PIERSON: Larry?

12 DR. BEUCHAT: Larry Beuchat, University of  
13 Georgia.

14 I have a comment and a follow-up on Cathy's  
15 question to Dr. Mandrell. Oftentimes in running  
16 challenge studies or tests for thermal inactivation or  
17 sensitivity to sanitizers, we use cocktails. We use a  
18 mixture of strains or in the case of *Salmonella*  
19 different serovars. I guess it would be reasonable to  
20 always test for, for the lack of another word,  
21 compatibility or cross-reaction in reference to the  
22 observations that Dr. Mandrell has made on this  
23 aggregation phenomenon, perhaps not as extensive for  
24 other genera but nevertheless to do that, and I think

1 that's not often enough done.

2 But my question is a follow-up. I really had  
3 the same question as Cathy did. To make in the end  
4 more valuable and applicable, practical, the  
5 observations that are being developed and made, it  
6 would appear that I think the age of the culture, the  
7 temperature at which it's grown, whether it was grown  
8 on the surface or in media or broth, nutrient  
9 availability, would have an impact or could very likely  
10 have an impact on the extent of this aggregation  
11 phenomenon and even subculturing may well result in the  
12 loss or at least change in the ability or extent of  
13 aggregation. So, I would hope that Dr. Mandrell or  
14 others working in this area would consider these  
15 approaches.

16 Thank you.

17 DR. PIERSON: John, do you have a question?

18 DR. LUCHANSKY: Some of the questions -- John  
19 Luchansky, ARS, -- I had for Rob have already been  
20 asked, but one thing I'd be curious about from some of  
21 your other stuff, Rob, do you think this is a situation  
22 unique to Campy or with LM or 0157 or *Salmonella*?  
23 Would you expect to see similar --

24 DR. MANDRELL: I can only give you some

1 information. It doesn't seem to be as much of a  
2 problem with some of the other strains. I'll just give  
3 you some information on *E.coli* because we've gotten a  
4 lot of "O157:H7" strains in from different sources, and  
5 in two groups of 55 strains, only 28 of them were  
6 authentic O157:H7, but in another group of strains of a  
7 178, a 168 of them were authentic. So, that's really a  
8 methodologic thing.

9 As far as mixed strains, we did find mixtures  
10 of strains in those. Ten percent in one group and the  
11 other group, we -- actually, we don't know in the other  
12 group because we never really looked because we weren't  
13 doing mass spectrometry on those. The way we could  
14 find them is by mass spectrometry. We could see the  
15 O157 isolates and then see other *E.coli*, generic *E.coli*  
16 that were mixed in there, and we were able to separate  
17 them out.

18 DR. LUCHANSKY: So, then, if I may?

19 DR. MANDRELL: But I don't think it's the  
20 aggregation or the -- it doesn't seem to be as much of  
21 a problem with *E.coli*. *Salmonella*, I don't really  
22 know.

23 DR. LUCHANSKY: So, then, do you think,  
24 following up on what Larry was saying, do you think

1 it's a microbiological or a procedural thing or is it a  
2 genetic thing?

3 DR. MANDRELL: If I had to guess, I would say  
4 it's a procedural thing with *E.coli*. With Campy, I  
5 think what I'm struck by is the number of strains we  
6 get in that are a mixture of strains --

7 DR. LUCHANSKY: So, we just --

8 DR. MANDRELL: -- from many sources.

9 DR. LUCHANSKY: Of the LM we sent you last  
10 month, were any of those mixed?

11 DR. MANDRELL: That, I can't tell you.

12 (Laughter)

13 DR. MANDRELL: I don't know because, you  
14 know, the methods, we haven't been able to get mass  
15 spec biomarker ions on the LM. Gram positives are  
16 harder to do. We're working on methods to get more  
17 ions from those.

18 DR. LUCHANSKY: And finally, one more, if I  
19 may? If you keep following via passage or via  
20 whatever, do the coli go back to become jejuni or do  
21 the -- do you see any --

22 DR. MANDRELL: Well, Paula has seen because  
23 they've gone in and they have the mixtures, and what  
24 we've done is when we get mixtures from other sources,

1 we just separate them out and we haven't tested them  
2 the way Paula did with passage to see in fact do they  
3 switch the ratio of those, but I expect they do.

4 DR. LUCHANSKY: Because that would mean that  
5 would be more of a genetic thing rather than a  
6 procedural, not so much like phase variation or curl  
7 information or something like that but --

8 DR. MANDRELL: Well, what I'm talking about  
9 procedural is the actual getting the mixed culture. I  
10 think for Campy -- for *E.coli*, it's just somebody --  
11 you know, it's a procedural thing and Campy, I think  
12 it's the same thing. My guess is that what happens is  
13 what looks like a single colony-forming unit to  
14 somebody that's out in the field doing something, you  
15 know, really isn't, and it's likely to be a mixture  
16 when you're talking about especially poultry isolates  
17 that, you know, in that chiller bath, all of which get  
18 rebound to the surface of the animal -- I mean, the  
19 carcass, you know. There are many, many strains there.  
20 So, it's not hard to imagine that this is what's going  
21 on.

22 As far as the aggregation phenomena and all  
23 of that, I don't want to make a point that that's all  
24 new. It clearly is not new. What is surprising to me



1 is that in survey studies that there are mixtures of  
2 strains that shouldn't be mixtures of strains. That's  
3 my only point. I think we should be aware of that.

4 DR. PIERSON: Dave?

5 DR. ACHESON: David Acheson, University of  
6 Maryland.

7 I wanted to come back to Cathy's point about  
8 the flagella. There's certainly work to show that  
9 flagella are required for aggregation, and it would  
10 appear that what is going on potentially is related to  
11 glycosylation of flagella proteins. You can have  
12 mutants that contain flagella but don't aggregate and  
13 probably have mutations in specific enzymes leading to  
14 glycosylation. So, the flagella really are the  
15 critical element and therefore to get to Larry's point,  
16 you could potentially determine mechanisms to inhibit  
17 that.

18 DR. PIERSON: Stephanie?

19 DR. DOORES: Is there any -- I think this is  
20 probably to Dr. Mandrell or to Dr. Stern. Is there any  
21 suggestion that it's a requirement for the two strains  
22 to be together in order to create the disease? We know  
23 certainly that the level of cells is purported to be  
24 around 500 cells to create the illness. Would there be

1 such a situation that if we had the two strains  
2 together, that we would have disease caused at  
3 extremely low level but if they were different strains  
4 or they were separated and apart, you had a higher  
5 level of infectivity, and if the two strains needed to  
6 be together to create the disease, then maybe it's not  
7 a bad thing if we're picking it up on the methods that  
8 we currently have now. So, care to comment on that?

9 DR. STERN: To my awareness, in places such  
10 as Northern Europe and in the United States, most of  
11 the time, we have pure culture in clinical specimens.  
12 I think almost all the time. When we go to developing  
13 countries, you can get individuals excreting three,  
14 four, five different type of *Campylobacter*. So, I  
15 don't think there's anything there to say that to cause  
16 disease, you need to have two strains together. I  
17 think within the developed world, we consistently have  
18 most of our diseases manifested by a single strain.

19 DR. MANDRELL: I would agree that in the  
20 clinical isolates that we've gotten in, they're usually  
21 pure cultures. So, not to say that there weren't  
22 mixtures of strains there and it's just easier to get a  
23 pure culture from a clinical sample, but we have gotten  
24 some, and I think we've gotten about four out of maybe

1 75 to a hundred that are mixtures, but it's less than  
2 what we've seen with the animal cultures.

3 DR. DOORES: Okay. Can I just follow up on  
4 that? Is the selection for that organism from a  
5 clinical setting using different methods than from  
6 poultry, such that you might get a pure culture, a  
7 purer culture?

8 DR. MANDRELL: I don't really know how they  
9 do it in the different hospital labs. Someone that  
10 knows that -- Bala, maybe you know how that would  
11 happen. I don't know what antibiotics and selection  
12 systems they use in the hospitals.

13 DR. SWAMINATHAN: Usually clinical specimens,  
14 it's a direct plating on a selected medium, and you  
15 don't have the problems that you have with chicken skin  
16 and so forth. So, there is nothing unusual about it.

17 DR. MANDRELL: I mean, the idea of mixed  
18 strains causing illness is a very interesting one.  
19 It'd be interesting.

20 DR. PIERSON: Okay. Allison?

21 DR. O'BRIEN: Yes. Allison O'Brien, USUHS.

22 I'd just like to follow up on my original  
23 question, at least explain what I'm concerned about. I  
24 was concerned, particularly concerned in terms of

1        quantitation when you could not by looking at a colony  
2        tell that it was a mixed colony.  So, the appearance of  
3        your blue and green segmented colonies or whatever, if  
4        you by eyeball, without that fluorescent tag, could you  
5        have -- did -- could you have detected that it was not  
6        a single -- was a mixed colony?

7                    DR. MANDRELL:  You mean, in looking at the  
8        whole sample?

9                    DR. O'BRIEN:  No.  Looking at the colony --

10                   DR. MANDRELL:  Oh.

11                   DR. O'BRIEN:  -- on a plate.

12                   DR. MANDRELL:  I --

13                   DR. O'BRIEN:  If Dr. Stern was looking at  
14        that on a plate or you were looking at it on a plate,  
15        would you have realized it was a mixed colony when you  
16        were trying to count it?

17                   DR. MANDRELL:  Oh, no.

18                   DR. O'BRIEN:  Well, that was the point of  
19        PCR.

20                   DR. MANDRELL:  No.  When you look into the  
21        light stereomicroscope, that looks like a perfect  
22        colony.

23                   DR. O'BRIEN:  So, that was my point about  
24        asking about PCR, was when you've done all the

1 visualization that you can do, are you going to be  
2 stuck? Are you going to have to -- if you really want  
3 an accurate -- let's not get accurate -- precise, but a  
4 reproducible count of the actual number of units that  
5 you have, are you going to have a problem by the colony  
6 that's mixed that you can't see as mixed?

7 DR. STERN: If I may offer some perspective  
8 on this? First off, the direct counts are estimates.  
9 Second, when we pick individual sample colonies, we  
10 will frequently find three, four, five different colony  
11 genotypes by using the sequencing method we employ to  
12 determine that in a given flock of birds, suggesting  
13 that there may be a number of sources for that flock of  
14 birds and that would not be unexpected. Birds do not  
15 get sick from *Campylobacter*.

16 DR. O'BRIEN: Could I just follow up on what  
17 you just said? Three or four different genomic types  
18 in the same colony or you're just talking about among  
19 all the birds in the unit you're looking at it?

20 DR. STERN: Among all the birds in that unit.  
21 We could take 50 individuals and we pick one colony  
22 from a plate, we will find different *Campylobacter*  
23 strains within that flock.

24 DR. O'BRIEN: But if there's a difference in

1 aggregative ability among the various *Campylobacter* for  
2 Flock A and Flock B, and in Flock A, the *Campylobacter*  
3 tend to aggregate and form a colony that looks like one  
4 unit and Flock B, they tend to aggregate less, well,  
5 then the relative comparison's skewed.

6 DR. SWAMINATHAN: This question is for either  
7 Dr. Stern or Dr. Mandrell, whoever wants to answer  
8 this. Even four out of 75 is quite a bit for clinical  
9 strains that you looked at and so I am concerned about  
10 it from a clinical isolate standpoint as well, and I'm  
11 trying to understand how strong this aggregation is.

12 I can very easily see how this could happen  
13 on a primary isolation plate when you're looking and  
14 thinking that you're picking pure colonies, single  
15 colony. It may be there may be something under that  
16 and it's very easy to obtain a mixed culture. But if  
17 Dr. Stern is going to send you some strains, it  
18 probably has gone -- been recultured two or three times  
19 in his laboratory, and then you are probably plating it  
20 out in your own lab and selecting single colonies out  
21 of that.

22 Are you trying to imply that once this  
23 aggregation occurs, those cells are difficult to  
24 separate even if they are repeatedly cultured?

1 DR. MANDRELL: I'm not trying to imply that  
2 because I don't really know the history of some of  
3 these strains that we've been sent that actually were  
4 mixtures. I don't know how -- I mean, everybody would  
5 like to think that everybody has done the single colony  
6 pick multiple times, but I'm not sure it always  
7 happens.

8 As far as them staying aggregated, I mean, if  
9 you take that colony that has a mixture and you replat  
10 that, you'll see some clean colonies that are -- you  
11 know, you don't see mixtures all over the plate. We've  
12 done that, taken a colony that is a mixture of two  
13 strains, then resuspended it and plated it and you get  
14 -- you still get some mixed colonies, still about 3 to  
15 6 percent, but a lot of them have been teased apart and  
16 are now single pure strain.

17 DR. PIERSON: Bob Buchanan?

18 DR. BUCHANAN: I'd like to follow up on  
19 questions for any of the speakers on this one and it's  
20 following up a little bit on what Allison brought out,  
21 and the purpose for the generation of this data is to  
22 determine whether or not we can use it for a  
23 performance standard of some sort, and the question  
24 becomes are we interested in accuracy or precision in

1 terms of the methodologies we're looking at?

2 I guess I'd like to ask the question of any  
3 of you. Several years ago, I guess it's going on almost  
4 10 years now, there was quite a bit of controversy in  
5 the analysis of poultry by a Holberg rinse for  
6 *Salmonella*. The experiments in question were if you  
7 took the bird, you put it in a bag with your 400 mls of  
8 liquid, you shook it up and you would quantify the  
9 number of *Salmonella* that were present on the chicken.

10 If you then took that rinsed chicken and you put it  
11 back in a new bag with 400 mls of liquid again and you  
12 shook it, you get almost the same number, and you could  
13 do this repeatedly. In fact, it was researched. It  
14 did come out of the Athens lab.

15 Are we interested in these methodologies in  
16 terms of your ability using whatever method you're  
17 using to get a reproducible result or are you  
18 interested in getting an absolute number, and then I  
19 would wonder, are we using the right methods at all. A  
20 whole bird rinse is going to give you something that is  
21 reasonably precise. It will not give you an answer  
22 that is accurate.

23 DR. STERN: Well, indeed, that was part of  
24 the data that I shared with you and we realized that



1 we're only getting an estimate on the first rinse, but  
2 that if we do consecutive rinses, we can continue to  
3 get large numbers of *Campylobacter* out going out to 40  
4 rinses.

5 I think what happens when you do an initial  
6 rinse estimation, you are getting a true estimation of  
7 load; that is, if you get a high level at your first  
8 rinse, you'll get more coming off later on, and if you  
9 have a lower level on the first rinse, then you'll have  
10 comparatively fewer in -- when you sum the entire  
11 dataset.

12 So, I think, you know, you're right to say  
13 that you can go on a single rinse, the first rinse, and  
14 get an estimate or we can come up with the equation of  
15 what a single first rinse really means, but I think  
16 either way, you're talking about the load on that  
17 process lot.

18 DR. BUCHANAN: So, I interpret that in light  
19 of the request that's come in, is that it's more  
20 important methodologically to focus on reproducible  
21 results, that is precision, than it is to be focusing  
22 on the details of accuracy. As long as you have a  
23 method that you can continue -- you can rely on to give  
24 you an estimate time after time after time, it's more

1 important than focusing on the details of how accurate  
2 that number is in terms of reality.

3 DR. STERN: I'm not sure. As far as I'm  
4 concerned, the words only mean so much to me. What I  
5 can say is that I can assess 50 -- I can assess 10  
6 flocks and I know when one flock is significantly more  
7 contaminated than a second.

8 DR. BUCHANAN: I guess the question is, how  
9 much effort do you need to get into strain selection,  
10 how much effort do you need to get into genome  
11 selection, when really what you're looking for is a  
12 crude estimate at the front end?

13 DR. STERN: I would vote for a crude estimate  
14 because I think it gives you a pretty good assessment  
15 at least what the public exposure is.

16 MR. GARRETT: Thank you, Mr. Chairman.

17 Just to point out, though, Bob, that in our  
18 charge, we're really not doing this for developing a  
19 performance standard, but if you look at the third item  
20 down there, it's primarily to compare these  
21 methodologies to use in risk assessment and the  
22 establishment of baselines, actually, but the issue  
23 simply is many of your questions still relate obviously  
24 to our purpose.

1 Thank you.

2 DR. PIERSON: Thank you.

3 John, did you still have a question?

4 DR. LUCHANSKY: Some of this -- this is John  
5 Luchansky -- has already been taken up, but I guess I  
6 was going to ask the questions to be reframed because  
7 if this is a practical constraint to getting numbers  
8 for risk assessment, that's one thing. It's another  
9 thing if this becomes an academic pursuit to get into  
10 the details of what's really occurring here on a  
11 microbiological level. So, I was asking for a clearer  
12 understanding of that.

13 MR. GARRETT: Have I given you one?

14 DR. LUCHANSKY: Well, so you would -- from  
15 what you said, you would be looking for precise  
16 numbers, so you could better estimate risk.

17 MR. GARRETT: Well, --

18 DR. LUCHANSKY: Did I interpret that the  
19 wrong way?

20 MR. GARRETT: -- no, not at all. To the  
21 extent that those numbers in fact are suitable for risk  
22 assessment, yes.

23 DR. LUCHANSKY: Is the goal to get a very  
24 good handle on the contamination rate and in the number

1 of antibiotic-resistant samples, be it one colony, two  
2 colonies or five colonies, or is it an attempt to get  
3 an idea of more the actual exact number of  
4 *Campylobacter* strains that are found in a positive  
5 sample?

6 MR. GARRETT: I think it's bits and pieces of  
7 both. If we go back to the third bullet, compare the  
8 methodologies used in the two studies with recent  
9 methodological advances for their ability to provide  
10 data on the presence and quantity of *Campylobacter* for  
11 application and risk assessment and baseline studies.

12 So, I think the -- one of the challenges,  
13 quite frankly, that we face as a Subcommittee and this  
14 Committee faces in general is how do we take all this  
15 information and essentially decide what the main thing  
16 is and keep focused on the main thing, whatever that  
17 is. It certainly relates to -- because we can -- I'm a  
18 microbiologist, too, and this can be an exceedingly  
19 interesting intellectual exercise and in fact it will  
20 be. But again, we're trying to get the determinations  
21 made, if you would, and these estimates made that are  
22 suitable for methodologies to do baselines and then use  
23 that information again for risk assessment purposes.

24 DR. LUCHANSKY: In that respect then, I don't

1 -- I guess I would like some insight from you as the  
2 chairperson if we are to achieve that, are we to  
3 summarily dismiss, for example, the rather elegant  
4 experiments Rob described which were great? Are we to  
5 embrace that and try to see if we can make them reduce  
6 those to routine practice?

7 MR. GARRETT: No. I think, of course, this  
8 is my only second day on the job, but I might point out  
9 that I think at this point, we throw nothing out until  
10 we determine what seems to be -- and this is in my  
11 professional opinion, this will be -- this will  
12 parallel the degree of difficulty and the degree of in-  
13 depth study by the Subcommittee and then ultimately the  
14 Committee itself as we had with performance standards,  
15 and let me point out that that's taken over a year.

16 DR. LUCHANSKY: Thanks.

17 DR. PIERSON: Dane?

18 DR. BERNARD: Thank you, Chairman. Dane  
19 Bernard, Keystone Foods.

20 A question for any of the speakers. Is  
21 strain-to-strain variation in terms of aggregation, is  
22 it -- do they all aggregate to the same degree or is  
23 that an issue? I've heard discussions around that, but  
24 it relates to Bob's point on precision and

1 reproducibility.

2 Thanks.

3 DR. MANDRELL: I think there are some  
4 differences in the ability of strains to aggregate and  
5 that would be expected with some of the surface  
6 characteristics that are different among the strains.  
7 If I could just throw this in, based on what I think  
8 your charge is, I don't see that this mixed culture  
9 issue is really much of a problem for you all. I mean,  
10 I don't see it that way. I think what I'd be  
11 interested in, for example, would be Norman's  
12 experiments, where he's done the sequential, you know,  
13 testing of carcasses and seen if there is an  
14 association between the first carcass rinse and the sum  
15 of what you get after X number of sequential rinses,  
16 and if that association is good, then I don't think it  
17 matters that you would have a mixture of coli, jejuni  
18 or any mixed strains of jejuni. It doesn't seem like  
19 it would matter for that particular issue.

20 I mean, I see the mixed culture problem as  
21 really only a problem for when you must learn something  
22 deeper about those strains, antibiotic resistance, for  
23 example. So, as far as this issue I brought up, it's  
24 certainly an interesting one biologically. It's very

1 interesting in terms of characterization of strains and  
2 the purity of strains when you need to know exactly  
3 what the capability of that strain is, but as far as  
4 numbers, I would really lean on the side of a crude  
5 estimate because if you get into this in depth based on  
6 anybody that works with *Campylobacter*, you're going to  
7 have a really hard problem to try and get more absolute  
8 information about anything related to *Campylobacter* on  
9 poultry. So, I would just offer that.

10 DR. PIERSON: Anna?

11 DR. LAMMERDING: Anna Lammerding, Health  
12 Canada.

13 I think if we're considering why we're doing  
14 this, it's essentially for a public health goal, and in  
15 a risk assessment framework, we need to know kind of  
16 levels that are causing illness, and we haven't got  
17 that quite defined. If you also look at how people are  
18 exposed to *Campylobacter* coming from poultry, there is  
19 a huge amount of variability and uncertainty in cross  
20 contamination and cooking and so on and so forth.

21 So, I think the variability and uncertainties  
22 in those parameters greatly vastly overshadow the need  
23 to have an accurate count of *Campylobacter* on the  
24 poultry carcass itself. We probably need to have a

1 good idea, some idea of how much we may be  
2 underestimating, but that certainly can be within a  
3 couple of logs, I'd suggest.

4 But on another consideration with the  
5 presentation we heard on aggregation, I guess I'm  
6 intrigued by any implications for this phenomena to  
7 have anything to do with adherence onto poultry  
8 carcasses and the implications for any decontamination  
9 procedures, based on information that possibly tightly-  
10 adhered *Campylobacter* are more resistant to chlorine  
11 than artificially-inoculated cells on carcasses, and I  
12 think looking at it from that kind of a perspective is  
13 relevant to our charge, also.

14 Thank you.

15 DR. PIERSON: Tsegaye?

16 DR. HABTEMARIAM: Thank you, Mr. Chairman.  
17 Habtemariam from Tuskegee University.

18 You reminded me of the example of the FMD  
19 outbreak in England recently, and a farmer said let's  
20 see how far medicine has advanced when we cannot even  
21 diagnose FMD so very well. I'm not a *Campylobacter*  
22 expert, but I was sort of intrigued with all the work  
23 on *Campylobacter* that methodologies of diagnosis are  
24 still problematic, and I think the presenters did an



1 excellent job of showing the need for more research.

2 But I just wanted to cast this as an  
3 epidemiologist and especially with the idea of the need  
4 or the ultimate need for risk assessment as well as  
5 baseline surveillance data. This really is a comment  
6 really for the chair, MR. GARRETT, to consider. As an  
7 epidemiologist and a microbiologist, when the word  
8 "sensitivity" comes up, it always is a question in my  
9 mind. Microbiologists look at sensitivity as one item  
10 and epidemiologists look at it slightly different, and  
11 a key issue in these systems that we need to develop, I  
12 see clearly a need for two, especially with the  
13 sophistication of genomics and proteomics that were  
14 presented. We'll need a gold standard undoubtedly, but  
15 at the same time, we're going to need an easy and  
16 massive application for surveillance and baseline data  
17 gathering that would not be expensive and too time  
18 consuming. Therefore, there's a need for these two,  
19 but we're definitely going to need a good one, a  
20 reliable one, a gold standard because out of this,  
21 we've got to develop what I would like to throw out as  
22 test systems that will provide us good data on  
23 sensitivities, specificities, especially false-  
24 positive/false-negative issues that are really very

1 critical in the risk assessment task and the Committee  
2 or the Subcommittee experience is to consider, in  
3 addition to these methodologies, methodologies that  
4 would allow us to establish test systems in terms of  
5 sensitivities versus the false-negatives and false-  
6 positives would be very useful, and I just want to  
7 throw that out for consideration.

8 DR. PIERSON: Thank you.

9 Dave, at one time, your flag was up there.  
10 Have you backtracked? Our people saw you touch that  
11 flag, and you were summarily written down here as  
12 wanting to make a comment.

13 DR. THENO: Well, I'm thoroughly convinced  
14 I'm in over my head on methodology here.

15 (Laughter)

16 DR. THENO: Although I guess I do have a  
17 question that I would put to the presenters. Our  
18 charge really is a couple of things. We need to find a  
19 good, accurate, easy-to-use methodology if we're going  
20 to do something like this, a technique, but if I have  
21 three guys where I work present that kind of  
22 information and I was thinking about using Campy as a  
23 performance standard, I would ask them do you have a  
24 better recommendation for me.

1           This today doesn't look like a streetable  
2 proposition and maybe I'm misconstruing it, but do any  
3 of the presenters have an idea that what might be a  
4 good correlation or, you know, better approach to this  
5 than the Campy?

6           DR. STERN: I'm not running for office, but  
7 using the methodology I described and its very  
8 preliminary data in the work we're undertaking in  
9 Iceland, we can count *Campylobacter* in a rough manner  
10 as we do, and we can see differences in human disease  
11 response related to different numbers and it's still  
12 very preliminary, but we can almost predict that if  
13 it's below a certain level, we're not going to see a  
14 human disease concern or heck with concern, we're not  
15 going to see the human disease.

16           So, there is some relationship as gross as  
17 the method is, but we can see that it -- I don't know  
18 if it's a predictor and we're not -- we have not  
19 gathered enough data to say that we have a number that  
20 I would offer this Committee, but I think that this  
21 gross measure has a value.

22           MR. GARRETT: Thank you, Mr. Chair.

23           Responding to Dave, I think that given the  
24 presentation and the list of brainstorming the

1 Subcommittee's already gone into and then this  
2 additional information here, I think you can readily  
3 understand perhaps why the Agency's not asking relative  
4 to anything for a performance standard; rather, they're  
5 saying is the utility, if you would, in coming up with  
6 a methodology that would be appropriate for either  
7 baseline studies or in fact risk assessment, and I'm  
8 particularly taken by Anna's comments relative to risk  
9 assessment and, of course, I have a special place in my  
10 heart for microbiological risk assessors, Anna, which  
11 also includes Bob Buchanan. So, you see the list  
12 there.

13 (Laughter)

14 MR. GARRETT: You know, Tsegaye and others.  
15 The point simply is that, is there something out of all  
16 of this morass, I mean, that's why they got the seafood  
17 guy because, you know, we came out of the primordial  
18 soup, is there anything that we can actually glean out  
19 of all this to make some sense for this for risk  
20 assessment purposes and baseline studies?

21 Thank you.

22 DR. PIERSON: Very good. Thank you for your  
23 excellent discussion, and what we'll do now is break  
24 for lunch. Committee members, from what I understand,

1 you're on your own. Please find your way back here by  
2 1:15 p.m. and we will reconvene at that time.

3 Thank you.

4 (Whereupon, at 12:08 p.m., the meeting was  
5 recessed, to reconvene this same day, Wednesday, August  
6 28th, 2002, at 1:15 p.m.)

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

1

2

## A F T E R N O O N        S E S S I O N

3

1:34 p.m.

4

5

6

7

DR. BRACKETT: The first thing that we're going to do is have the chair, Bob Buchanan, talk a little bit about a report out on the Subcommittee on Criteria for Shelf Life Based on Safety.

8

9

10

11

12

13

DR. BUCHANAN: Okay. Just for your purposes, this shouldn't take very long, but it's just to provide somewhat of an update of where we stand on the safety base used by Date Labeling Subcommittee that met yesterday and will meet again tomorrow as we work on our document.

14

15

16

17

18

Just in terms of a little background, we've been working on a document that at least in its draft form now is entitled "Principles for Establishing Safety Base Consumed by Date Labels for Refrigerated Ready-to-Eat Foods". Boy, this screen is terrible.

19

20

21

22

23

24

This arose out of a request that came from FDA and it in turn arose from the development of the FDA/FSIS *Listeria monocytogenes* Action Plan. As -- in response to some of the things that came out of the *Listeria monocytogenes* Draft Risk Assessment, one of the areas that were identified as a factor that should

1 be looked at was the potential growth of psychotropic  
2 pathogenic bacteria in otherwise adequately  
3 refrigerated foods and concerns that the risk of  
4 foodborne disease associated with the psychotropic  
5 pathogens increased as we extended the storage time.

6 A Subcommittee was formed. These are the  
7 official members of the Subcommittee, though I might  
8 note several of the other members of the full Committee  
9 have taken an on-going interest in this and have  
10 actually attended all of the Subcommittee meetings and  
11 have made some very significant contributions, and we  
12 encourage anyone in the Committee that has an interest  
13 that would like to remain involved in this to please  
14 feel free to attend any of the Subcommittees. We do  
15 announce the meetings to everyone and, in addition to  
16 that, we've had some very excellent input from  
17 representatives from different segments of the industry  
18 and also from people from academia. For example, Gale  
19 Prince made a very interesting presentation to us at  
20 the Subcommittee meeting yesterday on a perspective  
21 from the side of the retailer.

22 I might note here that the organisms of  
23 concern are the psychotropic pathogens of most  
24 interest, *Listeria monocytogenes*, non-proteolytic

1 *Clostridium botulinum*, *Yersinia enterocolitica*. We  
2 still have some questions about whether or not to  
3 include *Bacillus cereus* and we've had discussions with  
4 CDC about some -- their opinion. Certainly  
5 psychrotropic *Bacillus cereus* is an area of concern in  
6 Europe. We're not sure what the extent of foodborne  
7 disease is here in this country. Then we throw another  
8 just a general others. There are a variety of other  
9 organisms that have been on one occasion or another  
10 suggested as being psychrotropic pathogens. However,  
11 most of the concern is with those three top organisms.

12

13 We have come to some, you know, general  
14 conclusions as we work through this. One is that this  
15 is a means of enhancing safety, not a means -- it's not  
16 a substitute for HACCP or GMPs. So, the assumption  
17 here is, is that, you have an adequately produced,  
18 adequately stored product and that we're focusing here  
19 on enhancing safety.

20 We certainly have had the background  
21 information that current date labels are primarily  
22 focused on quality attributes. However, the perception  
23 on the part of the consumer is that this also refers to  
24 safety, not just quality. We've learned that there are



1 different types of date labels and they have different  
2 focuses and different sort of strengths and weaknesses.

3 Some of the ones that we're talking about are sell-by  
4 dates, use-by dates, and consume-by dates. We are  
5 looking now as part of our deliberations yesterday on  
6 which of those would be appropriate, at what time, and  
7 whether or not we would use more than one of them or  
8 would have to.

9 We have focused on trying to outline what  
10 would be the structure of the report and these are the  
11 different sections that will be in the report. They  
12 are each being worked on independently, some are more  
13 advanced than others, but right now, we've focused  
14 particularly on getting the scope defined and  
15 yesterday's meeting also looked at factors affecting  
16 growth, the section on other factors, the section on  
17 guidelines for establishing labels. We have -- thanks  
18 to Richard Whiting, we have had a fairly detailed  
19 example suggested and again we'll be looking at that in  
20 more detail.

21 Interestingly, one of the hardest areas right  
22 now is getting definitions for a lot of the different  
23 words we're using. It appears that definitions are  
24 anything but standardized and definitions vary from

1 what organization that you're talking to and also what  
2 federal agency you're talking with. So, we're going to  
3 be working through those issues.

4 Okay. That's just a real quick snapshot of  
5 where we are. It's a work-in-progress. We are making  
6 substantial progress. If anything else, if you measure  
7 progress by the number of printed pages, we're up to  
8 about 30 and just really starting but we'll be slimming  
9 that down. We will be meeting again starting tomorrow  
10 morning, again working on getting assignments out and  
11 on detailing some of the information that we've been  
12 generating.

13 I will be providing -- we got a lot of input  
14 yesterday which I'm now busily consolidating on my  
15 laptop. An updated version will be printed out late  
16 this afternoon and provided to Subcommittee members  
17 tonight, so that they can be looking at it in  
18 anticipation of tomorrow's meeting.

19 With that, I'd be happy to answer any  
20 questions, if there are any, from the Committee  
21 members.

22 DR. BRACKETT: Thanks, Bob.

23 Do we have any -- we have really no action to  
24 take on this. Are there any questions for Bob or the

1 Subcommittee?

2 (No response)

3 DR. BUCHANAN: Seeing none, I'm going to beat  
4 a hasty retreat.

5 DR. BRACKETT: Okay. Well, on the agenda is  
6 scheduled a break, but I don't think we'll do that.  
7 So, I think the next that we have up is Spencer  
8 Garrett, who is the Chair of the Subcommittee on  
9 Microbiological Performance Standards for Raw Meats and  
10 Poultry Products, and so at this point, Spencer can  
11 report out.

12 MR. GARRETT: Thank you, Mr. Chair.

13 I would point out that we have four documents  
14 with which we need to concern ourselves as we go  
15 through this. The first document is in your folder,  
16 and it's entitled "National Advisory Committee on  
17 Microbiological Criteria for Foods: Response to the  
18 Questions Posed by FSIS Regarding Performance Standards  
19 for Ground Beef Products", and it's dated January 25,  
20 2001. If we could get that document? It looks like  
21 this.

22 In that document, if we could turn to Page  
23 15, entitled "Next Steps", and I would like to go to  
24 Page 15, Mr. Chairman, to bring the full Committee up

1 and perhaps those in the audience to indicate what we  
2 indicated that we were going to do, and if I may read  
3 from those Next Steps, it indicates that "The  
4 Subcommittee is nearing completion of its work on data  
5 analysis necessary to respond to Question 3 concerning  
6 what constitutes scientifically-appropriate methods for  
7 considering variations that may be due to regional,  
8 seasonal and other factors when developing performance  
9 standards."

10 It goes on to indicate that "Upon completion  
11 of Question 3, the Subcommittee will address questions  
12 posed in the November 29, 2001, letter from Elsa Murano  
13 and Kaye Wachsmuth related to how the standards are  
14 working, whether they are helping to ensure the safety  
15 of the nation's meat and poultry supply, whether there  
16 are more effective alternatives to these performance  
17 standards, and what would those alternatives be?"  
18 Lastly, the Subcommittee then would address other  
19 ground products and other classes and categories, e.g.  
20 carcasses.

21 That is where we started our deliberations in  
22 preparing for this meeting. We've met that charge.  
23 The second document was passed out earlier. It's dated  
24 Draft 8/28/02, and it is Question 3. It indicates,

1 "What constitutes scientifically appropriate methods  
2 for considering variations that may be due to regional,  
3 seasonal and other factors when developing performance  
4 standards?"

5 If I may, Mr. Chairman, let me point out that  
6 in the earlier, our completed work, the draft that we  
7 had you look at Page 15, on Pages 9, 10 and 11 of this  
8 document, this report, we have already addressed many  
9 aspects of Question 3 relating to variations that may  
10 be due to regional, seasonal or other factors when  
11 developing performance standards, and if you would go  
12 to --

13 DR. THENO: Mr. Chairman, just looking around  
14 the room, and I'm on the Committee, so I know where all  
15 this stuff is, I don't think everyone's on the same  
16 page you are.

17 MR. GARRETT: Okay.

18 DR. THENO: So, we might want to help people  
19 get their documents.

20 MR. GARRETT: Sure. I would like to take us  
21 to the report that we adopted on January 25, 2001, that  
22 was made available out front this morning, although I  
23 noted that there weren't any out there, so I had to  
24 borrow Dave's because I lost mine already. Oh, I'm

1     terribly sorry. I stand corrected. There were some  
2     others out there as well. It's the fourth -- it begins  
3     on the fourth page of the big document, counting the  
4     title page, and I apologize for any confusion. I  
5     generally don't do that.

6             DR. BRACKETT: Spencer, show the front of the  
7     document so everybody knows which one you're referring  
8     to here.

9             MR. GARRETT: The front of the document is  
10    labeled "Performance Standards Documents".

11            DR. SWANSON: That is not what the full  
12    Committee got. That's what was sitting out there for  
13    everybody else to pick up. I think everybody else  
14    who's on the Committee got this document in their  
15    packet, which says, "National Advisory Committee on  
16    Microbiological Criteria for Foods: Response to the  
17    Questions Posed by FSIS Regarding Performance Standards  
18    for Ground Beef Products, Adopted January 25th, 2001".

19    Is that the one you're referring to?

20            MR. GARRETT: Yes, and I think they're  
21    identical and already it's been pointed out to me that  
22    there's a typographical error on the title of the  
23    document because it was actually adopted in January 25,  
24    2002, but I would submit that's insignificant for about

1 what we're going to discuss. Is everybody there,  
2 essentially on Page 11? Okay.

3 You'll see there's -- we go into on Pages 9  
4 and 10 a lot of explanations, but on Page 11, there's  
5 -- at the bottom of the page, there's a section on data  
6 needs and we indicate what those data needs are and  
7 that was the starting point for our deliberations to  
8 finish our answer on Question 3.

9 Also in this big document, Mr. Chairman, we  
10 examined probably over a 100,000 data points. There  
11 are different types in the kinds of analysis and in  
12 this big document, most of it relates to the documents  
13 and the data documents upon which we premised our  
14 conclusions. It's not my intent to describe all the  
15 analysis and so forth. So, that then brings us to our  
16 second document, which is our answer to the remaining  
17 portion of Question 3. Doing okay?

18 DR. BRACKETT: This is the one dated August  
19 12th?

20 MR. GARRETT: Yes, sir.

21 DR. BRACKETT: Okay.

22 MR. GARRETT: No, no, no. This is dated  
23 8/28/02. We've had another Subcommittee meeting since  
24 August 12th. It was on the table. I have an extra

1 copy if you'd like one, Mr. Chairman. You got it?  
2 Okay.

3 And in this, this document is only like a  
4 page and a quarter perhaps but it is our remaining  
5 answer to Question 3, and straightaway, as we address  
6 this after examining all the data, we indicate that it  
7 is recommended that the '98-2001 HACCP Verification  
8 Data not be used to establish new performance standards  
9 or a new performance standard for ground beef or to  
10 determine either regional or seasonal variability in  
11 *Salmonella* prevalence.

12 We go on to indicate why, inferring that the  
13 sampling plans were not designed to provide  
14 statistically valid estimates of national prevalence  
15 and levels of microorganisms, and for this reason and  
16 for the consideration of establishing revised ground  
17 beef performance standards, NACMCF recommends that the  
18 Agency conduct another nationwide federally-inspected  
19 plant microbiological survey for each raw ground  
20 product of interest, designed to provide statistically  
21 unbiased estimates of the true prevalence of bacteria  
22 of concern.

23 We further recommend that this survey be  
24 conducted at least 12 consecutive months, be stratified



1 by production volume, month and region, and the number  
2 of samples analyzed being sufficient to meet Agency-  
3 specified discriminatory power for the comparisons of  
4 interest. We point out that production volume is an  
5 essential factor when considering baseline surveys and  
6 should those volumes not be available, estimates must  
7 be obtained by other means, such as using appropriate  
8 agreed-upon covariants for baseline studies.

9 We also point out that if there are notable  
10 regional and seasonal effects, consideration should be  
11 given to increasing the number of samples analyzed to  
12 increase the statistical sensitivity to detect  
13 significant differences. We also indicate that in the  
14 case of ground beef, that an accompanying baseline  
15 survey be conducted of trimmings which is the  
16 intermediate product stage between the carcasses and  
17 the ground product, which would include all source  
18 materials with additional consideration for  
19 stratification by the various components, such as  
20 boneless head meat, low temperature rendered material,  
21 advanced meat recovery, lean and fine textured meat,  
22 frozen and so forth.

23 We believe that determining the  
24 microbiological profile of the trimmings will better

1 reflect the prevalence of pathogens and other organisms  
2 in source materials for ground beef to establish  
3 performance standards, if those are deemed necessary.

4           Going on to the next page, we indicate that  
5 all baseline studies should at a minimum include an  
6 identification of the product class and product origin  
7 identified by location of manufacture and date. Such  
8 information, we believe, will provide the data  
9 necessary to address regional and seasonal variations.  
10 We go on to point out, though, that there are  
11 confounding factors, and we've previously discussed  
12 those, I believe essentially on Page 10, perhaps 9, and  
13 those confounding factors need to be considered.

14           We point out that from a practical  
15 standpoint, only a limited number of factors are likely  
16 to have significant effect on microbial prevalence, and  
17 it should not be assumed that the confounding factors  
18 will be the same for the different ground products and  
19 their source materials. Additionally, the  
20 aforementioned baseline studies should include  
21 examination for not only *Salmonella* but also for  
22 coliforms, *E.coli* and other indicators that may have  
23 possible utility as measurements for what we call the  
24 cold chain management or process control.

1            Obviously implicit in this assumption is that  
2 the interventions applied to carcasses have the same  
3 effect of controlling pathogens, including *Salmonella*  
4 as well as *E.coli* and coliforms, and we'll talk more  
5 about that particular issue when we address the Murano  
6 and Wachsmuth questions.

7            We further recommend that the statistical  
8 estimation procedures used to provide the prevalence  
9 estimates and their standard errors be based upon the  
10 methods that were used for the '93-94 raw ground  
11 product microbiological survey and that survey is  
12 footnoted at the bottom of the page. That relates to  
13 our answer to Question 3, Mr. Chairman, relative to  
14 regional and seasonal variation.

15           One. We recommend that a new study needs to  
16 be done. We indicate how that study -- why we feel a  
17 new study should be done, how it should be conducted,  
18 and how the results should be analyzed.

19           Thank you. I'd be glad to answer any  
20 questions from the Committee.

21           DR. BRACKETT: It's probably a good time to  
22 stop to answer any questions right now. Does anybody  
23 on the Committee have questions for Spencer about this  
24 particular part of the question?

1 (No response)

2 DR. BRACKETT: Nope. Okay. Move on to the  
3 next part.

4 MR. GARRETT: Thank you, Mr. Chairman.

5 Then, as we had indicated, we would ask -- we  
6 would answer or address, rather, what are referred to  
7 as the Murano/Wachsmuth questions. Our answers to  
8 those questions are found in another document -- oh,  
9 and one other thing I may say on this. We intend to  
10 insert our answers to this question in Question 3 on  
11 Page 11, okay, under Next Steps.

12 The Murano/Wachsmuth questions which were  
13 twofold and they're also -- it's a four-page document,  
14 dated 8/28/02, rather current, entitled "Responses to  
15 Question Posed to NACMCF from Drs. Murano and Wachsmuth  
16 Regarding Microbiological Performance Standards,  
17 November 29, 2001". Everybody there?

18 We've had a lengthy discussion on these  
19 questions and there are actually two. The first  
20 question indicates, "How are these standards working,  
21 and are they helping to ensure the safety of the  
22 nation's meat and poultry supply?", and the second  
23 question is, "Are there more effective alternatives to  
24 these (and *Salmonella* Performance Standards) and if so,

1 what would they be?"

2 I'd like to address these one at a time. On  
3 Page 1 of the document that I've indicated, we  
4 indicated straightaway again, "As previously indicated  
5 in Question 2, General Principle 1, Microbiological" --  
6 from our earlier report that we've already adopted,  
7 "Microbiological Performance Standards are intended to  
8 effectuate a decrease in the presence of enteric  
9 pathogens in raw meat and poultry with the goal of  
10 improving public health. NACMCF considers  
11 Microbiological Performance Standards an important tool  
12 in advancing the microbiological safety of meat and  
13 poultry to clearly articulate the Agency's expected  
14 level of control of the HACCP system, including  
15 sanitation SOPs."

16 We point out that there really are three  
17 criteria we considered in answering this question, and  
18 the three are the bulleted items there. Performance  
19 standards have stimulated the development and  
20 implementation of intervention strategies for reducing  
21 the levels of pathogens of meat and poultry.

22 Secondly, there has been a reduction in the  
23 frequency of isolations of *Salmonella* from the  
24 verification samples by FSIS, and thirdly, based on

1 FoodNet data from 2001, CDC's determined that there has  
2 been an overall human salmonellosis decrease between  
3 1996 and 2001. We give the reference and also show the  
4 95-percent confidence interval, the upper and lower  
5 bounds, of those decrease estimates.

6           Nevertheless, we point out that the  
7 proportion of salmonellosis linked to meat and poultry,  
8 the meat and poultry supply, cannot be determined at  
9 this time and we'll say more about that in just a  
10 moment.

11           We also noted that existing public health  
12 statistics make it very difficult to specifically  
13 attribute reductions in enteric diseases to performance  
14 standards and point out that the difficulty is due to  
15 the wide array of food safety activities underway and  
16 in fact the various confounders that affect the linkage  
17 between public health and performance standard data and  
18 datasets.

19           We did consider alternative approaches on how  
20 the potential impact of the performance standards could  
21 be evaluated. The Committees observed that the only  
22 data available so far are the *Salmonella* verification  
23 results that clearly demonstrate a decrease in the  
24 frequency of *Salmonella*-positive samples that are

1 collected through the Agency's Verification Sampling  
2 Program.

3 We also noted a decreased incidence of  
4 *Salmonella* as reflected in the Agency's verification  
5 data in raw meat and poultry has not led to a decrease  
6 associated with *E.coli* O157:H7 in ground beef. In this  
7 instance, the underlying assumptions of the performance  
8 standards need to be re-examined. We also point out  
9 that before new standards or approaches are adopted,  
10 alternative standards or approaches need to be examined  
11 and we'll discuss those in the next question.

12 Relative to Question 1, we have made a  
13 recommendation that FSIS should work in collaboration  
14 with CDC to measure the impact of the performance  
15 standards for raw meat and poultry on salmonellosis and  
16 other relevant enteric disease, and we make that  
17 recommendation because of the difficulty in trying to,  
18 if you would, indicate what the linkage is between  
19 those two activities and we described that above, and  
20 that is the end of our deliberations on Question 1, Mr.  
21 Chairman.

22 Again, be glad to address any questions  
23 anyone may have.

24 DR. BRACKETT: Okay. We'll take a few

1 questions on this, if we have any. Bill Sperber?

2 DR. SPERBER: Yes. This is Bill Sperber with  
3 Cargill.

4 One of your last points, Spencer, had to do  
5 with the fact that decreased incidence of *Salmonella*  
6 was not reflected in the decrease of 0157 in ground  
7 beef. Are those comparing the two separate programs or  
8 do you know if these data were collected from the same  
9 samples?

10 MR. GARRETT: It's my understanding, Bill,  
11 that they were not collected from the same samples.  
12 They are comparisons of different data sets.

13 DR. SPERBER: Yeah. So, it could in fact be  
14 true that a decreased incidence in *Salmonella* would  
15 have -- would also effect a decrease in other  
16 pathogens, like 0157, but we'd never know that unless  
17 we did all of those tests on the same samples.

18 MR. GARRETT: Yeah. As Peggy points out, --  
19 boy, am I glad to see you. It's not led to a decrease  
20 in the disease. We're talking about a decrease in the  
21 illness rate, not the decrease in the number --

22 DR. SPERBER: Okay.

23 MR. GARRETT: -- of bugs on the carcass, in  
24 the product.



1 DR. SPERBER: Okay. That's my oversight.

2 MR. GARRETT: Mine, too.

3 DR. BRACKETT: Any other questions?

4 (No response)

5 DR. BRACKETT: Okay. Anything else?

6 MR. GARRETT: Moving on then to Question 2,  
7 Mr. Chairman, and that question asked, are the more  
8 effective alternatives to these, and we put in  
9 parenthesis (*Salmonella* Performance Standards), and if  
10 so, what would they be?

11 We pointed out, first of all, that regardless  
12 of any approach taken to controlling the level of  
13 pathogens in raw meat and poultry and obviously other  
14 things as well, there should either be an explicit or  
15 implicit microbiological criterion underlying the  
16 approach taken, and we did consider some alternative  
17 approaches, and these, I'll just kind of go through  
18 them quickly.

19 First of all was to use an indicator organism  
20 in lieu of *Salmonella* standards, and we have an  
21 extensive discussion of that relative to Question 2 in  
22 the earlier-referenced report that we've already  
23 finished. You could mandate a pathogen control at farm  
24 grow-out. Again, these are alternatives. It could be

1 mandated that antemortem pathogen control be instituted  
2 to prevent spread. You could mandate a performance  
3 criteria for reduction of pathogens at specific steps  
4 in the production of raw meat and poultry products.  
5 You could mandate specific proven interventions on raw  
6 meat and poultry products, such as thermal treatments,  
7 use of organic acids, irradiation and so forth.

8           You could mandate a continuous improvement  
9 requirement or criteria for plant performance within  
10 specific time periods, such as, for example, 10-percent  
11 reduction in frequency of pathogens on animals on an  
12 annual basis until whatever the specific criteria that  
13 was selected was met and maintained.

14           Now, while we've identified some of these  
15 outcome-related activities, there was general consensus  
16 that performance standards articulate the goals that  
17 are expected to lead to improved public health, and the  
18 use of the performance standards generally maximizes  
19 the flexibility in relation to finding new strategies  
20 for improvement. So, one of the points that we're  
21 essentially making is the performance standards (1) are  
22 technology forcing but (2) they also have the  
23 flexibility of letting the industry itself, if you  
24 would, determine how best to meet those performance

1 standards.

2           We do, however, have a number of  
3 recommendations relative to this second question. The  
4 first would be for the Government, certainly USDA, to  
5 sponsor an analysis to determine the steps in the food  
6 chain, say from the farm to the distribution step of  
7 raw meat and poultry products, where new technologies  
8 could cause major reductions in their frequency of  
9 enteric pathogens. In other words, take a big bite, if  
10 you would, to try to get reductions before you spend  
11 time dealing with diminishing returns.

12           Secondly, sponsoring agencies should provide  
13 stakeholders, and by that, we mean all stakeholders, a  
14 summary of the results from on-going food safety  
15 research pertinent to this subject.

16           Thirdly, request ARS and I can never say  
17 this, I have to apologize to my colleagues from USDA,  
18 -- how do you say that? Cooperative Research and  
19 Extension Program. I can never say it. Looks like a  
20 seafood to me. You know, in every Greek tragedy, there  
21 has to be a little comedy. But anyway, regardless,  
22 it's very important that we need to conduct more  
23 research at the farm and feedlot level to develop  
24 effective control measures and reduce the level of

1 enteric pathogens on live animals entering the plant.  
2 I think we'd all agree with that. Again, request these  
3 USDA agencies and industry as well to generate best  
4 manufacturing practices, BMPs, to control pathogens  
5 from the on-farm level again through distribution.

6           The last one on this particular page, Page 3,  
7 is to re-evaluate the existing policy regarding the  
8 degree to which carcass surfaces can be denatured by  
9 heat or other treatments with the understanding that  
10 increased denaturization on carcasses should translate  
11 into an increased kill of pathogens on the surface.

12           Moving on to Page 4, support research on the  
13 use of additives that could control the growth of  
14 enteric pathogens and where possible even increase  
15 their heat sensitivity in ground products. Evaluate  
16 the use of intermittent water treatments for efficacy  
17 of pathogen reductions on carcasses after hide removal.

18           Further investigate decontamination procedures, such  
19 as electrostatic application of diacetates and so  
20 forth, and determine if existing treatments can be  
21 further enhanced, and finally as a recommendation, we  
22 would request the ARS and CSRES and industry to enhance  
23 technological transfer of effective approved treatments  
24 from the laboratory to commercial applications, and

1 while it may not be intuitive at first, what we mean  
2 by this is that there are treatments out there that  
3 appear to be effective or approved at the laboratory  
4 level, but nobody's actually taken them through a  
5 commercial application where they could be applied by  
6 industry and the request is to try to do this as  
7 opposed to expecting other people to do this, and there  
8 are a lot of people out there selling these things and  
9 so forth, but while they may be effective in a pilot  
10 scale level, their utility and effectiveness and  
11 efficacy at a commercial scale level have yet to be  
12 demonstrated.

13 Our next steps. What we intend to do is not  
14 work anymore on these two questions relative to  
15 microbiological -- these microbiological performance  
16 standards. Rather, what we would do is to continue our  
17 work on the other classes of products, ground chicken  
18 and so forth. Nevertheless, though, if the sponsoring  
19 agencies wish otherwise, then all we need to do is to  
20 be told. Okay. That indicates our answer to Question  
21 2.

22 Again, any questions or I'd be glad to  
23 address any questions.

24 DR. BRACKETT: Bill?

1 DR. SPERBER: Bill Sperber from Cargill.

2 Page 3 of the second question at the bottom,  
3 is it the intent of the Subcommittee to introduce a new  
4 term, best manufacturing practices, and if so, wouldn't  
5 that be confusing with good manufacturing practices? I  
6 hear our practices are better than yours.

7 MR. GARRETT: No. That was certainly not the  
8 Committee. I think that's a typographical error, and I  
9 believe it's best management practices primarily at the  
10 farm level.

11 DR. SPERBER: Okay.

12 DR. BRACKETT: Any other questions on  
13 Question 2?

14 (No response)

15 DR. BRACKETT: With that, also to make sure  
16 that that correction is made in the final.

17 MR. GARRETT: Yes, thank you, Mr. Chairman.  
18 We have the correction.

19 DR. BRACKETT: Okay. Is there anything else,  
20 Spencer, that --

21 MR. GARRETT: Not this week.

22 DR. BRACKETT: Okay. Just so that we have it  
23 on the record, by my reckoning anyway, from Question 1,  
24 there is one recommendation, Question 2, there are nine

1 recommendations, and even though they were not bullets  
2 necessarily, I counted six in Question 3, and we just  
3 wanted to make sure that the Committee or the  
4 Subcommittee is comfortable with all the changes that  
5 you have because this will be part of the final  
6 document.

7 MR. GARRETT: Yes, Mr. Chairman, to point out  
8 that these are not changes. These are new additions to  
9 the final document, and it would be our intent to put  
10 these in the final document as I referenced earlier.

11 DR. BRACKETT: Okay. Do you want the  
12 Committee to have more time to think about -- make sure  
13 that you're all comfortable with that?

14 We have a question. Dane Bernard?

15 MR. BERNARD: Thank you, Chairman. Dane  
16 Bernard from Keystone Foods.

17 Just a small item and Spencer may help me  
18 with this, but during the Subcommittee's deliberations,  
19 we considered a title change for the main document.  
20 Since we do mention things other than ground beef,  
21 Spencer, I think we had talked about just changing this  
22 to reflect the title to be "For Ground Products With  
23 Particular Reference to Ground Beef", and I'd just like  
24 to get that on the table before we close discussion on

1 the document.

2 Thank you, Chair.

3 MR. GARRETT: That is correct, Mr. Chairman.

4 That was -- and I'm sorry that I didn't pick that up,  
5 but that was -- we were going to amend the title with  
6 particular reference to ground beef.

7 DR. BRACKETT: Any other comments or  
8 questions about this discussion?

9 (No response)

10 DR. BRACKETT: Okay.

11 (Pause)

12 DR. LAMMERDING: Excuse me, Mr. Chairman?

13 Hello? Right here, right across from you, Bob.

14 DR. BRACKETT: Oh, okay. Hiding. Okay.

15 Anna?

16 DR. LAMMERDING: Perhaps before we adopt this  
17 report, I just want to make a suggestion that we might  
18 include the reference to the point of the -- where we  
19 state a decrease in disease associated with *E.coli*  
20 O157:H7, just to clarify that it is morbidity -- CDC  
21 statistic.

22 MR. GARRETT: Anna, I think it would be  
23 helpful for those in the audience and others to -- if  
24 you would give the page number and exactly where you



1 want to put that.

2 DR. LAMMERDING: At Page 2, the first big  
3 paragraph, and the third last sentence from the top  
4 third.

5 MR. GARRETT: And again, what would you like  
6 to do?

7 DR. LAMMERDING: To just insert a reference  
8 for the statement that it has not led to a decrease in  
9 disease associated with 0157:H7 in ground beef.

10 MR. GARRETT: Okay. Yes, and I think we  
11 should insert that as a footnote as we have the other  
12 references in the document, just footnote it and give  
13 the reference.

14 DR. BRACKETT: Okay. To make sure there is  
15 no other discussion, what we'll need to do now is have  
16 a motion to adopt the additions that Spencer has listed  
17 as well as the corrections that have been mentioned  
18 because these will be added to the previously-adopted  
19 document.

20 DR. SWANSON: So moved.

21 DR. BRACKETT: Katie and Cathy. Okay. The  
22 Committee is so efficient this afternoon, that we're  
23 running ahead of schedule, and so Merle is going to  
24 take over from this point on issues.

1           MR. GARRETT: Thank you, Mr. Chairman, and  
2 Merle, before you do, just let me express my and my  
3 staff's personal thanks to the Committee members. This  
4 was indeed a herculean task that we've taken on here.  
5 We've been at it over a year. There's been full and  
6 frank discussions on a wide array of subjects, I can  
7 assure you, if that's diplospeak, that's what it was,  
8 and we just want to thank everybody that participated  
9 and frankly the full Committee for adopting it.

10           Thank you.

11           DR. PIERSON: Thank you very much, Spencer,  
12 and on behalf of the Agencies, we certainly appreciate,  
13 you know, your dedicated efforts.

14           What we'd like to do is to now open the floor  
15 to public comment. Certainly it provides some  
16 additional time for public comment and, if there are  
17 some difficulties with those who want to make comment  
18 and in making comment early on, we certainly are  
19 willing to accommodate you later, too, but we could  
20 proceed with public comment, and those who have signed  
21 up could provide comment first. You know, we ask you  
22 to keep your comments -- well, please don't give us a  
23 two-hour speech because there are others that want to  
24 comment, too.

1           So, if we could have those public comments  
2 and possibly limit those to five-10 minutes, we'd  
3 appreciate it. I believe you can submit written  
4 documents for the record more extensive written  
5 documents for the record, and we'd certainly be willing  
6 to accept those. I then leave the floor open.

7           Who was first? Okay. Tony Corbo, are you ready  
8 to make comment?

9           MR. CORBO: Yeah. I actually have a series  
10 of questions that relate to the --

11          DR. PIERSON: If you could identify  
12 yourself?

13          MR. CORBO: Yeah. My name is Tony Corbo with  
14 Public Citizen.

15          DR. PIERSON: Okay. Thank you.

16          MR. CORBO: I have a series of questions  
17 related to the redefinition of pasteurization. The  
18 section in the Farm Bill that deals with the issue also  
19 sets up a procedure by which companies can submit  
20 petitions to FDA for the use of alternative language.  
21 Have any such petitions been filed yet?

22          DR. BRACKETT: The answer is we don't know  
23 yet on that. You mean, specific means by which they  
24 can do that?

1           MR. CORBO: Well, there's a procedure by  
2 which companies could submit -- that use alternative  
3 technologies to use pasteurization in product labeling,  
4 and I was wondering whether any such petitions have  
5 been received yet.

6           DR. BRACKETT: Well, no, that's one of the  
7 things, I think, that's going to come out of this, is  
8 that, a process by which a consistent way that is done  
9 will have to be set up.

10          MR. CORBO: So, in other words, there isn't  
11 going to be any petitions approved pending the work of  
12 this Committee?

13          DR. BRACKETT: Under that law, I don't know  
14 the answer to that, but one of the things that has been  
15 done in the past, for instance, with eggs is a company  
16 would actually submit processes under those that are  
17 already on the books, if they had to meet the, for  
18 instance, 5-log reduction in the case of shell eggs or  
19 similar.

20          MR. CORBO: Hm-hmm.

21          DR. BRACKETT: But in the meantime, I'm not  
22 quite sure, to be honest to answer your question, what  
23 the procedure will be right now.

24          MR. CORBO: And if -- I know of at least one

1 firm that uses an alternative technology that is  
2 actually using the term "cold pasteurization" on its  
3 product labeling. Is that firm going to be required to  
4 change its labeling on packaging while you determine  
5 these standards?

6 DR. BRACKETT: One of the things we're trying  
7 to ask this Committee to do is really provide sort of  
8 the scientific parameters under which they're going to  
9 be done. Until that time, I don't know that there's  
10 going to be any changes.

11 MR. CORBO: Okay. And I know with the issue  
12 of irradiation and equating it with pasteurization,  
13 both the FDA and USDA have done consumer research on  
14 that particular issue. Is the Committee anticipating  
15 using any of that in its deliberations on standards?

16 DR. BRACKETT: Well, it'd be more the  
17 scientific parameters; that is, the actual microbiology  
18 and the public safety aspects of it rather than  
19 perception, although, you know, we'll be giving the  
20 Committee whatever documentation that they would  
21 require.

22 MR. CORBO: Thank you.

23 DR. PIERSON: Okay. Felicia Nestor? Are  
24 you ready to make comments, Felicia?

1 MS. NESTOR: I actually would like to defer  
2 for a few minutes, if anybody else is ready to speak.  
3 Okay.

4 DR. PIERSON: Okay. Do you want to go ahead  
5 and defer, Felicia, or --

6 MS. NESTOR: Well, I see that no -- is  
7 anybody else ready to step up to the mike? Because  
8 I'll step up again, if I want to, later then.

9 DR. PIERSON: Yes, okay. And if you would  
10 like, we could take a short break now to give, you  
11 know, some time to people to prepare. Pardon? Yeah.  
12 If you'd like to do that, let's -- why don't we take a,  
13 oh, 15-minute break and then it'll give people time,  
14 you know, to prepare and get ready for this and then --  
15 and, you know, any others that want to sign up, they  
16 can do that. Is that all right with you, Felicia?

17 MS. NESTOR: That's great. Thank you.

18 DR. PIERSON: Okay. Thank you very much.

19 (Whereupon, a recess was taken.)

20 DR. PIERSON: Okay. Felicia, we'll try this  
21 again. Felicia Nestor?

22 MS. NESTOR: Yes. I'm going to ask a favor.  
23 Is there any way that I can sit down while I make this  
24 comment? Because otherwise I'm going to be throwing my

1 papers all over the place.

2 DR. PIERSON: Certainly.

3 MS. NESTOR: Can I like pull --

4 DR. PIERSON: Looks like Spencer just gave  
5 up a place.

6 MS. NESTOR: Right. But then, I'm going to  
7 have to hold it. Can I pull the chair up under the  
8 table or something?

9 DR. PIERSON: Sure, sure. Why don't you --

10 MS. NESTOR: Okay.

11 DR. PIERSON: You could come over here or  
12 whatever or go up to the podium. Okay.

13 MS. NESTOR: Okay. Sorry for all this delay.

14 I'm Felicia Nestor with Government  
15 Accountability Project. I'm Food Safety Project  
16 Director, and this meeting went really quickly and so I  
17 don't know that my thoughts are absolutely congealed  
18 yet.

19 I distributed to the Committee the report  
20 that GAP did with Public Citizen called "Hamburger  
21 Hell", and so I want to talk a little bit about that  
22 and then just about some general ideas. I guess my  
23 main message is that I think the role that we would  
24 like this Committee to play at this point is to

1 strongly advise USDA to improve their implementation of  
2 sampling and science. Our report goes into sampling  
3 irregularities that we saw that, you know, just don't  
4 make sense. They're inexcusable. The charts in the  
5 report show that a test that should take two and a half  
6 months in eight out of the 26 large plants took up to  
7 26 months. We need science to be implemented more  
8 rigorously.

9 In addition to what's in the report, I also  
10 follow other sampling practices of the USDA in  
11 implementing HACCP and found some other problems. For  
12 instance, the company does *E.coli* sampling itself, and  
13 I see in the 1995 document, it seems to me that what is  
14 contemplated is that this sampling will be a legitimate  
15 measure of process control and that that will be  
16 reviewable by FSIS. But what actually happened is the  
17 regulation does not prevent the plants from sampling  
18 300 out of 300 carcasses and just reporting the very  
19 best result. If this is what's happening -- we know  
20 that some companies are doing multiple tests and  
21 choosing which results to report. To whatever extent  
22 this is happening, the results that FSIS are reviewing  
23 are not valid.

24 Second problem. It took GAP a year of



1 communications with the Tech Center, the Tech Center's  
2 the advisory center for inspectors and plants on how to  
3 implement HACCP, to clear up what an inspector should  
4 do if he pulls a random sample and it's covered with  
5 feces. We called up, asked the expert, the person who  
6 would be instructing anyone that called up, what do you  
7 do if you pull a random sample and it's just covered  
8 with feces, and they said, well, we already know that's  
9 contaminated. So, you don't sample it. What you do is  
10 work with the plant, get the process back under  
11 control. Once the process is back under control, you  
12 pull a random sample and that's what you use as your  
13 *Salmonella* sample. That again interferes with the  
14 validity of random sampling.

15 I mentioned the ground beef test that should  
16 take two and a half months and it took almost two and a  
17 half years. The final two things that we mention in  
18 our report, and this has to do with Question Number 3,  
19 I'm really surprised to come here and see that the  
20 Committee recognizes that these sampling programs were  
21 not designed to provide statistically-valid estimates  
22 of national prevalence and levels of microorganisms.  
23 There's a real disconnect between what is being  
24 acknowledged by this Committee and what is being

1 bandied about in the public. We're talking about FSIS  
2 press releases and the way those are reported by, for  
3 instance, Reader's Digest.

4           The statistics that are coming out are being  
5 repeated that they do reflect a decrease in the  
6 national prevalence of *Salmonella*. What we saw was  
7 that there was bias going on in the way those sets were  
8 analyzed, and we don't know that the specific figures  
9 being -- that are in the press release are accurate,  
10 and it sounds like you all are very well aware of that.

11       I think there's a real problem if you're talking about  
12 information and the public is getting a completely  
13 separate and different take on what's happening.

14           The other thing that sort of I found  
15 disturbing about the Committee's recommendations, I  
16 didn't get a very clear picture of what you think FSIS  
17 should do in the interim while this 12-month new  
18 baseline is being conducted. It sounds to me like FSIS  
19 could take home the message that it's just discard what  
20 we're doing and, you know, back to the drawing board.  
21 In this large packet, I see that the 7.5 prevalence for  
22 ground beef was first determined in '93-94. It's  
23 almost 2003, and we're talking about going back to the  
24 drawing board.

1           I mean, I am fully in support that we have to  
2 have good, accurate science. We need that for food  
3 safety because there's still too many people getting  
4 sick, still too many people dying, and we have a new  
5 concern. In order to deal with potential bioterrorist  
6 attacks, we're going to have to be using scientific  
7 testing, unless USDA gets up to speed and doesn't have  
8 the option of making excuses like, well, we just  
9 implemented this. It was only five years ago that we  
10 implemented this performance standard. We don't know  
11 how to do the science. You know, good enough for  
12 government work is not good enough anymore, and, you  
13 know, the wheels of government turn slowly. Things are  
14 -- the situation -- it's very important that USDA comes  
15 up to speed in how they use science and comes up to  
16 speed really quickly, and I don't know whose role it is  
17 to make that happen.

18           You know, Congress doesn't seem to want to  
19 interest itself with implementation. You all have the  
20 authority. You have the expertise. I think no matter  
21 what you recommend, you should emphasize that USDA has  
22 got to ratchet up the integrity of its scientific  
23 sampling programs very quickly. There's no point in  
24 making recommendations and standing by and watching

1       them implement shoddy programs.

2                   I'm sorry that it's not more of a cohesive  
3 statement, but I think I made my main points.

4                   Thank you.

5                   DR. PIERSON: Thank you, Felicia.

6                   I'd like to recognize that we have some of  
7 the members of the National Academy of Sciences group  
8 that is conducting a study also on performance  
9 standards who are here visiting us. Unfortunately,  
10 we've already gone through our discussions on that  
11 part, Dr. Hackney. Dr. Hackney is chair of that  
12 Committee. Pardon? Okay. But we'd be most happy to  
13 provide you with the documents that were discussed and,  
14 you know, that the Committee that -- the Subcommittee  
15 that was led by Spencer, we certainly would provide  
16 those to you. Pardon? Okay. We will provide those to  
17 you right now. You could even have an opportunity to  
18 join in in the public comment period. However, Dr.  
19 Hackney, you would come lower in the list here. You'd  
20 have to sign up in order. Okay? Okay.

21                   Next on the agenda here or the order for  
22 public comments is Nancy Donley. Nancy?

23                   MS. DONLEY: Thanks very much.

24                   I'm Nancy Donley with STOP, Safe Tables Our

1 Priority. For those of you who may not be familiar  
2 with our organization, we are a national foodborne  
3 illness victims organization. Our membership is  
4 comprised primarily of families who have had personal  
5 experience with food poisoning, including deaths. My  
6 own case, I got involved in the issue in the death of  
7 my six-year-old son, Alex, from eating *E.coli* 0157:H7-  
8 contaminated meat.

9 I'd like to say that we are an activist  
10 organization. I really prefer to call us an actionist  
11 organization. We want to sit -- we do sit at the table  
12 with you all. We, I think, all want -- I want to make  
13 it clear, I think we all share a common goal and the  
14 common goal is protecting the public health and safety  
15 first and foremost and doing whatever it takes to do it  
16 to get those 5,000 deaths a year down from foodborne  
17 illness and 76 million illnesses yearly.

18 So, that said, just a couple quick comments  
19 that I'd like to make and a couple are very specific.  
20 On this Responses to Questions Posed by Dr. Murano and  
21 Dr. Wachsmuth, I'd just like to say I listened with  
22 interest, particularly STOP has recognized that there  
23 has been a problem for a long time with an oversight at  
24 the on-farm portion of our whole food supply and that

1 that is really where the organisms of concern originate  
2 and it's very generally in the intestinal tracts of  
3 these animals.

4 We like the suggestion that said that  
5 recommendations to request ARS, CREES, and industry to  
6 conduct more research on the farm feedlot level, to  
7 develop effective control measures and reduce the  
8 level of enteric pathogens on live animals entering the  
9 plant. I'd just like to say you may want to consider  
10 inserting the words "on and in" animals because we have  
11 had members in our organizations who have become  
12 sickened from 0157, for instance, because of these very  
13 same pathogens not from ground beef but from  
14 contaminated lettuce, contaminated juices, swimming in  
15 public places where there has been runoff from cattle  
16 and in rivers and such like that. So, I'd like to make  
17 that as a recommendation.

18 And then, Number 2 is, I'm watching with  
19 great interest on this -- what you're considering as  
20 variations that may be due to regional, seasonal and  
21 other factors when developing performance standards. I  
22 want to make it very, very clear. We consider it  
23 absolutely crucial that the highest performance  
24 standards be done. We don't give a hoot what time of

1 year it is, if the animals are dirtier, if there is a  
2 problem where you're going to see spikes in incidence  
3 of pathogens, that is not the consumer's concern. So,  
4 whereas I do understand that we are going to see times  
5 when there are these spikes that do occur, that has  
6 nothing to do with public health and safety and that's  
7 got to come out of the discussion.

8           So, I just want to, you know, kind of  
9 emphasize that point, and once again, I am also on the  
10 National Advisory Committee for Meat and Poultry  
11 Inspection. I thank you for all the hard work that  
12 you're doing. Frankly, I'd like to see a lot of the  
13 science get off the ground faster. Also want to  
14 reiterate that we are -- we have to, all of us,  
15 consider this, industry, government, consumers, that  
16 this is an on-going process. We are not ever going to  
17 arrive at the point where everyone in this room agrees  
18 that we can -- we've got the definitive way of doing  
19 something and that we've got the definitive tests that  
20 we should be doing. It's an evolving process, and we  
21 must recognize that to really protect public health and  
22 safety, we need to start doing things now. We need to  
23 work with the best science that we have now and keep  
24 ratcheting it up and making it better.

1           So, thank you very much.

2           DR. PIERSON: Thank you, Nancy.

3           Next is Barb Kowalcyk.

4           MS. KOWALCYK: Hi. My name is Barbara  
5 Kowalcyk, and I'm from Mount Horeb, Wisconsin. I'm  
6 also with STOP.

7           I thank you for the opportunity to allow me  
8 to give a voice to my son Kevin and put a face on all  
9 the victims of foodborne illness. Food safety is an  
10 issue that touches all Americans and most especially  
11 our children which is why your job here today is so  
12 important. I would like to tell you about one child,  
13 my child, and the impact foodborne illness has had on  
14 our family and our community.

15           On Tuesday, July 31st, 2001, our two-year-old  
16 son Kevin awoke with diarrhea and a mild fever. On the  
17 evening of August 1st, we took him to the emergency  
18 room for bloody diarrhea but were sent home. By the  
19 next morning, Kevin was much sicker and was  
20 hospitalized for dehydration and bloody stools. Later  
21 that afternoon, we were given the diagnosis, *E.coli*  
22 O157:H7. On August 3rd, Kevin's kidneys started  
23 failing. He had developed the dreaded hemolytic uremic  
24 syndrome or HUS. Late that night, he was transferred



1 to the pediatric ICU at the University of Wisconsin's  
2 Childrens Hospital. My husband Mike and I spent the  
3 next eight days living in that hospital watching our  
4 beautiful son slip away from us.

5 On that first Saturday in the ICU, Kevin  
6 received his first dialysis, a three-hour procedure  
7 during which he needed to keep still. That's a tall  
8 order for any toddler. So, my husband, the nurse and  
9 two of our friends held his arms and legs while they  
10 talked and sang songs to reassure him for the entire  
11 treatment. Kevin spent the rest of that day and the  
12 following two crawling around a crib in agony. He  
13 threw up black bile. He became drawn and his eyes were  
14 sunken. He looked like a malnourished Third World  
15 child, and he smelled a horrible and overwhelming  
16 smell, a smell you could never forget. During those  
17 long three days, Kevin begged us to give him water or  
18 juice, but the doctor said it would only make him  
19 worse. He repeatedly asked to swim in his turtle, a  
20 pool we used at home. Kevin finally convinced us to  
21 give him a sponge bath and as soon as the washcloth  
22 came near his mouth, he grabbed it, bit down on it and  
23 sucked the water right out of it. It broke our hearts.

24 On Tuesday, August 7th, Kevin was placed on a

1 ventilator and continuous dialysis. In hopes of  
2 preventing Kevin from remembering this ordeal, the  
3 doctors heavily sedated him. As the medication would  
4 wear off, Kevin would try to pull the tubes out, so  
5 braces were put on his arms. His body began to swell.

6 Doctors inserted tubes to drain fluid off both of his  
7 lungs. By the end of the week, he was receiving more  
8 medications than we could count to stabilize his blood  
9 pressure and heart rate. He had received eight units  
10 of blood. Special bed was ordered from Minnesota to  
11 help alleviate some of his pain, but throughout it all,  
12 the hospital staff remained optimistic. They said that  
13 this was typically the way HUS *E.coli* kids got through  
14 the illness. But for Kevin, all of this was not  
15 enough, and finally, on August 11th, at 8:20 p.m.,  
16 after being resuscitated twice and his doctors  
17 attempted to place him on a heart-lung machine, our  
18 beloved Kevin died. He was two years, eight months and  
19 one day old. The autopsy later showed that both  
20 Kevin's large and small intestines had died, a  
21 condition that's a hundred percent fatal.

22 The week after Kevin died is mostly a blur  
23 for us but we do remember some things. We remember  
24 telling our five-year-old daughter Megan that her best

1 friend, her brother, would not be coming home with us.  
2 We will never forget the look on her face. We  
3 remember meeting with the funeral home director to pick  
4 out a casket. We remember going through Kevin's closet  
5 looking for his white ring bearer suit so we could bury  
6 him in it. We remember walking through the cemetery  
7 looking for where we should bury our Kevin, and we  
8 remember the day we buried him.

9 On August 16th, 2001, we didn't just bury our  
10 son, we also buried part of ourselves. We will never  
11 be the same people we were before. No parent should  
12 have to watch their child die the type of death that  
13 Kevin suffered. No parent -- our daughter will never  
14 be the same again. No one should have to grow up at  
15 the age of five. Our community will never be the same  
16 again. No preschooler should have to ask to go to a  
17 cemetery to visit their friend. But it did happen to  
18 our family and our community.

19 Since Kevin's death, we have been researching  
20 foodborne illnesses and what we have learned has  
21 appalled us. We did not know that 46 percent of  
22 reported *E.coli* O157:H7 cases occur in children under  
23 the age of 10. We did not know that it takes less than  
24 10 microbes to make you sick. We did not know that

1 children under the age of five are at highest risk of  
2 developing the deadly HUS from *E.coli* O157:H7. We did  
3 not know that once you get HUS, the only thing doctors  
4 can do is keep your body alive while the disease runs  
5 its course. We did not know that survivors of HUS  
6 suffer lifelong medical problems. We did not know that  
7 meat recalls are voluntary. We did not know that the  
8 USDA rarely shuts down plants that produce contaminated  
9 meat. We did not know our meat is not safe. We did  
10 not know the risks we were taking by feeding our child  
11 a hamburger.

12 We should have known. Foodborne illness is a  
13 children's issue, and it's largely preventable. The  
14 CDC estimates that each year, 325,000 Americans are  
15 hospitalized due to foodborne illnesses and 5,000  
16 Americans die. As a parent and biostatistician, I was  
17 outraged when I recently read a 1990 article from the  
18 New England Journal of Medicine, written eight years  
19 before Kevin was born, that stated that the incidence  
20 of HUS from *E.coli* O157:H7 was 60 percent higher than  
21 the incidence of Reye's Syndrome for children under  
22 five years of age during the period between 1980 and  
23 1984. This was before they knew the role aspirin  
24 played in Reye's Syndrome. Kevin never had aspirin.

1           Why didn't we know the risks we were taking  
2 by feeding him foods that are linked to serious  
3 foodborne illnesses? There are groups that would like  
4 you to believe that it is our fault that our son  
5 contracted *E.coli* O157:H7, that if only we had  
6 practiced safe food handling techniques, this wouldn't  
7 have happened, but we did practice safe food handling  
8 techniques. We were always very careful about cooking  
9 our meat. We never ate undercooked meat, always used  
10 separate plates and utensils for preparing and serving  
11 meat, always cleaned the sink and faucet immediately  
12 after cleaning meat and always required our children to  
13 wash their hands before eating. We had done what we  
14 were supposed to do, but it wasn't enough. We needed  
15 the government and the meat industry to do their part;  
16 that is, prevent *E.coli* from getting into our food in  
17 the first place.

18           The government and meat industry can do more  
19 to protect us. Many argue that demanding stronger food  
20 safety policies will be cost prohibitive. To them, I  
21 would say this. What cost do you put on a life? In  
22 May 2001, the USDA's Economic Research Service  
23 estimated that *Campylobacter*, *Salmonella*, *E.coli*,  
24 *Listeria* and *Toxoplasma* cost \$6.9 billion in medical

1 costs, lost productivity, and premature deaths each  
2 year in the United States. That's a pretty steep  
3 figure, but it does not reflect any of the hidden  
4 financial costs that victims and their families suffer.

5 My husband and I were lucky because we both  
6 -- we have good medical insurance and we had a life  
7 insurance policy on our children. Even so, Kevin's  
8 life insurance did not cover the entire cost of his  
9 funeral and despite our good medical insurance, neither  
10 myself, my husband or my daughter were entitled to  
11 grief counseling, which we all desperately needed. It  
12 is now a year since Kevin died, and we are still  
13 spending \$450 per month on grief counseling, and what  
14 about the other costs, the losses you can't put a price  
15 on? Megan, now six, has lost that feeling of security.  
16 She is terrified of being all alone. My two-month old  
17 daughter Laura will grow up without her big brother.  
18 My husband and I can look forward to growing up with  
19 our grief, reliving what should have been every time a  
20 milestone is hit. When Kevin should have ridden his  
21 first two-wheeler, played his first baseball game,  
22 learned to drive a car, graduated from college, gotten  
23 married, had children, and society suffers, too. They  
24 lost Kevin's contributions, what he could have

1 accomplished.

2           The price is too high. No child should be  
3 sacrificed just so that Americans can have cheaper  
4 meat. Losing a child is a terrible experience, but to  
5 lose a child to a preventable situation is an outrage.

6           This is the 21st Century. We have the knowledge and  
7 technology to improve food safety. We just need to  
8 make it a priority. Young children are at highest risk  
9 for foodborne disease. They depend on us adults to  
10 make good decisions about their food and they also  
11 depend on us to make good decisions about how the  
12 government works.

13           It is imperative that we demand better food  
14 safety policies in this country. Despite what some  
15 people would have you believe, food safety is not the  
16 responsibility of the consumer. While it is impossible  
17 for government to regulate safety, it is not impossible  
18 for the government to set safety standards. *E.coli*  
19 O157:H7 is a pathogen that is harbored in the  
20 intestines of animals, in particular cows. If there is  
21 *E.coli* in the meat, that means that there is cow manure  
22 in the meat and consumers didn't put it there. I don't  
23 care how thoroughly you cook it, I don't want to eat it  
24 and I certainly don't want my children to eat it.

1 Americans want safe food.

2 Because of what happened to Kevin, our family  
3 began a grassroots petition asking for safer meat. So  
4 far, we have over 4,000 signatures. Obviously  
5 Americans want stronger regulations governing the way  
6 food is slaughtered, processed and inspected. As a  
7 society that values its children, we need to be more  
8 responsible for food safety at all levels. You have  
9 the opportunity to recommend objective testing and  
10 performance standards for pathogens to evaluate the  
11 safety of our food. You have the opportunity to put  
12 public health first. You have the opportunity to put  
13 our children first.

14 One night shortly before he became ill, I was  
15 putting Kevin to bed, and we were talking about how  
16 Megan would be going to kindergarten soon. As I kissed  
17 him good night, Kevin said proudly, "When I grow up,  
18 Mommy, I'm going to kindergarten, too." Kevin should  
19 have had that chance.

20 Thank you.

21 DR. PIERSON: Thank you, Barb.

22 Caroline Smith DeWaal is next.

23 MS. DEWAAL: Thank you.

24 Caroline Smith DeWaal, Director of Food



1 Safety for the Center for Science in the Public  
2 Interest.

3 I want to thank Barb Kowalcyk and Laura and  
4 Kevin's grandmother, Patricia Buck, who all came in to  
5 attend the meeting both yesterday and today. They've  
6 taken a big commitment out of their life to try to make  
7 an improvement for children, and I know every member in  
8 this room has made a big time commitment and a big  
9 commitment to be here and to put their best thinking.

10 I think the take-home message among many is  
11 that the decisions made in this room and, more  
12 importantly, the decisions made by USDA impact real  
13 people. They impact people all over the country, and  
14 there is, if anything, I want to help instill a sense  
15 of urgency. Good scientists never know enough. They  
16 never know everything. They always have more  
17 questions, and this Committee and the Committee at the  
18 National Academy of Sciences are filled with good  
19 scientists.

20 The question is not do we know enough or do  
21 we know everything, but do we know enough to take  
22 action, and I think USDA does know enough to take  
23 action now to reduce the risk of *E.coli* O157:H7 by  
24 implementing more monitoring and testing programs, both

1 at the carcass level and the trim level. We think they  
2 know enough to reduce the risks of *Campylobacter* by  
3 requiring monitoring programs and government  
4 verification programs in poultry plants for  
5 *Campylobacter*. We think they know enough to reduce the  
6 risks of *Listeria monocytogenes* in ready-to-eat meat  
7 products, again by requiring government and industry  
8 monitoring programs and government verification  
9 programs.

10 We don't know everything, but we know enough  
11 to reduce the risks, and consumers shouldn't have to  
12 wait, and we can't afford to wait and to lose more  
13 children like Kevin. USDA should start taking action  
14 now to reduce the levels of pathogens in the meat  
15 supply. This Committee and the Subcommittee should be  
16 commended. They have done a huge amount of work and  
17 they have come out with a very impressive report on  
18 performance standards and the utility of performance  
19 standards for ground beef products, and you know, I can  
20 go back and quote from their very report, but I don't  
21 need to. They've done their work, but now it's up to  
22 USDA to take that and move forward and move forward  
23 quickly because consumers can't afford to wait.

24 Thank you.

1 DR. PIERSON: Thank you for your comments,  
2 Caroline.

3 Are there any other public comments? Anyone  
4 else have anything that they would like to say?

5 (No response)

6 DR. PIERSON: Okay. It is clear that we  
7 have here, as I sit here and I see all of you and all  
8 your backgrounds and professional expertise, that we  
9 certainly have the top people in the United States  
10 involved here in addressing these food safety issues.  
11 We've had an opportunity to hear personal experiences,  
12 tragic experiences, related to foodborne illness, and  
13 it drives home the immense impact that what we have to  
14 do our job in addressing these issues.

15 I appreciate the work that this Committee is  
16 doing in addressing food safety issues and the progress  
17 that is being made and the very sound recommendations  
18 that are coming forth. So, with that, unless there's  
19 any other comments -- Dave?

20 DR. THENO: Thank you, Mr. Chairman. Dave  
21 Theno from Jack-In-The-Box.

22 I recognize that sometimes people just do  
23 their job, they do it exceptionally well, and on behalf  
24 of myself and my colleagues on the Committee, we want

1 to thank Brenda for all she's done for us and wish her  
2 well in her new position.

3 DR. PIERSON: Bob?

4 DR. BUCHANAN: I'm just reminding that the  
5 Subcommittee on Date Labeling is not done. We have  
6 another day of meetings. The latest version of the  
7 draft document is available for the Subcommittee  
8 members, for Committee members, and there should be  
9 some copies left over for other people that are  
10 interested. I do remind you it is a draft document in  
11 its early stages. But Subcommittee members, if you  
12 could stop by here, you can pick up a copy, and we'll  
13 start tomorrow morning at 9:00 across the hallway,  
14 again focusing on working through the sections.

15 DR. PIERSON: Okay. Again, I thank you very  
16 much for your time, efforts, and participation in this  
17 meeting.

18 We therefore stand adjourned.

19 (Whereupon, at 3:19 p.m., the meeting was  
20 adjourned.)

21

22

23

24